

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

CENTERS FOR DISEASE CONTROL AND PREVENTION  
LEAD EXPOSURE AND PREVENTION ADVISORY COMMITTEE  
(LEPAC)  
MEETING HELD VIA MICROSOFT TEAMS VIDEO CONFERENCING  
DECEMBER 11, 2024, 11 A.M.  
PRESIDING OFFICER: PAUL ALLWOOD, Ph.D., M.P.H.,  
DESIGNATED FEDERAL OFFICIAL, NCEH/ATSDR

Mary K McMahan, CCR  
STEVEN RAY GREEN COURT REPORTING, LLC  
Atlanta, Georgia  
(404) 733-6070

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## TABLE OF CONTENTS

### PAGE :

WELCOME, INTRODUCTIONS, AND ANNOUNCEMENTS	6
VOTE ON THE 2023 LEPAC ANNUAL REPORT	24
IMPROVING BLOOD LEAD TESTING FROM A LOCAL PERSPECTIVE	29
PUBLIC COMMENT	76
LEAD-RELATED UPDATES FROM LEPAC MEMBERS	82
PREVENTING LEAD EXPOSURE IN ADULTS WORKGROUP REPORT ON RECOMMENDATIONS/DISCUSSION	153
DISCUSSION OF BLOOD LEAD TESTING CHALLENGES AND OPPORTUNITIES POST-COVID	199
WRAP UP AND DISCUSS TOPICS FOR NEXT MEETING	247

PARTICIPANTS (in alphabetical order)

PAUL ALLWOOD, Ph.D., M.S., R.S., LEPAC Designated  
Federal Official, Branch Chief, Lead Poisoning  
Prevention and Environmental Health Tracking Branch,  
Division of Environmental Health Science and Practice,  
National Center for Environmental Health, Centers for  
Disease Control and Prevention.

MATTHEW AMMON, M.S., LEPAC Chair, Director,  
Office of Lead Hazard Control and Healthy Homes, U.S.  
Department of Housing and Urban Development.

TAMMY BARNHILL-PROCTOR, M.S., Supervisory  
Education Program Specialist, Office of Innovation and  
Early Learning, Office of Elementary and Secondary  
Education, U.S. Department of Education.

GARY L. EDWARDS, Retired Environmental Health  
Supervisor.

BRENNA FLANNERY, Ph.D., Senior Regulatory  
Toxicologist, Center for Food and Applied Nutrition,  
United States Food and Drug Administration.

REBECCA CATHERINE FRY, Ph.D., M.S.  
Carol Remmer Angle Distinguished Professor in  
Children's Environmental Health  
UNC Chapel Hill, Department of Environmental Sciences  
and Engineering.

1 MARY BETH HANCE, Senior Policy Advisor, Division  
2 of Quality and Health Outcomes, Children and Adults  
3 Health Program Group, Center for Medicaid and CHIP  
4 Services, CMS Centers for Medicare and Medicaid  
5 Services.

6 KRISTINA HATLELID, Ph.D., M.P.H., Toxicologist,  
7 Director of Toxicology and Risk Assessment, U.S.  
8 Consumer Product Safety Commission.

9 GREDIA HUERTA-MONTAÑEZ, M.D., F.A.A.P.  
10 Associate Clinical Professor, Department of  
11 Epidemiology and Biostatistics, College of Public  
12 Health, University of Georgia.

13 ABRAHAM KULUNGARA, Association of State and  
14 Territorial Health Officials (ASTHO), Senior Director,  
15 Environmental Health.

16 AARON M. LOPATA, M.D., M.P.P., Chief Medical  
17 Officer Maternal & Child Health Bureau, Health  
18 Resources, and Services Administration.

19 MIKKI MEADOWS-OLIVER, Ph.D., R.N., Clinical  
20 Professor, New York University - Rory Meyers College  
21 of Nursing.

22 RUTH ANN NORTON, Green & Healthy Homes Initiative  
23 President and CEO Green & Healthy Homes Initiative.

24 PATRICK J. PARSONS, Ph.D., Association of Public  
25 Health Laboratories (APHL) Director, Division of

1 Environmental Health Sciences, Chief, Laboratory of  
2 Inorganic and Nuclear Chemistry, New York State  
3 Department of Health.

4 AMANDA REDDY, M.S., National Center for Healthy  
5 Housing (NCHH), Executive Director.

6 GRACE M. ROBIOU-RAMÍREZ DE ARELLANO, M.P.H.  
7 Director, Office of Children's Health Protection  
8 U.S. Environmental Protection Agency, Office of the  
9 Administrator United States Environmental Protection  
10 Agency.

11 PERRI RUCKART, Dr.P.H., M.P.H., Lead Health  
12 Scientist CDC Lead Poisoning Prevention and  
13 Surveillance Branch.

14 JEFFREY SANCHEZ, B.A., Director of Health  
15 Informatics Impact Assessment, Inc.

16 MEGAN SPARKS, Ph.D., M.P.H., Epidemiologist II,  
17 Johnson County Department of Health and Environment.

18 BRIAN WEAVER, M.P.H., Lead Policy Advisor,  
19 Wisconsin Department of Health Services.

20 STEPHANIE YENDELL, D.V.M, M.P.H., Senior  
21 Epidemiology Supervisor, Minnesota Department of  
22 Health (MDH), Health Risk Intervention Unit.

23 LAUREN ZAJAC, M.D., M.P.H, F.A.A.P, Assistant  
24 Professor, Icahn School of Medicine at Mount Sinai,  
25 Liaison to American Academy of Pediatrics (AAP).

# WELCOME, INTRODUCTIONS, AND ANNOUNCEMENTS

**DR. ALLWOOD:** Good morning, everyone, or good afternoon. I think it's morning for everybody. It's time for us to start the Lead Exposure and Prevention Advisory Committee meeting. And it's my pleasure to welcome all of you to the -- to this meeting.

My name is Paul Allwood and I am the chief of the Lead Poisoning Prevention and Surveillance Branch here at CDC. And I'm also the designated federal official for the LEPAC.

Just a few important reminders before we get started. First and foremost I'd like to just remind everyone that we're going to keep all of our audience on mute during the meeting. We will have a full transcript of -- of the meeting, you know, and -- and as well as a summary of the meeting that will be posted on our website in the very near future.

We will have a full agenda today, so we're going to be sticking very, very -- very closely to the times that are laid out on the agenda. So I'm going to be asking all presenters to please make note of the time that you're allotted for your presentation and please do your very best to

1 stay on time. And, you know, if we need to, we  
2 will, you know, give you a little bit of a  
3 reminder -- and maybe with -- with a minute left  
4 or so -- so that you can do a quick -- quick  
5 wrap-up.

6 With that and without any further ado, let  
7 me welcome Dr. Ari Bernstein who is the director  
8 of the National Center for Environmental Health  
9 for his remarks.

10 Dr. Bernstein.

11 **DR. BERNSTEIN:** Thanks so much, Paul. And  
12 welcome, everyone, to the eighth meeting, annual  
13 meeting, of the LEPAC. I want to extend a warm  
14 welcome to all of you for being here. And your  
15 being here today is a great sign of your  
16 commitment to the issue of preventing lead  
17 exposure among our nation's children and -- and  
18 in our communities around the country.

19 This group, as you all, I hope, can  
20 appreciate better than anyone, brings together a  
21 unique set of expertise and perspectives. And  
22 that affords an important opportunity because as  
23 we continue to make progress on eliminating the  
24 threat of lead, it is going to require each --  
25 each of us to bring our talents and commitments

1 to bear.

2 I'm excited about this meeting for that  
3 reason. It gives us the chance for those -- for  
4 all of us to -- to bring forward our talents to  
5 what is -- what remains an incredibly important  
6 health objective: eliminating lead exposure.

7 In the recent past, there have been several  
8 things here at CDC -- and I give immense credit  
9 to the work of our lead team within the National  
10 Center for Environmental Health to these  
11 achievements. We worked with FDA to truncate  
12 what could've been an enormous outbreak of lead  
13 poisoning in children from cinnamon that had been  
14 adulterated with lead and introduced into  
15 applesauce pouches. That, I think, is a huge  
16 public health win.

17 The Lead Detect Prize was launched and the  
18 winners were announced. And I think we all  
19 appreciate how important it is to make sure that  
20 we have accurate point-of-care testing available  
21 for our nation's children and the providers that  
22 care for them to make sure that we are able to,  
23 you know, protect the children most at risk from  
24 exposures to lead.

25 I see the Lead Detect Prize as a huge



1 incentive and awareness-raising tool. Beyond  
2 that, the importance of filling what is a really  
3 important need in our nation's public health work  
4 to prevent lead exposure.

5 We launched the National Lead -- or we have,  
6 excuse me, the National Lead Poisoning Prevention  
7 Week again. That's another opportunity to raise  
8 awareness to this issue. As I don't need to tell  
9 anyone here, there are times in the  
10 not-so-distant past where folks were saying lead  
11 is behind us. And actually in that period of  
12 time was when Flint happened and I would say it  
13 was a major reason to LEPAC as it is -- as we are  
14 meeting today, relaunching.

15 And then we did launch the Lead-Free  
16 Communities Initiative and Toolkit that I think  
17 is an important tool to empower communities  
18 across the country to take steps that will  
19 prevent exposure and really is formulated in a  
20 way that is -- is working to empower the  
21 communities that are most at risk to do what we  
22 can to protect particularly children from lead  
23 exposure.

24 Each of these you will hear more about  
25 during the session today. As I said, I'm very

1 excited that you all are here and the  
2 perspectives that you will bring the table.

3 Thank you again for taking the time and  
4 sharing the knowledge that you bring to the  
5 table. And with that I will turn it back to  
6 Paul.

7 **DR. ALLWOOD:** Thank you, Dr. Bernstein.  
8 Thanks for your remarks.

9 And now I will ask our chairperson, Mr. Matt  
10 Ammon, to please introduce himself.

11 **MR. AMMON:** Thank you, Paul. Thank you,  
12 Dr. Bernstein.

13 I am Matt Ammon. I'm the chair of the LEPAC  
14 group. My -- I guess my day job is the director  
15 of HUD's Office of Lead Hazard Control and  
16 Healthy Homes. And I've had the pleasure of  
17 working with all of you all. And, of course, it  
18 was a team for several years now. And I  
19 appreciate all of their efforts in putting these  
20 meetings together.

21 But, you know, as Dr. Bernstein was  
22 mentioning, this year we've had a lot of  
23 successes. There's a lot of activity. There is  
24 a lot of inertia in what we have all done  
25 collectively, both at the -- all levels: federal,

1 state, local, nonprofit, universities. I mean,  
2 we have a lot of public-private partnership work  
3 that -- that continues and that has been very  
4 successful not only to -- to address issues  
5 around lead poisoning, but, of course, core  
6 housing issues, which is really at the center of  
7 this work, as well as extending to other sources.

8 We've had a lot of work obviously -- EPA  
9 with infrastructure and water -- so, you know,  
10 lead is still front and center in all of our  
11 minds and -- and we have a great agenda. I  
12 always learn a lot from these meetings. A lot.  
13 I think it is not only very engaging, but it  
14 really is a source of not only rich information  
15 but really is a perspective of any clarity in a  
16 lot of work that goes on around the country in  
17 this board.

18 So, you know, today we'll hear about the  
19 blood lead testing from a local perspective, and  
20 that's always key to -- to -- and central to our  
21 work is that -- and I've -- I've -- you've heard  
22 me say this many times, is that everything we do  
23 is local and we've been focusing on ensuring that  
24 the work that we do, both with programs and  
25 initiatives, help local programs succeed in their

1 goals.

2 So, again, we'll hear about blood lead  
3 testing from a local perspective. We'll get  
4 updates from our members, which I think will be  
5 very enlightening, about how much work and how  
6 many successes happened this year. There were --  
7 there were great outcomes that we all really  
8 should celebrate and highlight.

9 And then, of course, we'll hear from the  
10 lead -- Preventing Lead Exposure in Adults  
11 workgroup. And then we'll hear about some of the  
12 challenges in terms of blood lead testing and  
13 opportunities post-COVID, which, you know, I feel  
14 like we're really turning the tide in terms of --  
15 of getting back to a lot of the capacity and  
16 basics that have been shifted because of COVID.  
17 And we saw a lot of that in our programs at HUD  
18 and so we're very excited to hear that.

19 And then we'll talk about next steps and  
20 wrap-up.

21 But, again, I appreciate everybody being  
22 here. And, again, I always look forward to these  
23 meetings. It's a lot of information. It's very  
24 exciting to be part of all of this work that we  
25 have going on. And it is, of course, not just

1 one focused on one agency or one entity or one  
2 community. This is really a nationwide effort in  
3 its focus.

4 And so, again, I -- I thank everyone for  
5 being here.

6 So with that I will turn it over to Perri.

7 **DR. RUCKART:** Okay. Thank you.

8 Good morning. I'm Perri Ruckart, and I work  
9 with Paul at CDC, and I serve as the Deputy DFO  
10 of this committee.

11 So I am going to call on all the members.  
12 And when I call on your name, would you please  
13 introduce yourself and come on camera if you are  
14 able to.

15 And I also want to say that several of these  
16 members are new. This is the first meeting. So  
17 an extra welcome to them.

18 So I will start with Tammy Barnhill-Proctor.  
19 Are you there? Yes.

20 **MS. BARNHILL-PROCTOR:** I am. Good morning,  
21 everyone. I'm Tammy Barnhill-Proctor with the  
22 U.S. Department of Education. And I am on the  
23 committee for -- I think this is my second term.

24 **DR. RUCKART:** Great, thank you.

25 Gary Edwards.

1           **MR. EDWARDS:** Hello. I'm Gary Edwards. I'm  
2 new to this committee. First time I'm on.

3           I'm familiar with Paul. Years ago I worked  
4 with Paul at Environmental Health, Minnesota  
5 Department of Health. He was an epidemiologist  
6 at that time. And lo and behold he eventually  
7 became an assistant commissioner of health in  
8 Minnesota. A really great guy and I really  
9 enjoyed working with him over the years.

10          So I have a background in environmental  
11 health of my last six years with Minnesota  
12 Department of Agriculture, supervising pesticide  
13 licensing. Happy to be here.

14           **DR. RUCKART:** Great, thank you.

15          Dr. Brenna Flannery.

16           **DR. FLANNERY:** Good morning, everyone. My  
17 name is Brenna Flannery. I am a toxicologist  
18 with the Human Foods Program at FDA, and I  
19 specialize in chemical contaminants. This is my  
20 first year attending the meeting and being part  
21 of LEPAC, so I'm very excited. Thank you.

22           **DR. RUCKART:** Great, thanks.

23          Dr. Rebecca Fry. Rebecca, are you here?

24           **DR. FRY:** Sorry. Hi, everyone. Rebecca  
25 Fry. I'm at the University of North Carolina at

1 the School of Public Health in the Department of  
2 Environmental Sciences and Engineering and really  
3 pleased to be part of this panel. Thanks so  
4 much.

5 **DR. RUCKART:** Thanks.

6 Mary Beth Hance.

7 **MS. HANCE:** Good morning. I'm Mary Beth  
8 Hance. I'm the Deputy Director of Division of  
9 Quality and Health Outcomes at (inaudible) --

10 **DR. RUCKART:** Mary Beth, you're cutting out.

11 **MS. HANCE:** (indiscernible)

12 **DR. RUCKART:** Mary Beth, you're cutting out.  
13 I don't know if that's just for me.

14 Is she cutting out for others?

15 **DR. ALLWOOD:** Yeah, I think -- Mary Beth,  
16 you sounded a lot better when your camera was  
17 off. Maybe it's a little bit of a bandwidth.  
18 Some maybe if you went off camera, we could ...

19 **DR. RUCKART:** Yeah. If you wouldn't mind  
20 reintroducing yourself because I don't -- I don't  
21 think that everyone necessarily heard what you  
22 said.

23 **MS. HANCE:** Sorry about that. This is Mary  
24 Beth Hance. I'm from the Centers for Medicare  
25 and Medicaid Services. Can you hear me now?

1           **DR. RUCKART:** Yes. That's -- that's very  
2 clear. Thank you.

3           **MS. HANCE:** Okay, great. Sorry. Sorry. It  
4 looked like the delay at my end was after I had  
5 spoken before. So apologies.

6           I'm the Deputy Director of the Division of  
7 Quality and Health Outcomes and have the  
8 privilege of having been -- attended this -- or  
9 participated in this meeting last year as well  
10 and am happy to be here again.

11          **DR. RUCKART:** Thank you.

12          Dr. Christina Hatlelid.

13          **DR. HATLELID:** Hello. I'm Kristina  
14 Hatlelid. I'm a toxicologist by training. Now I  
15 am the Director of the Division of Toxicology and  
16 Risk Assessment at the Consumer Product Safety  
17 Commission. I have been serving as a member of  
18 the LEPAC for a couple of years now.

19          **DR. RUCKART:** Great, thank you.

20          Dr. Gredia Huerta-Montañez. Gredia, are you  
21 on?

22          Okay, we'll keep going and I'll call her at  
23 the end. Dr. Aaron Lopata.

24          **DR. LOPATA:** Hi. Yes. My name is Aaron  
25 Lopata. I am the senior medical officer at the



1 Maternal & Child Health Bureau, Division of  
2 Perinatal Services. And I am also a  
3 pediatrician. And I -- I'm sorry, and I should  
4 say I'm at MCHB, Maternal & Child Health Bureau  
5 at HRSA. And I've been -- started with this --  
6 with LEPAC last -- early last year. And I'm just  
7 really happy to be here. Thank you so much.

8 **DR. RUCKART:** Great, thank you.

9 Dr. Mikki Meadows-Oliver. Mikki, are you  
10 on?

11 Okay, I'll keep going. I will circle back  
12 at the end.

13 Grace Robiou.

14 **MS. ROBIU-RAMÍREZ:** I'm the director of the  
15 EPA Office of Children's Health Protection in  
16 Washington D.C. I'm happy to be with you today.

17 **DR. RUCKART:** Thank you.

18 Mikki, is that your hand who's up? I just  
19 see MM; is that you, Mikki?

20 **DR. MEADOWS-OLIVER:** I think maybe I figured  
21 out -- I'm new to Teams. I'm so sorry about  
22 that. I was trying to get off mute and camera.

23 Again, I'm Mikki Meadows-Oliver, and I'm a  
24 pediatric nurse practitioner. I was with the  
25 Yale Lead Poisoning Prevention Program in New

1 Haven, Connecticut. And I am new to this  
2 committee and new to Teams, but I'm happy to be  
3 here today. So thank you.

4 **DR. RUCKART:** Great, thank you.

5 Jeff Sanchez.

6 **MR. SANCHEZ:** Good morning. Hello. Jeff  
7 Sanchez. I'm the Deputy Director of Impact  
8 Assessment. We're an organization that's been  
9 working with local and state -- and our state  
10 health department here in California. We're  
11 based in Berkeley here.

12 And I've been working on lead poisoning  
13 initiatives since the early '90s, supporting  
14 the -- our electronic blood lead reporting law  
15 and our surveillance and case management systems.  
16 Proud that CDC picked it up as a -- the  
17 beginning -- start of their health system. So  
18 excited to see where it is. Took them several  
19 data and security initiatives here in California.  
20 And I'm currently working on several initiatives  
21 for Los Angeles County on primary prevention  
22 work.

23 So happy to be here. And this is my first  
24 year, so thank you.

25 **DR. RUCKART:** Great, thank you.

1 Dr. Megan Sparks.

2 **DR. SPARKS:** Good morning. I'm Megan  
3 Sparks. I'm the lead epidemiologist for the  
4 Johnson County Department of Health and  
5 Environment. I also serve as a technical advisor  
6 to the state of Kansas Childhood Lead Poisoning  
7 Prevention Program, and I run our county level  
8 Childhood Lead Poisoning Prevention Program.  
9 This is my first year and I'm really excited to  
10 work with you guys.

11 **DR. RUCKART:** Great, thank you.

12 And Brian Weaver.

13 **MR. WEAVER:** Yeah, good morning, everyone.  
14 Brian Weaver. I serve as the lead policy advisor  
15 for the Wisconsin Department of Health Services.  
16 I joined LEPAC in June of 2024. And I have been  
17 serving as the chair for the Prevention of Lead  
18 Exposure in Adults workgroup. So thank you.

19 **DR. RUCKART:** And Dr. Gredia  
20 Huerta-Montañez. If you would just, now,  
21 introduce yourself --

22 **DR. HUERTA-MONTAÑEZ: Hi --**

23 **DR. RUCKART:** -- to the group.

24 **DR. HUERTA-MONTAÑEZ:** -- sorry. I  
25 couldn't -- I couldn't unmute. You didn't allow

1 me to unmute before.

2 Hi. My name is Gredia Huerta. I'm a  
3 pediatrician with Training and Pediatric  
4 Environmental Health. And I am a pediatrician  
5 with the Pediatric Environmental Specialty Unit,  
6 Region 2, the PESU, and also a member of the AAP  
7 Council on Environmental Health and Climate  
8 Change, and I'm based in Puerto Rico.

9 My first term with the committee. Thank  
10 you.

11 **DR. RUCKART:** Great, thank you.

12 And now I will introduce our liaison  
13 members.

14 Ruth Ann Norton, are you on?

15 **MS. NORTON:** I certainly am and good  
16 morning. From Baltimore, Maryland, this is Ruth  
17 Ann Norton. I am the president and CEO of the  
18 Green and Healthy Homes Initiative. I've been  
19 working to eradicate lead for the last 32 years.  
20 So let's keep going. And good morning.

21 **DR. RUCKART:** Great, thank you.

22 Dr. Patrick Parsons. Patrick, are you on?

23 **DR. PARSONS:** Hi, can you hear me?

24 **DR. RUCKART:** Yes. Yes. Yes.

25 **DR. PARSONS:** I'll say good morning. My

1 name is Patrick Parsons. I'm the director of the  
2 Division of Environmental Health Sciences at the  
3 Wadsworth Center in New York State Department of  
4 Health. I've been responsible for the lead  
5 poisoning lab here for 38 years. I serve as the  
6 liaison member for the Association of Public  
7 Health Laboratories. Thank you.

8 **DR. RUCKART:** Great, thank you.

9 Amanda Reddy. Amanda, are you with us this  
10 morning? Okay, I'll circle back to you.

11 Dr. Stephanie Yendell.

12 **DR. YENDELL:** Hi, I'm Dr. Stephanie Yendell.  
13 I work with the Minnesota Department of Health  
14 and I am the PI for both our CDC Childhood Lead  
15 Poisoning Prevention Program grant as well as a  
16 HUD Lead Hazard Reduction grant, and I am serving  
17 as the liaison for the Council of State and  
18 Territorial Epidemiologists or CSTE.

19 **DR. RUCKART:** Great, thank you.

20 And Dr. Lauren Zajac.

21 **DR. ZAJAC:** Hi, good morning. I'm Lauren  
22 Zajac. I am the liaison from the American  
23 Academy of Pediatrics. In my day job I'm a  
24 pediatrician and clinical director of  
25 Environmental Pediatrics at Mount Sinai in New

1 York City.

2 **DR. RUCKART:** Great, thank you.

3 And we do have another liaison member who  
4 isn't with us right now but will join be joining  
5 us later this afternoon and that's Abe Kulungara  
6 from the Association of State and Territorial  
7 Health Officials or ASTHO.

8 Amanda Reddy, are you are on with us?

9 Okay, well, we do have another member, a  
10 liaison member, Amanda Reddy, National Center for  
11 Health and -- for Healthy Housing.

12 And I do want to introduce some CDC staff  
13 who are instrumental in helping keeping this  
14 committee functioning. We have Alexis Allen.

15 **MS. ALLEN:** Morning, everybody.

16 **DR. RUCKART:** Alexis is our Committee  
17 Management Specialist.

18 And Nick Hatch.

19 **MR. HATCH:** Good morning. My name is Nick  
20 Hatch. I am the deputy Committee Management  
21 Specialist. Good to be here and good to see  
22 everyone.

23 **DR. RUCKART:** Great, so thanks to everyone  
24 and welcome to the new members as well as the  
25 returning members.

1           And I will turn it back to you, Paul.

2           **DR. ALLWOOD:** Thank you, Dr. Ruckart, and  
3           thank you for your introductions.

4           And now I'll just do a quick recap of our  
5           last meeting of this committee which happened,  
6           excuse me -- happened in October of 2023. And  
7           that was the seventh time that the committee was  
8           meeting, back October 16th and 17th, 2023. And  
9           that was a -- was a hybrid meeting. We had, you  
10          know, a large number of people attending in  
11          person on the CDC campus in Atlanta and then an  
12          even larger number of people attending virtually.  
13          Totally there were 361 people that attended that  
14          meeting for both days.

15          And some of the topics that were discussed  
16          at that meeting included the sharing from LEPAC  
17          members' federal activities that -- to prevent,  
18          reduce, and eliminate childhood lead exposure  
19          and -- and using both primary and secondary  
20          prevention strategies.

21          There was also, you know, presentations and  
22          discussion of lead in drinking water and efforts,  
23          you know, across the federal government and  
24          community partners to address that issue.

25          There were discussions about lead service

1 line replacements. We had a presentation on data  
2 and surveillance from CDC and other partners.

3 And we also discussed adult and occupational  
4 exposure challenges and opportunities.

5 Additional details from that -- from that meeting  
6 can be found on the CDC's LEPAC website. And  
7 that will be if you go to the website, you'll  
8 find, you know, details of the presentations that  
9 were -- were given at that meeting. And also a  
10 transcript of the meeting is available online.

11 Today we're going to be hearing -- you know,  
12 in addition to what chairman Matt mentioned,  
13 there will be public comment from Mr. Tom Neltner  
14 from Unleaded Kids and that's going to be coming  
15 up later on in the agenda.

16 And so now I will turn the meeting over to  
17 the -- to our chairman, Matt, to discuss the  
18 charge, to disclose any -- any conflicts and  
19 to -- to conduct a vote on the annual report of  
20 the committee.

21 Matt.

22 **VOTE ON THE 2023 LEPAC ANNUAL REPORT**

23 **MR. AMMON:** Thanks, Paul.

24 So as a first order of business, you all  
25 were sent the annual report back on



1 November 18th. And just, you know, as a reminder  
2 of -- of what we are doing here and what our  
3 responsibilities are, so the LEPAC is responsible  
4 for providing advice and recommendations to the  
5 Secretary of HHS and its companion agency as well  
6 by reviewing research and federal programs and  
7 services related to lead poisoning and  
8 identifying effective services and best practices  
9 for addressing and prevention of lead exposure in  
10 communities.

11 Again, this is part of our charter. This is  
12 how we've operated for -- for years under this  
13 authority. And so as part of this whole process,  
14 we need to vote on the annual report.

15 And so with that, first let me ask if there  
16 are any conflicts related to anything in the  
17 report or anything on today's meeting just in  
18 terms of that we need to discuss or someone may  
19 have to recuse?

20 Okay, seeing none, I am going to make a  
21 motion in terms of moving forward the annual  
22 report for a vote. So it may be easier if  
23 everybody is on camera for this. So I'm going to  
24 ask everybody for all in favor to have yourself  
25 on camera and raise your hand in the affirmative.

1 All right, thank you for that.

2 And any opposed? I'll just wait till the  
3 tally finishes.

4 All right. With that, the report is  
5 approved and submitted for the record. Thank you  
6 very, very much.

7 And with that, let's move to our first  
8 topic. So our first topic --

9 **DR. RUCKART:** Oh, excuse me. Excuse me,  
10 Matt. Would you be able to go over the charge  
11 just for the new members?

12 **MR. AMMON:** Actually, I don't have that in  
13 front of me. You could start and I'll add to it.

14 **DR. RUCKART:** Okay. Just momentarily. Just  
15 bear with me. Okay. The charge -- just -- just  
16 want to briefly remind existing members and just  
17 new members as well about the charge and purpose  
18 of the LEPAC.

19 So the committee was established by the  
20 Water Infrastructure Improvements for the Nation  
21 Act, the WIIN Act of 2016, and the purpose of the  
22 LEPAC is to review research in federal programs  
23 and services related to lead poisoning and to  
24 identify effective services and best practices  
25 for addressing and preventing lead exposure

1 among communities.

2 And the LEPAC is charged with five items:

3 One, reviewing federal programs and services  
4 available to individuals and communities exposed  
5 to lead; two, reviewing current research on lead  
6 exposure to identify additional research needs;  
7 three, reviewing and identifying best practices  
8 or the need for best practices regarding lead  
9 screening and the prevention of lead poisoning;  
10 four, identifying effective services, including  
11 services related to healthcare, education, and  
12 nutrition for individuals and communities  
13 affected by lead exposure and lead poisoning;  
14 and, five, undertaking any other review or  
15 activities that the HHS Secretary determines to  
16 be appropriate.

17 And annually we submit -- the LEPAC submits  
18 reports to the HHS secretary; the committees on  
19 finance, health, education, labor and pensions,  
20 and agriculture, nutrition, and forestry of the  
21 Senate; and the committees on education and  
22 workforce, energy and commerce and agriculture of  
23 the House. And this includes an evaluation of  
24 the effectiveness of the federal programs and  
25 services available to individuals in communities

1 exposed to lead, an evaluation of additional lead  
2 research exposure needs, an assessment of any  
3 effective screening methods or best practices  
4 used or developed to prevent or screen for lead  
5 poisoning, input and recommendations for improved  
6 access to effective services relating to  
7 healthcare, education, or nutrition for  
8 individuals and communities impacted by lead  
9 exposure and any other recommendations for  
10 communities affected by lead exposure as  
11 appropriate.

12 So you can see that that aligns nicely with  
13 the charge. And are there any questions?

14 All right, seeing none, I will turn it back  
15 to you, Matt.

16 **MR. AMMON:** Thanks, Perri. Sorry, I printed  
17 out the wrong information.

18 So with that, I know we are a little early  
19 and hopefully everybody is okay if we move a  
20 little quicker. Maybe it allows for a longer  
21 break. But with -- with the first order of  
22 business being done, we are hopefully ready to  
23 start our first presentation.

24 If we're not, let me know. But the first  
25 presentation is around improving blood lead

1 testing from a local perspective.

2 So with that, hopefully Gail, Nicole, and  
3 Ruth are available to present on this topic. I  
4 believe we're going to start --

5 **MS. GETTENS:** Yeah.

6 **MR. AMMON:** -- with Gail.

7 **MS. GETTENS:** Yes, good morning. I am here.  
8 And I believe Nicole Lang is also here. So thank  
9 you.

10 **IMPROVING BLOOD LEAD TESTING FROM A LOCAL PERSPECTIVE**

11 **MS. GETTENS:** I'm Gail Gettens. I'm a child  
12 development specialist by training, and I'm a  
13 health communications coordinator for New  
14 Hampshire's Healthy Homes and Lead Poisoning  
15 Prevention Program. We're within New Hampshire's  
16 division of public health under the umbrella of  
17 New Hampshire Department of Health and Human  
18 Services.

19 And I'm here with Nicole Lang. She is a  
20 nurse practitioner, and she's one of the nurse  
21 case managers with our program.

22 Next slide, please. So as a way of just  
23 introduction, Nicole and I are sitting in New  
24 Hampshire. We are a very tiny state, total  
25 population at 1.4 million. We're tucked in the

1 northeast corner of the United States. Our  
2 northern border is shared with Canada. Our  
3 southern border is shared with Massachusetts.  
4 And much of our southern kind of southeast corner  
5 of our state really is more pop -- more  
6 populated, more diverse, are small cities and  
7 really are bedroom communities, commuter  
8 communities to Boston and Massachusetts's kind of  
9 tech and biotech industries.

10 The rest of our state, as you can see from  
11 the map on the left, is very, very rural. As you  
12 see, there are no cities, no major roads in much  
13 of our state. So we -- we are a small state and  
14 a rural state. Next slide, please.

15 And next slide, please.

16 We are a small state, but we unfortunately  
17 have a very significant, very large childhood  
18 lead exposure problem. In 2023, around just last  
19 year, we identified 1,207 children in our state  
20 with a blood lead level greater -- equal to or  
21 greater than 3.5. And over the last five years,  
22 even with those years during the pandemic when  
23 there was such low testing, we've identified  
24 4,575 children with a blood lead level of 3.5 or  
25 greater. Next slide, please.

1           New Hampshire has been on the right  
2           trajectory. Between 2015 and 2019, we had this  
3           really encouraging trend in our testing rates.  
4           We had a small legislative initiative law change  
5           in 2015, and we also did a tremendous amount of  
6           work from our department to introduce, educate  
7           our pediatricians on point-of-care testing, and  
8           really work to transition our pediatricians away  
9           from venous draws and onto point-of-care testing.

10           And then in 2018, April of 2018, New  
11           Hampshire by state statute changed laws and we  
12           are now a universal testing state, requiring  
13           testing at age one and again at age two.

14           So by the end of 2019, we were at 70 percent  
15           testing range for our one-year-olds and  
16           58 percent for our two-year-olds. So certainly  
17           great progress but nowhere near where we wanted  
18           to be, especially for our two-year-olds. Next  
19           slide, please.

20           Then we all know what happened in 2020. And  
21           New Hampshire, like most other states,  
22           experienced a really dramatic drop in testing  
23           rates. In New Hampshire we saw a 25 percent  
24           testing -- drop in our testing rates from just  
25           2019 to the end of 2021. And it equated to 5,360

1 fewer children tested in just two years in our  
2 very, very small state. Next slide, please.

3 At our New Hampshire's statewide clinical ed  
4 advisory committee meeting -- this committee  
5 meets three times a year: our pediatricians,  
6 pediatric healthcare leadership, health systems.  
7 And we were meeting in August of 2022, looking at  
8 this data trend, very concerned, and discussing  
9 how we might reverse it and quickly and then  
10 begin to build upon what our rates have been  
11 prior to the pandemic.

12 And Dr. Walsh, Alan Walsh, is a member of  
13 our committee in New Hampshire. He's the medical  
14 director of Region 1's Pediatric Environmental  
15 Health Specialty Unit at Boston Children's. And  
16 he wisely said to us: New Hampshire, perhaps you  
17 should consider looking into doing a lead testing  
18 quality improvement project and couple it with  
19 continuing medical education training using the  
20 ECHO model.

21 So upon his advice, he suggested we reach  
22 out to other states that had run similar  
23 programs. So we connected with Liz Harris,  
24 Dr. Harris, a pediatrician at Intermountain  
25 Health in Utah. Liz kindly got up very early



1 Utah time to join our 8:00 meeting in December of  
2 2022 which was our next meeting.

3 Once we heard Dr. Harris's presentation on  
4 some positive outcomes of their quality  
5 improvement project in Utah and then heard from  
6 her work as a faculty member and others, we knew  
7 this was something we really wanted to pursue.

8 So I spent much of 2023 learning more,  
9 working with and meeting with other states,  
10 including Wisconsin and New Jersey's chapter of  
11 AAP, to learn about their quality improvement  
12 projects, also on a national level with AAP and  
13 with partners within New Hampshire so we could  
14 figure out how we could establish this, find the  
15 funding, set this up. Next slide, please.

16 I'm going to pause here for just a moment.  
17 For those of you attending that aren't familiar  
18 with what a project ECHO or an ECHO is, I just  
19 wanted to take a moment to explain it.

20 So the acronym stands for Extension of  
21 Community Healthcare Outcomes, and it's really a  
22 training model platform that was developed by the  
23 University of New Mexico. And it truly  
24 revolutionized continuing medical education. The  
25 model exponentially increases workforce capacity

1 to provide best practice specialty care but also  
2 to reduce health disparities.

3 In order to run an ECHO, you have to have an  
4 ECHO hub which is getting a group trained in  
5 managing and running ECHO programs, and you need  
6 the trained facilitators. Next slide, please.

7 By late 2023 we had establish our partners  
8 and were able to be ready to stand up our quality  
9 improvement project. New Hampshire chapter of  
10 the American Academy of Pediatrics is a partner  
11 we work with frequently and immediately came on  
12 board.

13 And in addition to that, AmeriHealth  
14 Caritas -- well, AmeriHealth Caritas is one of  
15 New Hampshire's three contracted Medicaid MCOs  
16 and AmeriHealth Caritas really was essential to  
17 us being able to stand this up. They are an ECHO  
18 hub and they share their ECHO hub and their ECHO  
19 team with us to stand up this project.

20 We also were able to connect with Ruth  
21 Gubernick, quality improvement consultant  
22 extraordinaire when she was highly recommended to  
23 us by other state chapters of AAP and national  
24 AAP. And she came on board as well. Next slide,  
25 please.

1           We started our onboarding of practices just  
2 about a year ago, in November of 2023. The flyer  
3 you see on the right is what we used to  
4 advertise, promote, and recruit practices to  
5 register. It involved six monthly sessions. So  
6 we had one meeting each month, June -- January to  
7 June of 2024, and it required seven data  
8 submissions: a baseline data submission and then  
9 six additional submissions, the last of which  
10 just came in by the end of this past July. Next  
11 slide, please.

12           A unique part of this particular model is  
13 that the practices, the pediatric practice, did  
14 just that, joined as practices. So we had  
15 acquired a minimum of one physician and one  
16 additional clinical team member. It may have  
17 been a -- a nurse, a nurse practitioner, it may  
18 have been another physician, may have been a  
19 medical assistant.

20           We had hoped to get at least six for our  
21 inaugural run -- our maximum was ten that we  
22 could involve -- and we had eight practice teams  
23 join. We were pretty pleased with that. Many  
24 others had expressed interest, but it wasn't the  
25 right time either because of workforce shortages

1 or changing EMRs, that they hope to join us if we  
2 stand up another cohort. Altogether we have 15  
3 pediatricians and 38 clinical participants. Next  
4 slide, please.

5 We were also really pleased that we had very  
6 diverse practice types from across our tiny  
7 little state. We had independent rural health  
8 centers in our north country. We had a federally  
9 qualified healthcare center in our rural area.  
10 The remaining practices were all  
11 hospital-affiliated practices, but even great  
12 diversity in amongst those.

13 We had our largest health system -- it spans  
14 multiple states -- and our largest employer  
15 participating. We also had practices from our  
16 tiny hospital, which you can see on the screen,  
17 that had 25 beds. So very diverse practice  
18 types. Next slide, please.

19 It was a very significant commitment.  
20 You're required to attend all six sessions with  
21 your camera on and to be actively participating.  
22 This wasn't a "eat your lunch, check your e-mail  
23 with your camera off" kind of thing. You were in  
24 and discussing and participating.

25 There were seven monthly data submissions

1       that we're going to be talking about. And each  
2       practice team in addition to attending the six  
3       sessions, they also had to meet at least once  
4       with the quality improvement coach separately to  
5       look at their data on their practice --  
6       individual practice level. Everyone involved was  
7       required to sign an MOU, both as an individual  
8       and as a practice team, so everyone understood  
9       the commitment. All practices were required to  
10      prepare and submit one case study and be prepared  
11      to present it if -- if selected.

12             And a piece I do want to mention and you're  
13      going to hear me mention it again is that because  
14      this was a quality improvement project, we were  
15      able to offer to physicians participating 25 MOC  
16      part 4 points in addition to what you would think  
17      of the typical or usual expected continuing  
18      medical education credits and continuing nursing  
19      education credits.

20             So what is MOC part 4? MOC stands for  
21      maintenance of certification. And every five  
22      years, when a physician renews her or his  
23      license, they're required to have accrued 50 MOC  
24      part 4 points, which you accrue them by  
25      participating in quality improvement projects.

1 It's a pretty big lift and it's a pretty big lift  
2 if you're from a smaller practice, independent  
3 practice, smaller health system.

4 So the fact that by participating we were  
5 offering half of what was needed, 25 MOC part 4  
6 points was a very significant incentive and  
7 carrot and, well, the third one because of the  
8 commitment. Next slide, please.

9 What you're seeing here is kind of the back  
10 side of our quality improvement study. So  
11 because New Hampshire Chapter of the American  
12 Academy of Pediatrics was one of our partners, we  
13 were able to access through a national level with  
14 American Academy of Pediatrics the Quality  
15 Improvement Data Aggregator, which otherwise  
16 known as QIDA, where we're assigned a QIDA  
17 consultant. There is a fee for this.

18 So this is us figuring out what we wanted to  
19 measure; how many measures we could afford; what  
20 questions, what data questions do we need to ask  
21 to get the data we needed; and the actual  
22 formulas, the math behind what we were going to  
23 be measuring. Next slide, please.

24 This is what the practices would see. The  
25 QIDA team creates an interface for each study.

1       So this is the data entry portal. So our  
2       practices would log in and this is where they  
3       would enter their data each month. Next slide,  
4       please.

5               And, again, they submitted baseline data  
6       which was the month of November's data of last  
7       year was submitted by the end of January of this  
8       year and then each of the six months going  
9       forward -- next slide, please -- with the last of  
10      the data just coming in at the end of July.

11             Those of you that are familiar with an ECHO  
12      will know it (indiscernible) kind of two-thirds  
13      of this pie chart in front of you. So during  
14      ECHO session, there is a didactic presentation.  
15      We were really fortunate that we were able to tap  
16      local, regional, and national experts to provide  
17      that educational portion of the case study  
18      discussion where practice teams presented their  
19      case study. Everyone asked questions, discussed  
20      it, and provided recommendations.

21             But the piece that really makes this  
22      particular model different is that the session,  
23      each monthly session, was kicked off by our  
24      quality improvement consultant who reviewed the  
25      data. Next slide, please.

1           Before each session, Ruth would prepare a  
2 run chart, which is what you're looking at on the  
3 screen, for each one of our measures. And she  
4 would present it -- this was the aggregate data  
5 -- and ask questions and share thoughts and we'd  
6 look for where we were improving, where changes  
7 still needed to be made, where there might be  
8 missed opportunities.

9           And, again, in addition to looking at the  
10 aggregate data each month, the teams also met at  
11 least once, so to speak, offline, individually  
12 with Ruth to look at their practice level data.  
13 Next slide, please.

14           So now that you have an appreciation of, you  
15 know, the why we did this and the how we stood it  
16 up, I'm going to turn it over to Nicole to share  
17 with you about the measurable outcome  
18 improvements that we saw.

19           **MS. LANG:** Thanks, Gail.

20           So I will be sharing with all of you how  
21 this framework translated into meaningful quality  
22 improvement because when we're considering where  
23 to invest our time, our efforts, and probably  
24 most importantly our limited funding, we want to  
25 choose an activity that we feel confident will



1 pan out.

2 You're looking at some of the areas in which  
3 we saw measurable improvement and we'll go  
4 through them one by one, starting with lead  
5 exposure risk assessments which are a series of  
6 questions to ask families about possible lead  
7 exposure. So with that defined, let's look at  
8 the next slide, please.

9 So this is a chart of all of the  
10 preventative action steps that the American  
11 Academy of Pediatrics asks healthcare providers  
12 like myself to take at well-child visits, from  
13 newborn all the way through 21 years of age. And  
14 lead exposure risk assessment is on this chart.

15 The AAP and all of us on this call, to be  
16 honest, consider the impact of lead exposure on a  
17 young child so detrimental to their potential  
18 that we should be asking about lead exposure  
19 seven to nine times by the time the child turns  
20 six years of age. So that means asking these  
21 questions at the six-month visit; the nine-month  
22 visit; again at the 12-month visit, if you're not  
23 doing a test, the parent declines the test; again  
24 at 18 months; and then 24 months. If no test is  
25 done, three-year-old, four-year-old,

1 five-year-old, six years old.

2 Now, as a clinician, I see this lead  
3 exposure risk assessment as a "two for one, more  
4 bang for your buck" tool. And the reason is  
5 that, one, you're assessing a child for possible  
6 lead exposure realtime. You're not waiting for a  
7 state-mandated test tool around in three to  
8 twelve months. The other reason I love this is  
9 that it's primary prevention in that parents are  
10 hearing or seeing these questions seven to nine  
11 times, all about lead exposure, by the time their  
12 child is six years of age. They may be able to  
13 stop an exposure before it ever happens. So how  
14 are our practices doing with performing lead  
15 exposure risk assessments? We can move to the  
16 next slide.

17 So initially, at baseline, less than one in  
18 four children was being assessed. And you can  
19 see -- again, we met for the first time in  
20 January. So just the increase in the number of  
21 these assessments being done in one month's time  
22 by the time people were putting in their February  
23 data, that is impressive growth. But you can see  
24 as the months progressed and our clinicians got  
25 familiar with lead exposure and its impact on a

1 child and more familiar with their own data,  
2 their quality, their own EMR, this rate of  
3 assessment increased more than -- more than  
4 doubled. So we can move to the next slide.

5 Anticipatory guidance. This is another  
6 measure we looked at. It's the process of  
7 counseling families on possible dangers to their  
8 child's health and safety. It should be both age  
9 and developmentally appropriate. It includes  
10 things like lock up your weapons and put sunblock  
11 on your child and rear-face that car seat. But  
12 it should also include, hey, lead -- lead hazards  
13 may exist in your child's environment. Let me  
14 tell you all about them.

15 Anticipatory guidance is also a required  
16 component of a well-child visit for meeting  
17 certain conformance measures but also for being  
18 reimbursed for your well-child visit. So it  
19 needs to be included.

20 In the board book that you're looking at in  
21 these photos -- is provided by our program at no  
22 cost to New Hampshire providers -- and it's -- it  
23 contains critical messaging regarding all things  
24 lead on each page directed towards parents. It  
25 was developed with clinical guidance from New

1 Hampshire chapter of AAP and also from the  
2 region 1 PESU. It was funded by grants through  
3 the CDC and the EPA. It's now used across the  
4 nation by pediatricians, by public health  
5 entities, and it's well received by parents and  
6 children alike.

7         So when that clinical team puts this book  
8 into the families' hands, that allows the  
9 provider to click the box that says  
10 age-appropriate anticipatory guidance was  
11 provided at this visit. So we wanted to find out  
12 how many of our practices were providing this  
13 no-cost-to-them board book at well-child visits  
14 between six to twenty-four months. So we can  
15 move to the next slide.

16         At baseline, not very many. Not very many  
17 children were receiving this book. But again  
18 impressive growth as the ECHO progressed through  
19 the months and our clinicians became more  
20 familiar with lead exposure. They changed their  
21 workflow to make sure this book got into  
22 families' hands and, you know, we're looking at  
23 a -- a nine-fold increase or a little bit more in  
24 the percentage of children and, quite honestly,  
25 you know, the whole family that was receiving

1 this guidance through the form of this board  
2 book.

3 So now we can look at the actual testing  
4 data because that was our original reason for  
5 starting on this journey to begin with. So let's  
6 move to the next slide.

7 So what you're looking at is aggregate data  
8 for all eight practices at baseline. I'm not  
9 sure what's going on with the slide, though, but  
10 I'm sure it'll be fine. But at baseline our  
11 practices came in at a rate of, you know,  
12 slightly above our pre-pandemic testing rates.  
13 With time we saw growth of the testing rates of  
14 our 12-month-old children. Nearly 90 percent of  
15 children were being tested at the project's end.

16 But how about those two-year-olds? The ones  
17 we really must test because we know that lead  
18 exposure peaks between 18 to 24 months of age,  
19 but the ones who are hardest to test because  
20 they're two years old. So we can move to the  
21 next slide.

22 So our aggregate data demonstrated the  
23 baseline that really wasn't too shabby was higher  
24 than our pre-pandemic baseline testing. And we  
25 still saw growth, impressive growth, with the

1 number of our two-year-olds who were tested as  
2 our clinical teams changed practice. Although  
3 the rates dipped in the summer months, they did  
4 not decrease below the baseline testing rate.

5 Remember that Gail mentioned an estimate of  
6 5,360 children -- I think that's the number --  
7 that were calculated as having missed a test  
8 during the pandemic years. We wanted our eight  
9 practices to look for those children. We can  
10 move to the next slide.

11 So we asked them to assess kids coming in  
12 for a 30-month well-child visit, a three-year-old  
13 visit, four-year-old visit, a five-year-old visit  
14 to find out how many of those children had no  
15 blood lead tests on file. And we found a number  
16 of them and Gail did the math for me. So we can  
17 move to the next slide.

18 This is eight practices, fifteen  
19 pediatricians, and seven months of data. And we  
20 found 361 children, ages thirty months through  
21 five years of age, who had no blood lead level  
22 test on record. This was a phenomenal find. All  
23 of our providers, every single practice said, Oh,  
24 my EMR has no way of notifying me of this, which  
25 brings me to our next slide.

1           There were some things that we couldn't  
2 afford to measure or just things that occurred  
3 that we thought were really positive and notable  
4 and we wanted to make sure we included them. One  
5 of those things was overcoming knowledge gaps  
6 whether it's about your EMR, the fact that  
7 medical schools didn't cover childhood lead  
8 exposure to any great extent. You know, within  
9 that first session we had a provider saying, I  
10 don't know how to follow up on a child with an  
11 elevated blood lead level, or I really did not  
12 understand the impact of lead on a person's  
13 potential across the lifespan.

14           So acknowledging those gaps led to  
15 significant changes in workflow. And the EMR is  
16 one of them. We saw our pediatricians leveraging  
17 their internal IT department and even each other  
18 if they shared the same system to modify that and  
19 make sure it was notifying them about missing  
20 lead exposure risk assessments, missing  
21 anticipatory guidance, missing blood lead tests  
22 or follow-up tests being due. We also saw them  
23 change their testing processes to include that  
24 lead exposure risk assessment as well as the  
25 workflow practice to capture a blood lead

1 specimen at the time of the visit rather than  
2 afterward. We also started hearing from our  
3 participating practices here in my department, as  
4 a nurse case manager, directly asking to  
5 collaborate on existing and new cases and  
6 special -- special types of cases which just  
7 historically have not been the trend.

8 And it's this last bullet point that I'm  
9 really excited to share some data with you on  
10 where we look at point-of-care testing versus  
11 venous testing after (inaudible). Move to the  
12 next slide.

13 So here you're seeing in the blue that  
14 aggregate data for 12-month-old testing rates  
15 that we already looked at. And then what we're  
16 looking at in the yellow, at the top of this  
17 chart, are a couple of our practices that came  
18 into this at baseline, committed to the process  
19 of collecting a blood lead specimen at the time  
20 of the visit.

21 And then in red, at the bottom of this  
22 graph, is one of our practices that's currently  
23 unable to offer point-of-care testing for a  
24 couple reasons. They were relying on a venous  
25 test after the appointment ended at a lab. And



1 the lab was not off-campus, it wasn't in a  
2 different building on campus, it was just down  
3 the hallway from the practice itself. And this  
4 group -- very motivated, engaged group, their  
5 baseline data was close to 68 percent of  
6 one-year-olds having a test. And they actually  
7 never got back to that level, dropped the entire  
8 project.

9 How about our two-year-olds, the ones who  
10 are hardest to pin down for a test? I know that  
11 sounds terrible, but that's the way it goes with  
12 two-year-olds. We can move to the next slide.

13 Again, we're looking in blue at the  
14 aggregate data that we saw on the previous slide.  
15 Our point-of-care testing groups, they have the  
16 same exact workflow for the two-year-old  
17 well-child visit. Hundred percent of those kids  
18 got a test. And then our "venous-only, after the  
19 appointment, down the hall" group came in  
20 expectedly low with their testing rates.

21 And then they built this incredible  
22 momentum. I told you they're very engaged and  
23 motivated. They changed their messaging to  
24 parents to say, We missed this at your child's  
25 one-year-old visit, we really need to get this

1 today. And the improvement here is just so  
2 impressive. But once those summer months rolled  
3 around, that "venous-only, after the appointment,  
4 down the hall," those obstacles, they just --  
5 they were insurmountable for this group and they  
6 fell back nearly to baseline.

7 So after seeing the aggregate data broken  
8 out like this for 12- and 24-month-old children,  
9 the catch-up testing shouldn't be that  
10 surprising. Let's move to the next slide.

11 Again, we asked our practices, you know,  
12 some of them, like our red -- red-line  
13 venous-only group had to change their EMR really  
14 to look for and prompt them to find these  
15 children who had never had a blood lead test.  
16 And once they did that, it's not too surprising  
17 to see that they found proportionately more  
18 one -- more thirty-month-olds, three-year-olds,  
19 four-year-olds, and five-year-old children who  
20 had no blood lead test result on file.

21 So why is this meaningful for a rural state  
22 like ours? It -- you know, this is a very small  
23 sample. I recognize that. I would love to run  
24 multiple iterations of this ECHO, but I think I  
25 would see the same trend for our practices that

1       rely on venous testing only. We've long  
2       suspected they're probably struggling to get one-  
3       and two-year-olds tested. We lack a mechanism in  
4       New Hampshire to quantify that, but what we're  
5       looking at here certainly supports the idea that  
6       if you are relying on that venous test, if you  
7       are a child in that process, you are less likely,  
8       far less likely in some cases, to be tested  
9       appropriately, which in our state is at ages one  
10      and two.

11             So, Gail, I'll hand it back to you to wrap  
12      things up.

13             **MS. GETTENS:** Next slide, please.

14             So what did we learn? We learned that a  
15      project such as this requires a fair amount of  
16      time to stand it up. It certainly requires a  
17      large amount of funding. And the way we did it  
18      in New Hampshire with other partners, they  
19      require some logistical efforts and  
20      collaboration.

21             But it was absolutely worth it and it was  
22      worth it because it worked. I mean, you can see  
23      the increase in testing rates. You can see that  
24      we were engaging and educating our physicians and  
25      we were also providing really important

1 anticipatory guidance direct to the families  
2 through the use of *Happy Healthy Lead-Free Me* and  
3 the risk assessment questionnaires. It worked.  
4 It was worth it because it worked.

5 We certainly learned the incentive of MOC  
6 part 4 points. We certainly have heard from  
7 other states and other locations that it's hard  
8 to engage pediatricians on this topic. Providing  
9 MOC part 4 points with a quality improvement  
10 project brought physicians into this project.  
11 Without a doubt, combining a quality improvement  
12 project with the continuing medical education  
13 training and using the ECHO model, which is a  
14 really trusted and respected model, putting those  
15 together was highly effective. So not only were  
16 our physicians and their teams learning, but they  
17 were immediately applying that knowledge within  
18 their practice to make quality improvement  
19 changes.

20 There was a high level of engagement by  
21 having practice in the roles. So everyone at a  
22 practice heard the same information. In essence  
23 you had people to continue to discuss what you  
24 were learning or what you wanted, to changes you  
25 wanted to make in your practice. And as a

1 result, as Nicole shared, we saw multiple --  
2 multilevel changes from front-end registration or  
3 perhaps risk assessment questionnaires were  
4 passed out, or to the board book being passed  
5 out, to many changes within the EMRs.

6 But the piece that -- that we saw so  
7 significantly in -- in this project, and we are a  
8 small state, but it became very clear, blatantly  
9 clear, that if you're not using point-of-care  
10 testing, your testing rates are going to be  
11 considerably lower.

12 In New Hampshire we are huge proponents of,  
13 actively support, at times have incentivized  
14 point-of-care testing. And we really encourage  
15 others to do the same. And not just encouraging  
16 it but really looking at what barriers you might  
17 have in your state or in your region to a  
18 practice transitioning to point-of-care testing.

19 In some states they -- or some areas they  
20 charge practices -- our WIC clinics, our public  
21 health departments clinical laboratory --  
22 registration fees. This might be a one-time fee.  
23 It might be an annual fee. And this test  
24 unfortunately is -- is sort of a breakeven in its  
25 reimbursement rate, maybe just slightly above

1 break -- a breakeven level. So if a practice is  
2 looking at that they might have to pay a clinical  
3 laboratory registration fee, that might be a  
4 barrier. And certainly if it's an annual fee, it  
5 might be a very large barrier. So it's just  
6 something to think about, that it's -- it's very  
7 clear that if you're not supporting and promoting  
8 and actively working to get point-of-care testing  
9 into your state, into your pediatric practices,  
10 your testing rates are going to be lower.

11 As I said, we've been real big supporters in  
12 New Hampshire since 2016 of getting our practices  
13 to transition away from venous draws to  
14 point-of-care testing. And this study, amongst  
15 other things, just really made us want to amplify  
16 our efforts for our kind of one or two outliers  
17 that aren't using point-of-care testing to help  
18 support them make that transition. Next slide,  
19 please.

20 So this is our contact information. Nicole  
21 and I are happy to share. I mean, obviously,  
22 now, during question and answer, but at any time  
23 going forward if your program or agency or state  
24 is interested in learning more. And we can also  
25 connect you with the many others who helped

1       mentor us as we were standing up our project.

2       Thank you.

3               **MR. AMMON:** Thank you very much, Gail and  
4       Nicole, for a great presentation. You know,  
5       any -- all these presentation I'm always amazed  
6       because my head goes a million miles a minute  
7       about thinking about -- but this seems so easy.  
8       You guys make it look so easy, and it's not. I  
9       mean, it's -- it's a huge amount of work, you  
10      know, for me and all the programs that we have at  
11      HUD, you know, how we -- seeing how we can make  
12      this scalable and directly into the areas we are,  
13      like for public housing residents, you know, and  
14      things of that nature and setting up  
15      point-of-care testing to really get on top of  
16      what's going on at the houses and things of that  
17      nature.

18             One -- one main question that I have -- and  
19      now that we are open for questions and I invite  
20      others to ask and I'll open -- so for me,  
21      obviously, the connection between testing and  
22      remediation is key, right? And those referrals  
23      make a difference in both -- in terms of linking  
24      clinical management into doing the actual  
25      intervention work in the homes.

1           So if you could -- could -- if you could  
2 talk about -- a little bit about your work to  
3 then take this work and then make the right  
4 referrals to a lot of the agencies that do the  
5 actual home intervention so that -- that it's not  
6 just, you know, static data that we have, but it  
7 actually is -- is used to focus on homes not only  
8 those -- those homes which may already have  
9 poisoned kids but also on the preventative side  
10 by doing large, you know, structures and things  
11 of that nature. So again the connection between  
12 this work and programs that actually do the  
13 housing intervention.

14           **MS. LANG:** Do you want to begin, Gail, or  
15 should I?

16           **MS. GETTENS:** I was going to have you  
17 start --

18           **MS. LANG:** Okay.

19           **MS. GETTENS:** -- by talking about the  
20 linkages we put in place.

21           **MS. LANG:** Sure. So in the state of New  
22 Hampshire, our legislative action value -- not  
23 health-based, it's just what the representatives  
24 agreed upon -- is 5.0 micrograms per deciliter.  
25 So when a child has venous draw at that level, a



1 nurse case manager like myself is able to contact  
2 a family and offer referral not only to nutrition  
3 services like WIC and child development services  
4 like early intervention, but also to having their  
5 home assessed for lead hazards.

6 In New Hampshire by law if a child resides  
7 in rental housing, then our internal  
8 environmental team will visit that property, do  
9 some XRF testing. If lead -- lead hazards are  
10 found, the property goes under legal order and  
11 the landlord or property owner will need to  
12 abate -- it's a pretty thorough abatement process  
13 that these properties go through. I don't work  
14 on that side but it's required by law.

15 If a child resides in owner-occupied  
16 housing, there is no law to compel the owner of  
17 that home to make changes. We pay for an  
18 assessment, a limited assessment of the home,  
19 with a very trusted vendor. They do a very good  
20 job. And they also help families apply for  
21 federal monies, like HUD money, to abate at -- at  
22 their property.

23 Gail, jump in any time because I know you  
24 know a little bit more about that side than I do.

25 **MS. GETTENS:** In New Hampshire we have

1 three -- we're really fortunate to have three  
2 lead hazard reduction grants. Our small city of  
3 Nashua; our small city of Manchester; our county,  
4 Sullivan County; and a fourth one is at New  
5 Hampshire Housing and Finance Authority that  
6 covers the rest of the state minus the areas I  
7 just mentioned.

8 And when our team members, our lead  
9 inspectors, go out to do an assessment, a lead  
10 inspection, to determine where the lead hazards  
11 are in the home after we've had a child who has a  
12 blood level test documented at five or greater,  
13 if they are finding the lead hazards in that  
14 rental unit, we work with our lead inspectors to  
15 work with connecting the landlords of those  
16 properties to these lead hazard reduction grant  
17 programs so that they can access the funding that  
18 comes through HUD into our state to remediate the  
19 lead in those rental units.

20 So there is -- you know, when we're  
21 increasing our testing rates as we did in this QI  
22 study, it means Nicole and her nurse case  
23 managers and that are lead inspectors are all the  
24 busier because they're visiting these families  
25 and inspecting their homes. And when they're

1 rental units, we are actively working to connect  
2 those landlords with our HUD-funded lead hazard  
3 reduction programs.

4 **MR. AMMON:** That's music to my ears, of  
5 course. I mean, I think that we structurally --  
6 yeah, I mean, the -- I guess the one thing that  
7 we're facing too is that you guys have a lot of  
8 structural components in New Hampshire, right?  
9 You've done a lot of the foundation work, both in  
10 terms of screening, testing. You have the lead  
11 house control programs which have been operating  
12 for -- for decades, you know (indiscernible) --

13 **MS. GETTENS:** They're excellent, yes.

14 **MR. AMMON:** And also -- yeah. And also the  
15 legislature has been very, very helpful too in --  
16 in -- again, in helping build out at capacity and  
17 things of that nature. You know, one of the  
18 things that -- that we're finding that I'd like  
19 to hear from you is that -- that taking -- taking  
20 this model and -- and bring it to scale, both in  
21 terms of communities that already have a lot of  
22 the capacity -- but we're finding a lot of  
23 communities -- in fact, you know, we just had our  
24 national new grant orientation last week and it  
25 was -- it was amazing how many brand-new grantees

1 are there.

2 And so I'm just -- I would like to hear from  
3 you in terms of, wow, you guys have a lot of  
4 structural components already built in that help  
5 this process. Could you see it being used, too,  
6 as somebody who's very new to this process, who  
7 is trying to build capacity? Because there's a  
8 lot that can be learned here across the country  
9 since there's a lot of, you know, uneven not only  
10 application but also just a level of capacity.  
11 And -- and it would be great if you feel that  
12 this could definitely be -- be used by, you know,  
13 brand-new folks who are just trying to get up to  
14 speed and trying to build out the capacity and  
15 really start their programs that you  
16 (indiscernible) fund.

17 **MS. GETTENS:** I would -- if you're referring  
18 to this quality improvement project, I would say  
19 absolutely. I mean, we -- no joke, if tiny  
20 little New Hampshire can pull this off, anyone  
21 can. But we -- we really relied on learning from  
22 Utah and Wisconsin and New Jersey's chapter of  
23 the American Academy of Pediatrics. And I would  
24 encourage any state, any new grantee, to connect  
25 with their local chapter of AAP. Many of them

1 are ECHO hubs. New Hampshire's isn't so -- but  
2 many state chapters are ECHO hubs, so you may be  
3 able to partner with them to stand up a similar  
4 quality improvement continuing medical education  
5 training project so that you would be identifying  
6 more children.

7 And I would just add one of the didactic  
8 presentations that we had in our series was a  
9 discussion. It was actually presented by Nicole  
10 in one of the best well-received on all of the  
11 supports -- that once a child is identified as  
12 having an elevated blood lead level, all the  
13 supports that our nurse case management team can  
14 provide to the family -- but to the clinic -- to  
15 the physician in terms of managing this child's  
16 health.

17 And it also explained that we have lead  
18 inspectors that go out. And we explained the  
19 whole process so our physicians could understand  
20 all that -- all that is triggered with an  
21 elevated blood lead level and then what  
22 additional resources are available for families,  
23 predominately our HUD funding for lead hazard  
24 reduction so that the lead hazards in this  
25 child's environment can be addressed and reduced

1 if not removed completely.

2 So it -- we're happy to share how we stood  
3 it up with funding we used. But I would say that  
4 if we stood it up, I'm confident that other  
5 states can as well. And, again, recommending  
6 reaching out to your state chapter of AAP. But  
7 in addition to that, we -- our huge partner that  
8 really made this possible was AmeriHealth  
9 Caritas. AmeriHealth Caritas is a contracted MCO  
10 in, I believe, 41 states. So that would be  
11 another partner to tap as well.

12 **MS. LANG:** And -- and, Gail -- she kind of  
13 touched on this and I alluded to it a little bit  
14 when I said that our providers really didn't --  
15 they expressed, I don't know how to follow-up on  
16 this problem. He -- the -- the big assumption  
17 and rightfully so amongst our participating  
18 providers was, Oh, I thought when a child had,  
19 you know, a lead level of five or higher that the  
20 state comes in and fixes the housing. I mean  
21 that was just -- flat out that was the  
22 understanding of how this process works. And it  
23 is, you know, disheartening to have to explain  
24 that, you know, if the child lives in  
25 owner-occupied housing, the family is not under

1 any obligation to do anything at all regarding  
2 the structure. And that -- that's just how our  
3 legal framework is at this time and also that we  
4 had families who wanted to abate who sat on the  
5 waiting list for a very long time, trying to get  
6 funding to do that work.

7 So my job as a nurse case manager, and my  
8 colleagues as well, is to really help the  
9 families understand how to live with lead  
10 hazards. Like, now you know about this terrible  
11 problem, how are you going to shelter in place  
12 until either your child's a bit older and has  
13 different behaviors or until some funding is  
14 available and you're property owner can work to  
15 make this housing safe moving forward?

16 So that piece of education was critical for  
17 providers because they're like, Oh, now I  
18 understand these blood lead levels. You know,  
19 I -- I couldn't understand why they were static  
20 or even went up a little bit and then finally  
21 started coming down because they had no  
22 background information on the housing part of  
23 this.

24 **MR. AMMON:** Yeah, no, I can imagine. And I  
25 think the continuing education, you know, is a

1 huge part of not only educating families but also  
2 practitioners and things of that nature, you  
3 know, as we try to universally increase the  
4 number of children which are screened.

5 Okay. So I see we have a question. I'm  
6 trying to see who it is.

7 **DR. RUCKART:** And it looks like Brian  
8 Weaver.

9 **MR. AMMON:** Oh, there we go. Brian. Sorry,  
10 I had to expand my view.

11 **MR. WEAVER:** Yeah, yeah. Thank you.

12 **MR. AMMON:** Brian, I'll turn it over to you  
13 for a question. Sorry about that.

14 **MR. WEAVER:** Yeah. No. Well, first, thank  
15 you, Gail and Nicole. I have been a big fan of  
16 New Hampshire's work. You guys are always, like,  
17 willing to share the lessons learned. I think  
18 you guys are the models in that as far as sharing  
19 the resources, information out to other programs.  
20 So thank you for that.

21 So as I mentioned earlier, I -- I work in  
22 Wisconsin with the Department of Health Services.  
23 So, yeah, I would be a proponent of the ECHO  
24 project. I didn't actively participate in our  
25 ECHO, but I know we had very similar results that



1 New Hampshire did as well. So appreciate you  
2 highlighting that.

3 I guess I want to make one comment, and I  
4 did have a question for you. But one comment: I  
5 really appreciate your emphasis on point-of-care  
6 testing. I have found it sometimes a contentious  
7 issue depending on the audience and kind of like  
8 the outcomes you're looking for. I would -- I'm  
9 a big proponent of it.

10 We have heard from our 13 Medicaid HMOs in  
11 Wisconsin that they also, too, are trying to  
12 create the point-of-care testing in their clinics  
13 because they know that they're being measured in  
14 Wisconsin on the proponents of blood lead testing  
15 in children enrolled in Medicaid under six. So  
16 they're very interested to increasing their  
17 performance on that measure. So they, too, are  
18 talking about and trying to increase  
19 point-of-care testing.

20 And so I found -- this is now -- this is a  
21 couple of years old, the caveat is I've done a  
22 little bit of research into this issue versus  
23 venous testing that I would think this would be a  
24 great area for CDC to explore more, support  
25 research and point-of-care testing, and really --

1 and I know they've done -- they're doing the --  
2 the innovation project that they were funding,  
3 but to come up with some really clear guidance  
4 used in point-of-care testing and what the  
5 research is saying in the utilization of that.  
6 So that's my comment.

7 My question for you -- which I'm not sure  
8 you're prepared to answer this, but it's related  
9 to your universal blood lead testing law. And  
10 I'm just curious -- so I'm really curious on kind  
11 of policy and the systemic impact statewide, what  
12 -- if you're able to -- if you're monitoring  
13 that, if you're seeing any outcomes of that  
14 universal testing law and just kind of lessons  
15 learned from that, anything you want to lift up  
16 related to that.

17 **MS. GETTENS:** It certainly -- Brian, it  
18 certainly drove our testing rates, increased our  
19 testing rates, and continues to. And I would  
20 suspect, it -- it helped us recover quickly, more  
21 quickly than we might have post-pandemic if we  
22 hadn't had the universal testing law in place.

23 It also helped us move beyond the HEDIS  
24 measure, the Medicaid HEDIS measure of one test  
25 by two. So there was a lot of misinformation or

1 misguided physicians prior to our universal  
2 testing law change that we're really focused on a  
3 HEDIS measure which is one test by two.

4 So it -- it not only moved our testing rates  
5 but also really helped almost a paradigm shift  
6 with many of our health providers -- and I'm  
7 going back to 2018 -- to understand that two  
8 tests were needed at age one and again at age  
9 two.

10 So it's had a huge positive impact. We also  
11 by this point, 2018, so almost six years --  
12 granted we had a pandemic in the middle of it,  
13 but, you know, there was kind of no tradition,  
14 oral history, kind of you -- you know, your first  
15 child was never tested, so you never thought of  
16 having your second child or your neighbors'  
17 children or your cousins, you know, were never  
18 tested, so you never thought to have your own  
19 child tested or to ask for a test.

20 So now that we're at six-plus years into  
21 this, you know, we're beginning to see that --  
22 that momentum, that understanding, that -- that  
23 this is just part -- should be part of your  
24 child's routine well-child check-up visits.

25 Yeah, it's with a huge legislative success

1 and a real gamechanger in a -- in a small state  
2 such as ours.

3 Which Wisconsin has also just moved to  
4 universal testing as well; correct?

5 **MR. WEAVER:** It's not in law. It's a  
6 recommendation.

7 **MS. GETTENS:** Oh, okay.

8 **MR. WEAVER:** And -- yep, yep.

9 **MS. GETTENS:** Okay.

10 **MR. WEAVER:** So we do struggle with the  
11 HEDIS measure being used as the measure for  
12 performance for our Medicaid --

13 **MS. GETTENS:** Yes.

14 **MR. WEAVER:** -- programs in Wisconsin and --  
15 but public health recommendation, we follow  
16 the -- the test, you know, one and two. So it  
17 looks like it's been widely accepted at least in  
18 this first year. We're seeing significant jump  
19 in our numbers as well, too. So maybe it doesn't  
20 need necessarily to have, like, the rule of law  
21 behind it, but that ultimately, I think, is our  
22 outcome to what we're looking for at some point  
23 in time. Thank you.

24 **MS. GETTENS:** Two -- the one other thought  
25 that I would point out is that -- well, two

1 thoughts. One was that our change to universal  
2 testing, a piece of the legislation that did not  
3 make it through was requiring it for school  
4 entry. And we -- we've had some legislative  
5 attempts to bring that requirement on. Actually  
6 2022, I believe it passed our House, passed our  
7 Senate and then was vetoed.

8 So that's the only piece that we wish we had  
9 captured, that knowing school nurses are looking  
10 at health forms for all the immunizations or  
11 whatever other requirement a state might have  
12 that they'd also be looking at those health forms  
13 in childcare entry and public-school entry to  
14 determine if the child's been tested. And if  
15 not, require that testing over -- in a certain  
16 period of time.

17 We have one school district in New Hampshire  
18 that as a -- as a small little school district in  
19 New Hampshire has passed that policy for their  
20 school district, that they do require it. And  
21 our one school district in New Hampshire requires  
22 that testing for school entry.

23 The other piece I just might mention is that  
24 New Hampshire, too, has been really frustrated by  
25 the HEDIS measure being one test by two. And

1 just in our new Medicaid contracts that just  
2 started this past November, we actually changed  
3 our metrics and requirements in our state level  
4 contracts because we knew little New Hampshire  
5 wasn't going to be able to change the HEDIS  
6 measure. So in our Medicaid contracts that just  
7 started September 1st, our Medicaid contractors  
8 are now required to test at one and report on it,  
9 test at age two and report on it, and also report  
10 on how many of their members have had a blood  
11 test at both age one and at age two.

12 And we still collect the HEDIS data but it's  
13 fourth on the list and that has driven tremendous  
14 change already in our Medicaid testing numbers.  
15 In addition to changing the requirements, there  
16 are specific metrics for each year of the  
17 contract, that they're required to increase  
18 testing for one- and for two-year-olds by a  
19 certain percentage each year of the five-year  
20 contract or there are fines and penalties.

21 We also put it into the incentive program.  
22 So in addition to you being fined if you're not  
23 meeting these metrics each -- each year of the  
24 contract, the increase in your testing rates for  
25 both age groups over the five-year contract,

1       you're also able to -- if you move beyond and  
2       move at a higher rate, there are huge financial  
3       incentives and also incentive of being assigned  
4       more members, auto-enrollment assignments.

5               So -- so we've really worked. It was a long  
6       game, but we successfully changed our contracts  
7       as of September 1st to really move around the  
8       HEDIS measure which was really a barrier to  
9       getting our two-year-olds tested.

10              **DR. RUCKART:** I see we've got other  
11       questions, Matt.

12              **MR. AMMON:** I do see.

13              Paul, you -- you were there first.

14              **DR. ALLWOOD:** Well, yeah. I'll -- I'll just  
15       make a quick comment and I'll turn it back over  
16       to Stephanie.

17              So I just wanted to kind of express my -- my  
18       gratitude to Gail and Nicole for a really awesome  
19       presentation. Like Brian said, you know, we  
20       really, you know, appreciate the work that you're  
21       doing up in New Hampshire. Really loved the  
22       presentation, really loved how you describe the  
23       state and how it's, you know -- how the -- the  
24       population is distributed and, you know -- and  
25       the work you do with ECHO.

1           But specifically I wanted to mention that  
2           the board book -- I was visiting one of our  
3           community-based organizations that we recently  
4           began funding on the east side of Chicago. And  
5           they have an after-school program for kids who  
6           are not quite meeting their academic milestones  
7           and they're living in a kind of heavy  
8           lead-exposure risk community. And they bring  
9           them in after school to the library and they make  
10          the kids and the parents go through the board  
11          book.

12           So I -- you know, I just think this is just  
13          an awesome, you know, intervention, something  
14          that's actually, you know, kind of delivering  
15          more than its -- than its weight in gold, you  
16          know, and it's, you know, very widely  
17          distributed. So just wanted to acknowledge that  
18          and if you have any statistics on how broadly  
19          distributed that -- that resource is now, I'd  
20          like to know that. But you can tell me offline.  
21          So thank you.

22           **DR. RUCKART:** Thank you, Paul.

23           **MR. AMMON:** Thanks, Paul. And our last  
24          question from Stephanie. We have a hard stop at  
25          12:30.



1           **DR. YENDELL:** Okay. So this should  
2           hopefully be quick. I was just wondering, when  
3           you're talking about the testing in New  
4           Hampshire, it sounds like providers either do  
5           capillary with the point-of-care testing or they  
6           do venous that's sent out to a lab. And I was  
7           just wondering if you could clarify that's the  
8           case. Because in -- in Minnesota our experience  
9           is that a lot of providers have success with  
10          collecting capillary samples in Microtainer tubes  
11          and sending those off to the lab rather using the  
12          point-of-care devices. And during the -- the  
13          recalls, we saw an exact, like, one-for-one  
14          exchange in capillary testing on point-of-care  
15          with capillary testing sent out to labs and we  
16          didn't see any drop in testing during -- during  
17          the recall during -- during the recall periods.  
18          So I was just wondering is that something that  
19          providers in your area do or are there barriers  
20          to them sending out capillary samples to  
21          laboratories?

22          **MS. GETTENS:** It's an excellent question.  
23          And we are heavily point-of-care testing. And  
24          that's something we've really driven in terms of  
25          educating and incentivizing it. Especially as I

1       said in 2016 and 2017, we were really working --  
2       we were a state at that time that was almost  
3       solely relying on venous and it wasn't working.  
4       And we worked really hard to educate our  
5       physicians and our health systems on  
6       point-of-care testing, and most made the  
7       transition.

8               We certainly have practices that collect a  
9       capillary sample in the office and then send it  
10      out. It's certainly fewer in number. The pieces  
11      we hear often is just, you know, it's a much  
12      larger sample that you'll have to collect from a  
13      tiny finger to send out. And then if it is  
14      elevated, you're not getting that result back for  
15      usually, you know, depending upon what lab you  
16      use, 24, 48 hours. And it does -- sometimes  
17      longer. And it does require, then, the practice  
18      following up with the family and getting a family  
19      to come back in for a venous draw.

20             And those are the -- those are the reasons  
21      or the drawbacks that we hear from our  
22      physicians. Because with a point-of-care device  
23      when you have that result immediately while the  
24      child's still in the office, talking to the  
25      physician, if you explain that your child may

1 have an elevated blood lead level at that time,  
2 then you can escort the family to the lab and the  
3 family's usually really motivated to go get that  
4 follow-up confirmation test.

5 So that's just some background on our state.  
6 We certainly do have a few practices that use  
7 Microtainers to collect capillary specimens that  
8 go out, but there -- there are some -- it's  
9 certainly way better than a venous draw which  
10 isn't just -- which isn't going to happen. But  
11 there are certainly some drawbacks with a  
12 Microtainer that -- that I just mentioned.

13 But that certainly is an option that's out  
14 there to collect -- at least to collect a sample  
15 at the time of the child's well-child checkup.  
16 But, again, in New Hampshire we are -- I can't  
17 quite say exclusively, but a very, very high  
18 percentage of our practices use point-of-care  
19 testing. And that's really where it's driven --  
20 drove those really positive trajectories that you  
21 saw in our testing rates from 2015 to 2019 and  
22 continue to. Thank you.

23 **MR. AMMON:** Thank you for the question. And  
24 thank you very much to Nicole and Gail. It was  
25 great presentation. Thank you very much for

1 fielding questions.

2 And now we're going to turn it over as part  
3 of the agenda for public comment. We have one  
4 public commenter, Tom Neltner, who Paul mentioned  
5 is the national director of Unleaded Kids. And  
6 you probably know his previous work on the  
7 Environmental Defense Fund and others. So I will  
8 turn it over to Tom.

9 Welcome, Tom.

10 **PUBLIC COMMENT**

11 **MR. NELTNER:** Thanks, Matt. You guys going  
12 to turn my camera on too? Or -- okay.

13 So I've got ten minutes, and I'm going to go  
14 quickly through it. I really appreciate this  
15 opportunity. I want to thank Gail and Nicole for  
16 their outstanding program and their presentation  
17 in raising these issues. Also want to thank CDC  
18 for the million-dollar challenge that's helping  
19 to meet the need for point-of-care testing.

20 Big picture: I wanted to raise the need for  
21 more frequent meetings. I know Congress said  
22 annual. That doesn't mean only annual. I think  
23 there's need for more meetings. And the agenda  
24 here is a good example of why LEPAC needs to be  
25 meeting more often.

1 I had a number of issues. First up is the  
2 mapping lead exposure risks. This was -- it was  
3 a -- LEPAC had a meeting in 2022 that -- where  
4 CDC presented the Lead Exposure Risk Index. It  
5 showed promise but we haven't seen anything since  
6 that. And it's important because more than a  
7 thousand drinking water utilities are posting  
8 maps of service line materials, of where the lead  
9 pipes are. And it lacks all context with other  
10 exposure sources, specifically paint but also  
11 aviation sources, cleanups, and the like. And  
12 that lack of context is -- means it's misleading  
13 for the public.

14 If CDC were to be able to come up with the  
15 Lead Exposure Risk Index and move that forward  
16 after two years of waiting, it would be -- I  
17 think the utilities would grab it up, but they  
18 need something authoritative.

19 I know EPA, CDC have worked on lead exposure  
20 hotspots. That's really important, but it  
21 doesn't cover the country to point out the  
22 hotspots.

23 Switching topics, next is action levels.  
24 We're going to have food action levels from  
25 (indiscernable), hopefully this month. We got them

1 from EPA on dust in October. We've long had them  
2 on water in workplaces. We're going to have them  
3 on soil and paint from the EPA.

4 Back in 2016, CDC's board of scientific  
5 counselors considered whether the blood lead  
6 reference value should be really framed as an  
7 action level, and I would encourage that it  
8 should be, especially now that "action level" is  
9 a more common term. The reason the BSC didn't do  
10 it was "action level" seemed like an unfamiliar  
11 term. I think that's going to change.

12 Shifting over to the  
13 Preventing Lead Exposure in Adults  
14 recommendations, one of the things that I liked a  
15 lot was the recommendation for Cal/OSHA as a  
16 model. That Cal/OSHA rule is a role model at  
17 preventing the lead exposure and preventing lead  
18 take home from -- to families. So it's not just  
19 protecting the people that work in that area, but  
20 also protecting their families and that's  
21 critical.

22 One of the things that quote -- that caught  
23 me on that PLEA report was when it said that,  
24 quote: The magnitude of lead related risk is --  
25 for lead from exposure to adults, is on par with

1 that of other prominent cardiovascular risk  
2 factors such as elevated cholesterol, smoking,  
3 and hypertension. That had been the focus of  
4 extensive public health concern. We need to be  
5 thinking about adult exposure in the context of  
6 cholesterol, smoking, hypertension.

7 So I agree with the recommendation on  
8 emphasizing Cal/OSHA. They provided a path  
9 forward. It's well-documented in that report.  
10 Thank you for that.

11 As I review the ACOG and CDC guidelines on  
12 testing during pregnancy, I think the  
13 recommendation should also call for review of the  
14 ACOG, CDC risk factors. Those risk factors,  
15 while they made -- made general common sense, are  
16 not validated. They also, frankly, as somebody  
17 who's worked for a quarter century in this area,  
18 would be very tough to apply. In practice I  
19 don't know how a fam -- a patient or the doctor  
20 is going to come up with them. In essence  
21 they -- they serve as a barrier. They've been  
22 crafted to exclude rather than include.

23 So put reviewing those factors on how to  
24 make them more validated, more useful would be  
25 important.

1           Also we need to focus on screening houses  
2           not just people because houses are the biggest  
3           source of most of lead exposure. We also need to  
4           consider the unintended consequences of blood  
5           lead testing. How do we not just advise the  
6           family but given all the attention on the fetus,  
7           how do we make sure that that's being handled  
8           properly?

9           And I think as you heard from Gail and Brian  
10          that we need to evaluate universal blood lead  
11          testing for children and pregnant (indiscernible).  
12          Pediatrics -- the *Journal of Pediatrics*,  
13          published by the American Academy of Pediatrics,  
14          just recommended that we do universal blood lead  
15          testing for pregnant (indiscernible).

16          Wanted to highlight a couple of other  
17          points on the PLEA recommendations. It is great  
18          to see the group work -- that report putting  
19          recommendations and calling out specifically the  
20          low compliance rate for the renovation, repair,  
21          and painting work. If we improve that, it would  
22          protect workers, it would protect children, it  
23          would protect the families living in the home.

24          I did want to highlight the recommendation,  
25          Roman number VI, Roman number six, for the first



1       one says that CDC should collaborate with CPSC,  
2       FDA, and EPA but it fails to mention HUD. And I  
3       think CDC should also be collaborating with HUD  
4       on this one. I think that's an oversight.

5               And, finally, I'm concerned that earlier  
6       this year, CDC, HUD, and EPA signed an MOU on  
7       data sharing, which I was excited about. But  
8       when I read it, CDC basically says, We're not  
9       going to share data unless it's already publicly  
10      available. I think we need to recognize that EPA  
11      and HUD are public health agencies. One of their  
12      purposes is to protect public health by  
13      providing -- reducing environmental health  
14      hazards, by providing safe housing, and then as  
15      public health agencies, they know how to protect  
16      this data. Rather than discouraging data sharing  
17      like CDC did in this agreement, they should be  
18      encouraging it. We need more of that.

19             Thank you very much.

20             **MR. AMMON:** Thank you very much, Tom, for  
21      your comments.

22             And that actually puts us right on schedule  
23      for our lunch break. And we will reconvene at  
24      1:15 to go over some lead-related updates from  
25      the LEPAC members.

1           So, again, we will pause and we will  
2 reconvene at 1:15. So I will see you then.

3           **DR. RUCKART:** Thank you.

4           (Recess)

5   **LEAD-RELATED UPDATES FROM LEPAC MEMBERS**

6           **MR. AMMON:** All right. It is 1:15. I hope  
7 everybody is ready to launch back into the  
8 agenda. Everybody had a quick lunch.

9           So for this part of the agenda, we're going  
10 to go through and have the LEPAC give updates.  
11 And so I'm going to actually run through the list  
12 of folks and if you have an update, great. But  
13 we -- we'd like to hear from everybody. I mean,  
14 there's -- again, as I mentioned early on, it's  
15 been a lot of work that -- that transpired this  
16 year. And it's good to hear back from everybody.

17          And so with that, hopefully everybody is  
18 ready. And we'll start with -- I'll just give  
19 you the first three just to make it easier, but  
20 I'll have everybody commit. First, we'll start  
21 with FDA and then EPA and then CDC.

22          So with that, let me turn it over to  
23 Dr. Brenna Flannery from FDA to give her updates.

24          **DR. FLANNERY:** Yes. Hello. Good afternoon.  
25 I do have some updates for you all with regards

1 to Human Food Program updates for lead.

2 So FDA collaborated with federal, state,  
3 local, and international partners to respond to  
4 lead contamination of apple cinnamon pouches and  
5 identified the potential source of the issue.  
6 FDA's compliance activities included but were not  
7 limited to issuing public health alerts, warning  
8 letters to industry and distributors, and  
9 pursuing voluntary recalls by the industry.

10 FDA continues to sample through its Toxic  
11 Elements monitoring program targeted assignments  
12 and testing by states. Testing of a variety of  
13 foods includes colored spices offered for sale in  
14 the U.S., imported foods with levels of toxic  
15 elements that may be unsafe can be placed at  
16 import alert and detained.

17 FDA is engaged with international partners  
18 through Codex Alimentarius, specifically the  
19 Codex Committee on Contaminants and Foods to work  
20 toward development of recommended maximum levels  
21 for lead in herbs and spices including cinnamon.

22 FDA shared its lead-in-cinnamon data with  
23 Codex in Spring 2024.

24 FDA intends to finalize the Guidance for  
25 Industry on Action Levels for Lead in Processed

1 Food Intended for Babies and Young Children by  
2 the end of 2024.

3 FDA continues to maintain import alert 99-45  
4 for imported pressure cookers from a specific  
5 manufacturer found to contain high levels of lead  
6 that can leach into food during cooking and to  
7 monitor retailer sites to ensure this product is  
8 not sold in the United States. These products  
9 have previously been connected to high blood lead  
10 levels in children in African and refugee  
11 populations in Washington state.

12 In the fall 2024, FDA contacted third-party  
13 Internet retail sites, requesting the removal  
14 from retail websites of the products covered by  
15 the import alert. In response these retailers  
16 committed to remove and prohibit product listings  
17 for all related pressure cookers from their  
18 website.

19 FDA is engaged in the International Lead  
20 Exposure Working Group (unintelligible)  
21 under the lead subcommittee of the President's  
22 Task Force on Environmental Health Risks and  
23 Safety Risks to Children. The International Lead  
24 Exposure Working Group is committed to reducing  
25 lead exposures in lower and middle income

1 countries.

2 And then the final update I have for  
3 everyone is that in October 2024, FDA scientists  
4 published dietary lead exposure estimates for  
5 infants, zero to 11 months of age, and young  
6 children, one to six years of age. Dietary lead  
7 exposure estimates were determined using lead  
8 concentration data from the 2018 to 2020 FDA  
9 total diet study and food consumption data from  
10 the NHANES survey: What We Eat in America, 2015  
11 to 2018.

12 In infants not consuming human milk,  
13 81 percent of total dietary lead exposure was  
14 from the processed baby food and infant formula  
15 food group. This exposure appeared to be driven  
16 mainly by food consumption levels. In children  
17 the grains and baking food group contributed  
18 25 percent of total dietary lead exposure.

19 And that is all of the updates that I have  
20 for you all from the Human Foods Program.

21 **MR. AMMON:** Thank you very much for that  
22 update.

23 Now I'll turn it over to EPA.

24 **MS. ROBIU-RAMÍREZ:** Hello, everyone. Grace  
25 here from the U.S. Environmental Protection

1 Agency.

2 So I prepared a -- I think a five-page  
3 handout that summarizes EPA activities between  
4 the last LEPAC meeting and now. I made it  
5 available to the LEPAC organizers. I'm hoping  
6 that they can distribute it to the membership and  
7 the affiliates somehow. I don't know, by e-mail  
8 or by attaching it to the -- to the meeting  
9 conversation.

10 I -- I organized the activities in that  
11 handout based on media because that's the way  
12 that EPA is organized. But what I'd like to do  
13 today with my five or five, six minutes is  
14 highlight a subset of the activities that we've  
15 carried out during this time.

16 EPA has -- as you may be aware, has been  
17 very busy at work putting out proposed and final  
18 regulations, loaning out money in the form of  
19 loans and grants, conducting research, training,  
20 and communicating about our work.

21 In the regulatory sphere, we have done a --  
22 a ton of things. Briefly, without dwelling too  
23 much on each one of them, we, about a year ago,  
24 put out the endangerment finding lead emissions  
25 of lead from aircraft that operate on leaded fuel

1       cause or contribute to air pollution and that may  
2       be anticipated to endanger public health and  
3       welfare. I think we -- I think that was actually  
4       put out the day after the last LEPAC meeting.

5               We've also put out regulations on secondary  
6       lead smelters, on large municipal waste  
7       combustors, on -- on the iron and steel  
8       manufacturing industry, on the national standards  
9       that were put out on air pollution for passenger  
10      cars, light truck -- light-duty trucks and  
11      medium-duty vehicles. And all of those have  
12      economic benefit consequences for lead  
13      specifically.

14             In the drinking water world, we, of course,  
15      put out the Lead and Copper Rule Improvements  
16      which requires that drinking water utilities  
17      replace lead pipes or -- yeah, lead pipes within  
18      ten years. It requires rigorous testing and  
19      lowering the threshold for taking action by water  
20      utilities.

21             In the dust -- in the lead paint dust space,  
22      we put out, of course, a regulation. That was  
23      the most recent, perhaps, for pre-1978 housing  
24      and childcare facilities.

25             And in the soil space we put out guidance on

1 reducing screening levels for lead in residential  
2 soils. And that actually was coupled with about  
3 a billion dollars of investment funds for cleanup  
4 projects at about a hundred Superfund sites.

5 All of the work that we've done has not been  
6 exclusively regulatory. There's been a lot that  
7 has been left to the partnerships. And I want to  
8 highlight that for the lead service line work,  
9 we've -- since we last met, EPA has put out about  
10 \$3 billion for states and territories to identify  
11 and replace lead piping, about \$26 million for  
12 lead in schools and childcare facilities.

13 And there's also a partnership that has been  
14 launched called let the -- Get the Lead Out which  
15 is with about 200 communities, underserved  
16 communities specifically, to provide them with  
17 both funding and medical assistance.

18 There's been a number of actions being done  
19 in the enforcement space, which I won't get into  
20 at this time, and good training space, and the  
21 outreach space. I do provide in the handout a  
22 list of select 2024 publications by EPA  
23 scientists that relate to lead. There's about a  
24 page worth of those, a page and a half.

25 And lastly I do want to feature the -- in



1 the international space -- and I think that was  
2 just mentioned by our FDA colleague -- the work  
3 that's been happening to further -- to really,  
4 you know, tackle lead poisoning in lower- and  
5 middle-income countries to do something called  
6 the Partnership for a Lead-Free Future.

7 To cap it all off, I do want to acknowledge  
8 the work that has happened under the President's  
9 Task Force on Environmental Health Risks and  
10 Safety Risks to Children, which I have the  
11 privilege to cochair with HHS. We have, of  
12 course, the lead subcommittee there that's been  
13 very, very active. This year we published a  
14 report.

15 There was actually a very nice three-way  
16 press release by EPA, HUD, and HHS to release the  
17 progress report on the Federal Lead Action Plan  
18 which is a comprehensive update on the  
19 government's progress since 2018 towards reducing  
20 childhood lead exposure. So if you haven't had a  
21 moment to take a look at that, I think it's a --  
22 kind of a nice capstone collection of ac -- of  
23 actions.

24 So with that, I just want to leave you with  
25 kind of our thoughts moving forward. I want to

1 second the mention -- the comment made by Tom  
2 Neltner that this group in my opinion should  
3 meet -- meet more frequently. That would allow  
4 it to be more active and for the partnerships and  
5 the relationships to be sustained in a more kind  
6 of collaborative way, more active way.

7 I also -- I also want to acknowledge that  
8 EPA has a lot of things that are -- we'd like to  
9 do in the space and, you know, moving forward,  
10 both in the regulation space and other areas.  
11 And we're kind of waiting to see what the  
12 direction of the new administration is going to  
13 be.

14 But one common thread or common denominator  
15 through all of that is made for federal, state,  
16 and community collaboration. So one area that I  
17 think we should all think about, all members of  
18 the LEPAC and all the agencies involved, and this  
19 is how we can sustain and further the  
20 collaborative nature of our work to make sure  
21 that we are taking action, leveraging resources,  
22 both authorities and the -- you know, the  
23 strength that each of our agencies might have in  
24 order to -- to move the needle on this issue.

25 So thank you for the time. I'm available

1 for any questions. There's a lot in the handout  
2 that I shared, and I'm happy to have any side  
3 conversations with any of you. Thank you.

4 **MR. AMMON:** Thank you very much, Grace.

5 And now we will hear from Dr. Allwood for  
6 our CDC.

7 **DR. ALLWOOD:** Thank you very much, Matt.

8 And good afternoon, everybody.

9 You know, it's my pleasure to give a -- give  
10 a few updates from the branch since our last  
11 meeting. You know, we've been -- we've been  
12 quite busy and -- as I'm sure all of you have  
13 been. And, you know, we -- we're -- we're happy  
14 to share some -- some important updates about,  
15 you know, some special projects.

16 So starting off with something that I think  
17 most of you might have heard something about, I  
18 hope -- certainly hope that many of you, that all  
19 of you have heard something about the Lead Detect  
20 Prize. And, you know, on the tenth of -- I'm  
21 sorry, on the 24th of October this year, we  
22 announced three winners of phase 2 of the -- the  
23 Lead Detect Prize and this was done at an event  
24 at George Washington University at the Milken  
25 Institute of Public Health, at that institution.

1           The Lead Detect Prize was launched with a --  
2           with a clear mission to enhance and improve  
3           testing for lead in children. And we did this  
4           through a one-million-dollar prize competition.  
5           The focus was accelerating the development of  
6           more advanced point-of-care blood lead testing  
7           technology. And this is part of CDC's broader  
8           commitment to addressing childhood lead exposure.

9           The first-place winner of the competition  
10          was Meridian Bioscience and they're using  
11          electrical -- electrochemical sensors to detect  
12          lead in blood and measuring the electrical  
13          current in a blood sample. And -- and for their  
14          entry Meridian was awarded a \$500 prize for first  
15          place.

16          Second place winner is OndaVia. And this  
17          company is applying optical technology to detect  
18          the presence of lead using a distinctive  
19          fingerprint signature. And for their entry,  
20          OndaVia was awarded a prize of \$250,000.

21          Our third-place winner was GlucoSentient.  
22          And they -- they are adopting a blood glucose  
23          meter platform and using a DNAzyme sensor to  
24          detect lead in a sample -- in a blood sample.  
25          And for their entry, they were awarded a prize of

1 a hundred thousand dollars.

2 One of the major successes of this prize is  
3 how it accelerated innovation across the entire  
4 field. Through both phases, one and two, we  
5 distributed a million dollars in prize across  
6 multiple teams and each of these teams brought  
7 unique approaches to the challenge. The prize  
8 motivated both established players to accelerate  
9 their innovation and -- and, you know, current  
10 technology and timelines, but it also brought in  
11 new entrants to tackle this critical public  
12 health deed. This included companies that have  
13 never done any testing for lead in blood.

14 The prize motivated both -- I'm sorry,  
15 thanks to the prize -- thanks to the prize, we  
16 have several promising technologies that are  
17 advancing towards development from new optical  
18 approaches to innovative biosensor designs. This  
19 diversity of solutions and the competitors will  
20 ultimately give healthcare providers more options  
21 for protecting children's health.

22 The Lead Detect Prize demonstrates how  
23 innovation, open innovation and public-private  
24 partnerships can stimulate the development of new  
25 tools and new technologies to protect children

1 from lead through better testing.

2 To help one or more of these teams, these  
3 new solutions get to the market, CDC may consider  
4 continued support including developing a  
5 comprehensive resource kit that helps teams  
6 identify and pursue additional support mechanisms  
7 or by launching additional phases of the Lead  
8 Detect Prize to continue to support these  
9 promising solutions.

10 The next update I'd like to give is on our  
11 supplement to the *Journal of Pediatrics* which is  
12 published in October of this year. In  
13 partnership with -- with pediatrics, we were able  
14 to put out a supplement titled, *The Impact of*  
15 *Lead Exposure on Children and Adolescents:*  
16 *Current Updates*. And this work is vital to  
17 driving targeted research to fill gaps in certain  
18 areas of lead poisoning prevention.

19 A link to the supplement can be found on the  
20 CDC's website, that is CDC's lead program  
21 website. And I would encourage all of you if you  
22 have not had a chance to see the supplement to,  
23 you know, take the opportunity to do so. There  
24 are a number of research articles as well as, you  
25 know, very, very important commentaries that are

1 provided in the supplement. And it speaks quite  
2 impressively to some of the -- the major  
3 opportunities and challenges that we still face  
4 as far as childhood lead exposure is concerned.

5 Just about -- just about a month ago, we  
6 hosted the -- CDC hosted the 2024 Annual  
7 Recipients Meeting in Atlanta. The theme for --  
8 for this year's meeting was "Taking the Next  
9 Steps: Collaborating Across All Sectors Towards a  
10 Lead-Free Future." And there were almost 400  
11 people attending this meeting from all of our 62  
12 CDC-funded programs at the state and local level.

13 We also had representatives attending from  
14 the Flint Registry and we had representatives  
15 from 11 community-based partner organizations  
16 which CDC began funding in 2023. The attendees  
17 were -- you know, were either virtual or in  
18 person, although most of the attendees were in  
19 person this year, which was really great.

20 This was the largest attendance since the  
21 COVID pandemic. Presentations were organized in  
22 four major tracks, namely partnerships, community  
23 outreach, communication, and surveillance and  
24 data.

25 Next I'd like to share that CDC is beginning

1 a new effort to evaluate the need to update its  
2 current guidance for blood lead testing at the  
3 community level. And this is guidance that has  
4 been in place since 1997. So it's probably the  
5 right time to take a look at that guidance. The  
6 current guidance recommends following CMS  
7 requirements for universal testing of children  
8 that are enrolled in Medicaid at ages 12 and 24  
9 months or between 24 and 72 months if there's no  
10 record of a previous test.

11 For children not covered by Medicaid, the  
12 current recommendation is targeted testing of  
13 population of high risk based on age of housing  
14 and sociodemographic risk factors. In the  
15 absence of such plans, CDC recommends universal  
16 blood lead testing. So that's our current  
17 guidance.

18 Our evaluation will include the following  
19 main efforts. First we'd like to do a systematic  
20 review of a literature pertaining to  
21 community-based childhood lead testing  
22 approaches. We would like to conduct partner  
23 engagement at -- you know, at the appropriate  
24 time to get input and support and, you know, to  
25 explore the various policy -- potential policy



1 options that there may be.

2 And we're also planning ultimately to  
3 develop a report and list our recommendations  
4 that will be presented to -- for -- for  
5 consideration by leadership at higher levels  
6 within the CDC.

7 We will -- as this process moves forward, we  
8 will plan to have opportunities for partners to  
9 provide feedback and, you know, to get your --  
10 get insights and, you know, whatever guidance  
11 that we -- we can possibly gather from -- from  
12 our various partners.

13 Finally I would like to give the update that  
14 this year the CDC launched the Lead-Free  
15 Communities Initiative and a toolkit to empower  
16 communities to take proactive steps towards  
17 creating environments free from lead exposure.

18 The Lead-Free Communities Initiative offers  
19 a unique, comprehensive, and multisectoral  
20 approach for encouraging and supporting  
21 communities to collaboratively develop and  
22 implement customized plans to become lead-free.  
23 The Lead-Free Communities Network launched in  
24 July of 2024 and this is a national learning and  
25 support network for communities interested in

1       reducing and eliminating lead exposure hazards.

2               Additionally the Lead-Free Communities  
3 Initiative includes a curated library of  
4 resources and information about lead as  
5 elimination strategies and practices. And the  
6 access to the toolkit can be achieved by going to  
7 the CDC's lead poisoning prevention programs  
8 website.

9               Those are my updates. Thank you very much.  
10 I'll turn it back over to you, Mr. Chairman.

11               **MR. AMMON:** Thank you, sir, for those  
12 updates.

13               Now, I'm going to turn it over, if she's  
14 ready, to Tammy Barnhill-Proctor from education.

15               Tammy, are you out there and available?

16               **DR. RUCKART:** I think she may have needed to  
17 step out for a meeting. We can circle back with  
18 her right before you go. Thank you.

19               **MR. AMMON:** Yep, not a problem. So let's  
20 move to Gary Edwards.

21               **MR. EDWARDS:** Hello. Okay. Am I on? Are  
22 you there? I am? Okay.

23               Gee, I didn't know I was going to be giving  
24 an update exactly, but I guess what I can tell  
25 you is this -- and, you know, this is my first

1 year on this committee. I'm retired. I've been  
2 retired for about five years now. I was asked to  
3 serve on the Preventing Lead Exposure in Adults  
4 workgroup. That was wonderful because it really  
5 gave me a good step -- step stone into this --  
6 into this committee. Gave me a good background  
7 and information of what's going on and some  
8 current research and so forth. It was really  
9 great. So I appreciate that.

10 And really the presentations and speakers  
11 I've seen today, I'm very, very impressed with  
12 and learned a lot.

13 So I guess that's my update. So I'm really  
14 getting into this information and I've enjoyed  
15 the process so far.

16 **MR. AMMON:** All right, thank you very much.

17 Dr. Rebecca Fry from University of North  
18 Carolina at Chapel Hill.

19 **DR. FRY:** All right, hopefully you can hear  
20 me. Several updates from -- from us. You may  
21 remember that last year around this time lead was  
22 discovered on UNC's campus. And so I served as  
23 the -- with the scientific liaison for helping to  
24 address that. I'm really proud of the university  
25 for the way that it managed that. And I was

1 actually -- we published an article on it. So  
2 I'll share that with the group.

3 At UNC we also have the Water Institute that  
4 is run by at Aaron Salzberg. And under his  
5 direction and leadership, that team is working to  
6 help to promote initiatives for global lead-free  
7 environments. So I can also send around some of  
8 that work.

9 And then as part of the UNC NIEHS-funded  
10 Superfund program that I run, we focus on private  
11 well contamination. And, you know, in North  
12 Carolina we have one of the largest, if not the  
13 largest, population on private wells in the  
14 country. And we have issues of naturally  
15 occurring contaminants as well as lead.

16 Some of our work from the past year has  
17 shown that the lead in private drinking well  
18 water is associated with preterm birth risk in  
19 the state. And that's led to several grant  
20 applications where in particular we're concerned  
21 about exposure that's unequal across the state  
22 and higher for Native American communities who  
23 are working some of our public health initiatives  
24 on that.

25 And then we also are trying to make headway

1 in terms of increasing the understanding of  
2 clinicians, whether it's pediatricians or  
3 obstetrics, gynecologists on how to ask questions  
4 around environmental factors like lead and being  
5 able to address that. So I was really impacted  
6 by the presentation today.

7 So those are -- those are my updates.

8 **MR. AMMON:** Thank you very much.

9 Mary Beth Hance from Medicaid -- Medicaid  
10 and Medicare.

11 **MS. HANCE:** Thank you. And I will try with  
12 my camera. Hopefully this will work.

13 So I have a very brief update today which is  
14 that we have included in our Child Core Set of  
15 Quality Measures, the NCQA HEDIS blood lead  
16 screening measure. So this is a new measure that  
17 is new to the Child Core Set as of the 2023  
18 trial. Of course, that which was -- which states  
19 reported to us a year ago and reflects care  
20 delivered in 2022. We publicly report data that  
21 has been reported to CMS by 25 states or more and  
22 meets our data quality standards. And this is  
23 state level data. Other than that --

24 **DR. RUCKART:** Excuse me, Mary Beth.

25 **MS. HANCE:** -- blood lead --

1           **DR. RUCKART:** Mary Beth?

2           **MS. HANCE:** Yep?

3           **DR. RUCKART:** You are cutting out just a  
4 little bit. I'm thinking, could we try it  
5 without the video to see? Thank you.

6           **MS. HANCE:** Sure. Yep. Okay, hopefully --  
7 is that better?

8           **DR. RUCKART:** I mean, so far, but --

9           **MS. HANCE:** Okay.

10          **DR. RUCKART:** -- I'll let you know. Thank  
11 you.

12          **MS. HANCE:** Sorry. Our CMS system really  
13 does not like Teams. So I apologize.

14                So the -- the HEDIS measure is not a precise  
15 alignment with the Medicaid blood lead screening  
16 test. Of course, it's a blood lead test up to  
17 two years where the Medicaid blood lead screening  
18 requirements, as Paul mentioned in his update, is  
19 at 12 months and 24 months.

20                But we did think it was important to get  
21 this measure into the core set and the work --  
22 therefore the workgroup added it.

23                So the data that we released earlier this  
24 year with additional data products coming  
25 shortly, showed a state median of 57 percent of

1 children receiving a blood lead test -- blood  
2 lead screening test by their second birthday and  
3 that reflects 45 states that are reported.

4 We also release a different report that also  
5 includes blood lead screening data which is for  
6 the part of the requirements for recording for  
7 the early and periodic screening diagnostic and  
8 treatment benefit -- Medicaid benefit. And we --  
9 right now we have data through 2021 on our  
10 website and we will hopefully have 2022 and 2023  
11 data released in the near future.

12 And that is my update.

13 **MR. AMMON:** Thank you very much.

14 Dr. Hatlelid from CPSC. Do we have CPSC on?  
15 Is Dr. Kristine on?

16 **DR. RUCKART:** We can try her again at the  
17 end.

18 **MR. AMMON:** Yep. Yep. I'll skip -- I will  
19 skip to move on. So let's hear from Dr. Montañez  
20 from the Icahn School of Medicine.

21 **DR. HUERTA-MONTAÑEZ:** Hi. I'm very grateful  
22 for the opportunity of serving this committee and  
23 also highlight in this opportunity the importance  
24 of PEHSU in supporting local AAP chapters and our  
25 department of health in Puerto Rico to develop

1 the Puerto Rico lead surveillance system. So I  
2 can attest how PEHSU support can really enhance  
3 the efforts of establishing a surveillance system  
4 from scratch and then having the successes that  
5 they've had in 32 years.

6 Also I really appreciate the presentation  
7 about the ACOG program on lead prevention. We  
8 conducted a similar activity in Puerto Rico  
9 through the Puerto Rico AAP chapter and CDC  
10 support, and it was very successful. It allowed  
11 us, actually, to -- in addition to support the --  
12 the board certification of the -- the maintenance  
13 for certification of -- of our clinicians, also  
14 helped us identify what we called lead prevention  
15 champions.

16 And these pediatricians, now, in 2024,  
17 continue to support our efforts in our AAP  
18 chapter, PEHSU Region 2, and then also of the  
19 Puerto Rico Department of Health to be the eyes  
20 and ears of all of us to know what's really  
21 happening on the field, what's really happening  
22 in the pediatric practices and the barriers that  
23 they encounter every single day.

24 As -- you may not know that in Puerto Rico  
25 we don't have point-of-care testing. It's not



1 legal to do point-of-care testing in Puerto Rico,  
2 so we have no option. So our challenges are  
3 huge. So we have a -- a perspective of what it  
4 is to not having the -- the incredible resource,  
5 what point-of-care testing is.

6 And also, we're also focusing on -- and it's  
7 something that I want to share -- on how we  
8 promote increasing the capacity of our trainees,  
9 of pediatric residents, because we know in three  
10 years they become part of the -- of the  
11 clinicians and -- and they -- you know, focusing  
12 on their training and the understanding to how  
13 they see, they -- how they perceive the -- the  
14 importance of lead testing is -- we believe it is  
15 very important.

16 And also, finally, how Dr. Allwood presented  
17 how incentivizing innovation gave so incredible  
18 results. Also how can we incentivize our  
19 clinicians to do that testing given the current  
20 barriers in pediatric care.

21 Again, thank you very much for the  
22 opportunity. And I look forward to the following  
23 meetings. Thank you.

24 **MR. AMMON:** Thank you very much.

25 Dr. Lopata from the U.S. Health Resources

1 and Services Administration.

2 **DR. LOPATA:** Hi. Thanks.

3 So -- so first I would say the majority of  
4 the direct work done in regards to lead screening  
5 is probably done by our Bureau of Primary  
6 Health Care through our health centers. Since  
7 we -- they provide direct patient care. That is  
8 something that they certainly do and they track.  
9 I believe in 2021 the health centers had seen  
10 almost -- close -- I think 575,000 had screened  
11 for lead, about 575,000 children between the ages  
12 of 9 months and 72 months. And in 2023 that has  
13 increased to over 600,000. So it's good to see  
14 that number going up.

15 I will say that I think there's probably,  
16 you know, another way that we support through  
17 Maternal & Child Health Bureau at HRSA. Lead  
18 screening is through our Title V program, our  
19 Maternal Child Block Grant to states. And with  
20 those dollars, many of which go to local health  
21 departments, those dollars can be used to do not  
22 just lead screening but the lead abatement and  
23 other type of prevention and treatment.  
24 Prevent -- lead prevention testing and treatment  
25 again, of course with abatement.

1           The only other thing I want to say is I  
2 think there -- and I -- I know I'm new to the --  
3 to LEPAC, but I -- I think there's a lot of maybe  
4 more opportunity for us to do additional work in  
5 this area by partnering with the other agencies,  
6 you know, and -- and the other people at  
7 universities around the country. For one  
8 example, one thing we do at Maternal & Child  
9 Health Bureau is the Healthy Start program which  
10 is a program that works with pregnant women from,  
11 you know, their first trimester and then after  
12 they give birth and then up to 18 months of age.

13           And so particularly during that -- and a lot  
14 of what they do in terms of -- in addition to  
15 making sure women are plugged into prenatal care  
16 when they're pregnant, they do a lot of health  
17 education for women who are pregnant and also for  
18 women and -- and parents and fathers. As  
19 parents, a lot of health education. I think a  
20 good part of that can be reminding them to ensure  
21 that they get their children screened for lead  
22 and also making sure that those visits --  
23 especially in early childhood making sure and  
24 asking the questions, Did your child get tested  
25 for lead at 9 months and -- or, you know, 18

1 months or 24 months? I think there's a role to  
2 be played there that maybe we're not really  
3 focused on. But I think there's more work that  
4 we can do.

5 Any -- anyplace that our programs are  
6 touching families and communicating directly with  
7 them is during this, you know, early childhood  
8 period. I think there's an opportunity to do  
9 that. And I would love to be able to work with  
10 my partners on the committee to -- to do those  
11 types of things.

12 So anyway, that's -- that's all that I have  
13 right now, but again, really looking forward to  
14 working more with my committee members going  
15 forward. Thanks.

16 **MR. AMMON:** Great, thank you for the update.

17 Next we will hear from Dr. Meadows-Oliver  
18 from New York University.

19 **DR. MEADOWS-OLIVER:** Hello, everyone. My  
20 mic and my camera were disabled because I'd  
21 logged off at lunchtime.

22 But -- so as I mentioned before, I'm a  
23 pediatric nurse practitioner, and what I've done  
24 for this meeting was I sent out a survey to our  
25 pediatric nurse practitioners in the state to

1 kind of see, like, what are the barriers in  
2 practices? Because I know that a lot of people  
3 that have spoken so far were, it seems like,  
4 municipal agencies and I work in a direct  
5 practice. So I'm not as tied in with our health  
6 department other than the work that we do with  
7 the kids that already have lead poisoning.

8 But what I've found from our members is some  
9 of the main barriers to getting our children  
10 screened is -- especially in the privately  
11 insured is that people don't think that they're  
12 at risk. Like, our Medicaid population gets  
13 insured because, you know, it's re -- sorry, gets  
14 tested because it's required. But our privately  
15 insured, maybe they're living in homes that are  
16 newer and so they don't necessarily think that  
17 they are at risk. So I find that those are some  
18 of the biggest barriers.

19 So it's interesting for me to hear a lot of  
20 the updates that people have been giving here.  
21 And I'll take some of these back to our members  
22 and I appreciate being part of the committee. So  
23 thank you.

24 **MR. AMMON:** Thank you very much.

25 Jeff Sanchez, Impact Assessment, LA County

1 Health Department.

2 **MR. SANCHEZ:** Thank you.

3 So since 2014, Impact has been working with  
4 our Los Angeles County's CLPP programs as well as  
5 our county's and city's HUD Lead Hazard Reduction  
6 grant-funded programs. And so what we've  
7 basically done has been working to provide  
8 additional services that highlight kind of  
9 non-housing sources in these primary prevention  
10 programs.

11 So I'm just going to give you a quick  
12 background on the Lead-Free Homes LA program, so  
13 it's part of a twenty-year paint litigation  
14 resulting in 305 million settling in 2019,  
15 specifically for lead hazard abatement or  
16 alleviation across ten California jurisdictions.  
17 So that established Lead-Free Homes LA, focusing  
18 on pre-1950-51 housing, low-income families, and  
19 households with any children. And so I wanted to  
20 highlight that today. They just reached their  
21 thousand remediated home benchmark for such a  
22 fairly new program. So excited about that. Both  
23 the city and county of LA, as I mentioned, have  
24 received HUD lead hazard reduction funding as  
25 well.

1           So, again, as I mentioned, these programs  
2 obviously are focused on housing sources. And  
3 what we're looking to do here and we've been  
4 doing is we're looking at those non-housing  
5 sources and how friendly is that to this  
6 opportunity to -- while we're already in these  
7 homes to start talking about some of these other  
8 non-housing sources: So foods, cultural sources,  
9 take-home exposure, et cetera.

10           So (indiscernible) for the first program, we  
11 were able to implement since, again, 2014  
12 basically a community health worker intervention  
13 program where we're conducting lead housing and  
14 non-housing risk surveys and providing tailored  
15 education based on those risks that results in  
16 tailored education as well as referrals to other  
17 services such as education, housing stability,  
18 mental health, and nutritional resources. It  
19 also refers to additional blood lead testing. So  
20 there's a free lead testing program that we offer  
21 those families too.

22           The main thing we've been doing is this  
23 program evaluation. So we were able to establish  
24 a Lead-Free Homes LA evaluation committee. We're  
25 using the CDC's program evaluation framework to

1 guide that work and the analysis of the data.  
2 It's resulting in evaluation reports, stakeholder  
3 feedback, ultimately process improvements, and  
4 accountability for the program.

5 I also want to highlight some work that  
6 we've done in the past, and we can segue into, I  
7 think, kind of a similarly -- kind of like  
8 what -- what I'd like to see and potentially work  
9 with our LEPAC pack -- LEPAC members on is  
10 basically using housing data, specifically  
11 housing inspection data, to guide some of the  
12 work in doing our outreach focused, you know, to  
13 establish our primary prevention programs.

14 So what we did is that we partnered with the  
15 city of LA. They have a proactive code  
16 enforcement program. We identified 21 housing  
17 code violations that are linked to lead hazards,  
18 (indiscernible) active. So that means that they  
19 were cited for an active paint hazard -- chipping  
20 and peeling, et cetera -- as well as potential  
21 hazards. So anything that would -- that would  
22 require any kind of disturbing lead-based paint.  
23 So moving a structure, that sort of stuff.

24 And we used that, overlaid it with housing  
25 data, property rates. And we used that to guide



1       our outreach and education work as well as that  
2       also included blood lead testing. So to get  
3       those kids in for testing that are living in  
4       these properties. Also it's an opportunity to  
5       inform the families about -- or the property  
6       owners to -- about lead safe practices, et  
7       cetera. So just, you know, working in the city  
8       of LA where they identified about 10,000  
9       high-risk units. And again it -- it led to that  
10      prevention campaign -- their prevention campaign  
11      was in blood lead testing referral services.

12           So I just wanted to kind of go through a  
13      little bit about kind of this opportunity, I  
14      think, to be able to work with our city and local  
15      housing departments to -- who are -- are looking  
16      to or already have proactive code enforcement  
17      programs. I think we have about 25 right now  
18      across the country, of proactive rental  
19      inspection programs.

20           And looking to -- to -- you know, this  
21      basically covered integrate that data and their  
22      work and to guide the work of primary prevention  
23      and getting kids tested. A lot of times we're --  
24      we're kind of working with kids that have been  
25      historically tested for lead to guide our work.

1 And I think that this is an opportunity here to  
2 work with our housing departments where there are  
3 active lead hazards that have been identified.  
4 They're just not within the house -- within the  
5 health department. So happy to talk more about  
6 what that might look like that.

7 But -- and those are my updates.

8 **MR. AMMON:** Thank you, Jeff.

9 Now let's hear from Dr. Megan Sparks,  
10 Johnson County Health Department.

11 **DR. SPARKS:** So Johnson County has been  
12 working really closely with the state of Kansas  
13 Childhood Lead Poisoning Prevention Program to  
14 really jump start our point-of-care testing.  
15 We've also been working pretty regularly with our  
16 municipal water systems who've done the inventory  
17 and they're preparing to do the drinking water  
18 testing in schools and childcare facilities next  
19 year.

20 They've actually been a huge boon to our  
21 communication with families. They were happy to  
22 disseminate educational materials to families as  
23 they were doing inventory. So we actually saw a  
24 huge uptick in calls and people seeking  
25 information from our website, like we've never

1 had before. And we're going to be doing a  
2 point-of-care drive-through testing event next  
3 summer when they do back-to-school immunizations  
4 as they start doing more of the drinking water  
5 testing. So we're really excited to get those  
6 efforts off the ground.

7 At the state level, here, they've been  
8 working on expanding testing among Medicaid  
9 enrolled children. And that's proved to be a  
10 challenge, but we have been making some big steps  
11 forward in getting some reimbursement processes  
12 in place to get in home inspections done and to  
13 onboard more lead-focused nurses in local health  
14 departments.

15 So things are starting to happen that have  
16 been the topic of conversation for many years.  
17 But we're -- we're getting it done.

18 **MR. AMMON:** Excellent, good to hear. Thank  
19 you for the update.

20 Now let's hear from Brian Weaver, Wisconsin  
21 Health Department.

22 **MR. WEAVER:** Thank you. And I'm honored to  
23 be able to give this update for Wisconsin. My  
24 role as policy advisor, I end up interacting with  
25 a lot of lead staff here. So I'll provide an

1 update on one related to blood lead testing.

2 So you heard earlier if you were on in this  
3 call that Wisconsin did update our blood lead  
4 testing recommendations. So we have  
5 recommendations now for testing at -- all  
6 children at ages one and two. And then if they  
7 have not had a previous test, between the ages of  
8 three and five to be tested for lead. So that is  
9 a public health recommendation.

10 I would say along with that, kind of  
11 connected to that, is our state Medicaid program.  
12 They have maintained the pay-for-performance  
13 measure related to blood lead testing in children  
14 under six enrolled in Medicaid. So an important  
15 element for the state to be able to maintain high  
16 performance of testing in the Medicaid  
17 population.

18 In addition to that, the CLPP program, the  
19 Childhood Lead Poisoning Prevention Program has  
20 been working really hard this year to develop a  
21 media campaign on our new universal blood lead  
22 testing recommendations. Happy to report that  
23 that has been finalized and we are going to be  
24 rolling out that media campaign in 2025. I think  
25 that's noteworthy because there will be resources

1 available for other of our public health partners  
2 out there to perhaps utilize to support their  
3 blood lead testing efforts as well.

4 Another update, kind of noteworthy for this  
5 year, I've been leading a multi-agency effort to  
6 support the city of Milwaukee where we have our  
7 highest number of childhood lead poisonings in  
8 the state. In fact, significantly higher than  
9 the rest of the state. They have the oldest  
10 housing stock, a very large, low-income  
11 population as well. So we do see a high rate of  
12 lead poisoning.

13 This multi-agency effort actually was to  
14 support the city of Milwaukee in the enforcement  
15 of their open lead hazard orders in rental  
16 properties. So we were able to convene three  
17 state agencies: one that oversees consumer  
18 protection, another Department of Justice,  
19 Department of Health Services, where I sit, to  
20 support the city of Milwaukee in their  
21 enforcement of those hazard orders.

22 We were also able to get a couple of federal  
23 partners around the table, representing from the  
24 regional offices HUD as well as the U.S. District  
25 Attorney's Office. So all going to play a key

1       role in supporting the city of Milwaukee in their  
2       enforcement of their lead hazard orders. So  
3       that'll be an interesting partnership to share  
4       with everyone on this call.

5               And in addition to enforcement or support of  
6       the elimination of lead paint hazards in older  
7       properties, our governor and the Wisconsin  
8       legislature passed a pretty significant housing  
9       bill last year, in the last fiscal year, that is.  
10      And they have invested \$50 million in rehabbing  
11      of older properties. And a part of that -- key  
12      component of that is to address environmental  
13      hazards in those older -- and these are owner  
14      occupied homes, to be able to address the  
15      environmental hazards. So mold, lead paint is  
16      included to abate those by certified contractors.

17             So that was a big win for us. It's  
18      \$50 million that could be spent. These are up to  
19      \$50,000 loans -- some of them forgivable loans  
20      based on your income -- to the homeowners. So a  
21      great investment from our governor and the  
22      legislature in that effort.

23             And then lastly I just want to highlight  
24      some really key partnerships, I think, that might  
25      interest the people on -- on this call. So we --

1 we've been working really closely related to  
2 Healthy Housing. And lead exposure has been kind  
3 of driving this, although it does focus on  
4 healthy housing. So asthma triggers, other  
5 environmental contaminants you find in a  
6 property. Key partnership in foster care. So  
7 we're working with our state agency that oversees  
8 foster care programs to be able to integrate and  
9 support foster parents in maintaining a home that  
10 is healthy and safe from environmental hazards as  
11 well as the relatives who are -- are housing some  
12 of these children in the foster care system.

13 We're also working with that same agency on  
14 refugee population. We have started an  
15 initiative sort of multi-sector looking at  
16 refugee housing and making sure that that housing  
17 is maintained and is in good condition, again, to  
18 prevent any potential exposure to environmental  
19 hazards in those homes.

20 Another partnership we're having -- this is  
21 related to blood lead testing, and that is with  
22 our Head Start programs in the state. So we have  
23 started an initiative to really connect our local  
24 Head Start and Early Head Start programs with our  
25 local public health agencies.

1           So the state agencies overseeing public  
2 health, Head Start are working together to kind  
3 of bring together these relationships at the  
4 local level to increase blood lead testing in  
5 that high-need population.

6           And then lastly, this is an internal  
7 partnership. Our adult lead program and our  
8 Childhood Lead Poisoning Prevention program are  
9 working closely together to develop  
10 recommendations for lead exposure screening and  
11 blood lead testing of pregnant (indiscernible). So we  
12 hope to roll those out in 2025. We're really  
13 close to developing those. And so those are some  
14 of the key partnerships I did want to highlight.

15           And that wraps up my update. Thank you.

16           **MR. AMMON:** Thanks, Brian.

17           Let me go back and see if two of our LEPAC  
18 members are now available. Is Dr. Hatlelid  
19 available from CPSC?

20           **DR. HATLELID:** About earlier, I --  
21 technology glitch, very bad timing, knocked me  
22 off. I had to --

23           **MR. AMMON:** No problem.

24           **DR. HATLELID:** -- retry.

25           **MR. AMMON:** No problem.



1           **DR. HATLELID:** I -- yeah, I heard the -- the  
2           very last part of Mary Beth and I was all set to  
3           go and I don't know what just happened. It all  
4           went blank. Okay.

5           So CPSC, we continue an emphasis on  
6           enforcement of our existing lead-related  
7           regulations largely through our import  
8           surveillance at our ports where we screen tens of  
9           thousands of products for lead and other hazards  
10          every year.

11          Our enforcement efforts may result in  
12          consumer product recalls or other announcements  
13          concerning safety of consumer products. The --  
14          the latest information on recalls, including for  
15          lead hazards, is available at a couple of our  
16          websites. We have CPSC.gov, our main website, as  
17          well as saferproducts.gov.

18          And I would encourage everyone to sign up to  
19          receive recall notices by e-mail. We have --  
20          already on our main page, the CPSC.gov main page,  
21          is an e-mail sign-up link and everybody can get  
22          updates on recalls, other announcements, and as  
23          well as other information.

24          And the last update I have related to lead,  
25          for this fiscal year, the CPSC staff is preparing

1 a request for information and comments related to  
2 the current regulations for lead content  
3 component parts of children's products and lead  
4 content of paints. And some are surface  
5 coatings, including painted children's products.

6 So we'll be doing that this year according  
7 to the commission-approved operating plan. And  
8 you -- we will see that published in the Federal  
9 Register in the coming months. That's all.

10 **MR. AMMON:** All right. Thank you for --  
11 thank you for the update.

12 And going back to see if Tammy  
13 Barnhill-Proctor from ed -- education, U.S.  
14 Education is available.

15 **MS. BARNHILL-PROCTOR:** Here. Education --  
16 hi. And here at the department, we do not  
17 technically have activities that target lead  
18 prevention in that we don't have the authority to  
19 push in our school environments. However, we do  
20 continue to share guidance and share the  
21 information that we get from EPA and from CDC  
22 around lead and lead prevention.

23 And so we have a clearinghouse that we have  
24 here at the department that we post information  
25 on. And in our Safe and Healthy Students, they

1 also include information about lead prevention.

2 **MR. AMMON:** All right. Thank you very much.

3 I believe we're through all of the members.

4 I will go last actually, after everybody.

5 So let's hear from Abraham Kulungara for the  
6 Association of State and Territorial Health  
7 Officials.

8 **MR. KULUNGARA:** Afternoon. Can you hear me?  
9 I think I've come online.

10 **MR. AMMON:** Yep, I can. Yep, I can hear  
11 you.

12 **MR. KULUNGARA:** Great, yeah.

13 Afternoon, folks. My name is Abe Kulungara.  
14 I'm a senior director on the Environmental Health  
15 Team with the Association of State and  
16 Territorial Health Officials. And we represent  
17 state and territorial health directors and  
18 commissioners.

19 So the update I have is around an ongoing  
20 project with CDC. So with support from CDC's  
21 Lead Poisoning Prevention and Surveillance Branch,  
22 we continue to collaborate with state and  
23 territorial health agencies, including those in  
24 Arkansas, North Dakota, Maryland, Guam, Northern  
25 Mariana Islands and American Samoa.

1           We also work with the National Center for  
2 Healthy Housing and our activities include  
3 offering resources for childhood lead poisoning  
4 prevention, providing (indiscernible) support  
5 such as staffing, providing (indiscernible)  
6 assistance in on lead poisoning prevention.

7           And finally with the National Center for  
8 Healthy Housing, we're working with them, along  
9 with community-based organizations, to tackle  
10 some of the unique challenges in rural areas.

11           So that's the update on my end. Thank you.

12           **MR. AMMON:** Great, thank you.

13           And Ruth Ann, Green Healthy Homes  
14 Initiative. Maybe we can -- we can come back.

15           So let's hear from Dr. Patrick Parsons,  
16 Association of Public Health Laboratories.

17           **DR. PARSONS:** Thanks, Matt. Can you hear me  
18 okay?

19           **MR. AMMON:** Yes, I can. Thank you.

20           **DR. PARSONS:** Okay. I got some brief  
21 updates from the laboratory perspective. I'll  
22 begin with a publication that appeared in April  
23 of this year from the Clinical & Laboratory  
24 Standards Institute or CLSI. So CLSI is a  
25 consensus organization and they develop clinical

1 laboratory-based documents to assist in  
2 measurements. And this particular document,  
3 which is C-40, is a third-edition measurement  
4 procedures for the determination of lead in whole  
5 blood. And I was privileged to be asked to chair  
6 that document committee.

7 And just so you know how it works, it's a  
8 consensus organization. And so there's  
9 representation across a broad spectrum. So we  
10 have representation on the committee from CDC,  
11 from FDA, from MST, from Harvard, Meridian  
12 Bioscience is the company that manufactures the  
13 point-of-care lead-care device, Wisconsin State  
14 Lab of Hygiene, and the American Academy of  
15 Pediatrics. And there was also representation  
16 from several overseas institutions as well.

17 So as I said, this is the third edition of a  
18 document that's been around for more than 20  
19 years. In fact, I chaired the committee that  
20 published the original version. I want to just  
21 read a brief update of the changes in this new  
22 document.

23 So they've added detailed procedures for  
24 measuring lead in blood, based on inductively  
25 coupled plasma mass spectrometry. So that's the

1 dominant method that's used in what's called high  
2 complexity labs these days. And so that, I  
3 think, will go some way towards harmonizing  
4 measurements from that particular lab community.  
5 And there were updates on information on clinical  
6 and public health significance of blood lead  
7 levels below five. And again this document is --  
8 although it targets the analytical chemistry  
9 community, it does provide a lot of background  
10 information that I think is important.

11         There was additional guidance on anodic  
12 stripping voltammetry devices that use disposable  
13 screen-printed electrotechnology -- so that's the  
14 long way of saying lead care -- guidance for  
15 laboratories on quality assurance practices at  
16 3.5 micrograms per deciliter which is the -- the  
17 reference value, current information on lab  
18 certification and proficiency testing programs in  
19 the U.S., Canada, Europe and elsewhere.

20         And then there's a protocol for checking  
21 contamination of materials and supplies for lead  
22 which is a very important part of the measuring  
23 process.

24         So two things that were deleted from this  
25 version, the classic anodic stripping voltammetry

1 procedure for older bench-top instrumentation.  
2 That's no longer manufactured or available. And  
3 the procedure for measuring lead in urine was  
4 deleted. It's now considered redundant for  
5 clinical purposes.

6 And so that wraps up the CLSI documents. I  
7 think that will be very useful to the blood lead  
8 lab community, especially given the -- the new  
9 reference value.

10 And that kind of provides a good segue into  
11 the next update which is actually about  
12 proficiency testing. And CMS has tightened the  
13 criteria or quality specifications required of  
14 labs that do blood lead testing under a CLIA  
15 permit. And so the -- the old criteria of plus  
16 or minus 10 percent or plus or minus four have  
17 been tightened to plus or minus 10 percent or  
18 plus or minus 2 micrograms per decimeter.

19 So what that means in practice is that when  
20 laboratories are challenged with proficiency  
21 testing samples at concentrations of blood lead  
22 below 20 micrograms per deciliter, they're going  
23 to have to be proficient to within plus or minus  
24 2 micrograms of the target value. That still  
25 means that 3.5 micrograms per deciliter, they're

1 going to be allowed to report between 1.5 and  
2 5.5. But that's a very big improvement over the  
3 previous specifications which allow plus or minus  
4 four or a range of eight.

5 So those are quality specifications for PT.  
6 That does not -- that's not quite the same as  
7 uncertainty. So most labs do a lot better than  
8 plus or minus two, but that perhaps is something  
9 for another time.

10 The -- the last item for update is actually  
11 an APHM update. So at their annual conference  
12 this year, there was a whole session devoted to  
13 lead poisoning or get lead out, public health  
14 laboratory, and medical initiatives to identify  
15 and reduce pediatric lead exposure. And I was  
16 honored to be included on that panel.

17 So there were three speakers. The first  
18 speaker was Mary Jean Brown, who I think is known  
19 to this -- to the LEPAC community. She spoke  
20 from an epidemiological and public health  
21 perspective on blood lead levels, how low should  
22 or can we go? There was a presentation from  
23 Dr. Morri Markowitz from Montefiore Medical  
24 Center, Einstein College of Medicine, a  
25 pediatrician's perspective on blood lead levels



1 at 3.5 micrograms per deciliter. That was really  
2 well received. And then I rounded things out  
3 with a presentation on the new reference value at  
4 3.5 and the implications for laboratories.

5 So that sort of wraps up the update from the  
6 lab perspective. Thank you, Matt.

7 **MR. AMMON:** Thank you very much for that  
8 update.

9 Is Amanda Reddy on from the National Center?  
10 All right, I'll come back.

11 Dr. Stephanie Yendell from the Council of  
12 State and Territorial Epidemiologists.

13 **DR. YENDELL:** Hi. Yes, I have several  
14 updates from CSTE.

15 The first is that at the annual conference  
16 in June of 2024, several CSTE environmental  
17 health and occupational health members did  
18 discuss a priority that they were not able to  
19 move forward with this year without CDC funding  
20 support. That priority was to coordinate and  
21 review ABLEs and CLPPP surveillance; to assess  
22 opportunities to improve coordination, data  
23 sharing; and tracking lead poisoning cases across  
24 the lifespan. So something that CSTE is very  
25 interested in but not able to move forward with

1 at this time without funding support.

2 The second update is that CSTE administered  
3 an assessment of jurisdictional policies and  
4 practices to identify what blood lead levels in  
5 pregnant and lactating women activate public  
6 health action. The results of the assessment  
7 were presented at the November CLPPP meeting and  
8 the assessment identified a need to review and  
9 clarify the clinical blood lead reference value  
10 and recommendations for public health action as  
11 well as funding.

12 And several responding jurisdictions  
13 indicated a variety of practices as well as  
14 inconsistent policies and then also had requests  
15 for additional guidance.

16 And the third update is new CSTE project  
17 that may be of interest to this group, that CSTE  
18 is partnering with NCEH and the Division of  
19 Environmental Health Science and Practice on a  
20 noninfectious food-borne outbreaks workgroup and  
21 assessment. We'll be covering a -- or convening  
22 a multidisciplinary group of partners and state  
23 and tribal, local and territorial representatives  
24 to identify unique needs and opportunities to  
25 improve standardized surveillance and processes

1 for responding to outbreaks such as blood  
2 (indiscernible) and also outbreaks such as the  
3 (indiscernible). That will be starting in  
4 January. Thank you.

5 **MR. AMMON:** Excellent. Thank you for that  
6 update.

7 Dr. Lauren Zajac from AAP.

8 **DR. ZAJAC:** Yes, hi. Thank you. Great  
9 meeting so far. My quick update: So thanks to a  
10 grant from CDC, the AAP's [healthychildren.org](http://healthychildren.org)  
11 website, which is -- has a lot of traffic every  
12 month was able to publish a set of parent videos,  
13 short videos, on lead that covered topics about  
14 the health impacts of lead, what are some common  
15 things that contain lead, what can I do to  
16 protect my child? So it's a really great suite  
17 of short videos that I hope you all will take a  
18 look at and use. And I will try to get the link  
19 shared with you all, but if you go to  
20 [healthychildren.org](http://healthychildren.org) and just search for lead,  
21 it's -- it should pop up pretty easily.

22 The other big update I have is the  
23 academy -- our policy statement and technical  
24 report on lead. Dr. Jennifer Sample and I, along  
25 with the Council on Environmental Health and

1 Climate Change have revised the existing  
2 documents and they're currently being reviewed by  
3 stakeholders at the AAP and then will be  
4 published. I don't have a specific timeline on  
5 that, but it is in the works.

6 Concurrently our council is also updating  
7 the Pediatric Environmental Health Manual that's  
8 currently -- this is the fourth edition has been  
9 published. We're working on publishing the fifth  
10 edition which will obviously contain a chapter --  
11 updated chapter on lead. So that's in the works  
12 as well.

13 And I just wanted to flag Gail's talk. I'm  
14 always impressed with New Hampshire's lead  
15 program.

16 And, Gail and Nicole, your presentation was  
17 so interesting and I was wondering if you would  
18 be able to share the slides or any reports or  
19 publications you have on your work.

20 That's it. Thank you all for the  
21 opportunity.

22 **MS. GETTENS:** The answer is yes. And I can  
23 share them directly to you or with anyone in this  
24 group. And thank you, Lauren.

25 **DR. ZAJAC:** Thanks, Gail.

1           **DR. RUCKART:** This is Perri. I --  
2           **MR. AMMON:** All right, thank --  
3           **DR. RUCKART:** Matt, can I just --  
4           **MR. AMMON:** Oh, sorry.  
5           **DR. RUCKART:** This is Perri. I just want to  
6 let everybody know that Gail -- well, New  
7 Hampshire's presentation will be put up on our  
8 website in the near future. So everyone will be  
9 able to access it. Over.  
10          **MR. AMMON:** Thank you.  
11          All right, I'll turn it over to Ruth Ann  
12 Norton from Green Healthy Homes Initiative.  
13          **DR. ALLWOOD:** And, Matt, I -- oh, I'm sorry,  
14 I thought maybe somebody had their hand raised,  
15 but it is Ruth Ann. Sorry.  
16          **MS. NORTON:** It was just me, Paul. And I  
17 had to hop off for a quick meeting. I'm not sure  
18 what the updates have been, but let me tell you a  
19 little bit about the work of -- that GHHI is  
20 doing on lead and its work here.  
21          First, I would say for all the folks who may  
22 be on here from Maryland, I chaired the --  
23 Governor Moore's Task Force on Lead Poisoning  
24 Prevention and served on the Green and Healthy  
25 Homes Task Force for the governor. And in the

1 work in Maryland where we have had significant  
2 decline, what we are really trying to do is to  
3 ensure that we have lead in all policies to re-up  
4 our efforts not only on the work that's been done  
5 in lead and water and the significant work on  
6 spices where we're seeing an impact here in  
7 Maryland from immigrant communities, especially  
8 where we have lead levels being detected through  
9 spices and food products, we're trying to build a  
10 greater awareness campaign on that work.

11 So I was very interested to see the work  
12 being done by FDA and others. We want to join  
13 across the country on that good work, and then we  
14 may have the potential legislation going into the  
15 Maryland General Assembly on that.

16 But we have -- we're increasingly looking at  
17 the opportunities in low-income homeowners on a  
18 whole-house basis to be looking at lead as a  
19 linchpin activity for all of the greenhouse gas  
20 reduction dollars going in. For those dollars to  
21 be able to be implemented, we will have to  
22 address lead. We'll have to address things like  
23 mold, mildew, and moisture around asthma. So we  
24 are deepening the work on the role that lead  
25 elimination plays in both electrification,

1 decarbonization, a whole-house approach.

2 We've released this week -- are releasing  
3 this week a toolkit on that for all who are  
4 advancing those elements because of health. We  
5 are in the carbon reduction in homes, grounded in  
6 the health work of benzene nitrous oxide and  
7 carbon monoxide. But you cannot do this and walk  
8 away and not have done the lead work first. So  
9 we are trying to advance that work not only in  
10 Maryland but New Jersey, Pennsylvania,  
11 California, and a number of places.

12 We're also working with the city of Oakland  
13 through their lead settlement to ensure that we  
14 build a highly equitable holistic approach to  
15 lead poisoning prevention for the city of Oakland  
16 and county of Alameda. Working to revamp that,  
17 much like work that we're doing with the city of  
18 Milwaukee to align programs from weatherization  
19 and other climate-related impacts with lead.

20 And we will be re-upping our campaigns  
21 around getting kids tested for lead and trying in  
22 Maryland and other states in which we work,  
23 trying to advance that work. As folks may know,  
24 we also won the Lancaster General Health  
25 University of Pennsylvania Health Systems

1 Day-To-Day Lead Removal Program. Their -- the  
2 hospital runs the program. We're running the  
3 contracting side to do approximately 3,000 homes  
4 through a \$50-million investment.

5 And we're also -- through monies awarded  
6 recently in Memphis, we're going to be enhancing  
7 the work -- that work -- in our work in Memphis  
8 with Le Bonheur Hospital under a new \$10-million  
9 award to do whole house and lead.

10 And then we are continuing to do work in New  
11 Jersey on a whole-house approach which has lead  
12 and weatherization work together and  
13 demonstrating the same in Detroit and in  
14 Providence, Rhode Island.

15 But one thing that was mentioned by Mayor  
16 Bowser with whom we are working with the city of  
17 Washington DC around some of their solar-related  
18 programs where we're going to be the trainer and  
19 community lead on lead and healthy housing  
20 related issues to limitation of solar. Mayor  
21 Bowser said something at the Bloomberg American  
22 Health Summit which I think is so incredibly  
23 important, and that is that mayors and governors  
24 cannot overlook the impact of lead-based paint.  
25 And so she -- she said DC would be advancing its



1 efforts there. And we are seeing a lot of new  
2 mayors and governors across the state honing in  
3 on where they can play a role in the eradication  
4 of lead and that includes here, in Maryland, with  
5 Governor Moore, not only through our lead  
6 programs and, thank you to HUD, our state housing  
7 department now has lead hazard control dollars in  
8 addition to Baltimore up through programs like  
9 ENOUGH that are antipoverty campaigns, campaigns  
10 to ensure the long-term lift on health and  
11 opportunity for long-term -- for low-income  
12 families.

13 So a quick update, please come and visit us.  
14 Ask questions about GHHI. We're always happy to  
15 have you here and mostly we're happy to learn  
16 from each of you. And I will lastly say that if  
17 you're in region 3 -- Pennsylvania, Delaware,  
18 Maryland, Virginia, West Virginia, or the  
19 District of Columbia -- we are grateful to be  
20 able to distribute \$50 million on behalf of the  
21 EPA for thriving communities. And those dollars  
22 may be used by groups, local government,  
23 university. And on-the-ground frontline  
24 community-based organizations should do lead  
25 removal and healthy housing. Thank you.

1           **MR. AMMON:** Thanks, Ruth Ann.

2           We actually have a question from Grace, EPA.  
3           Grace, your question?

4           **MS. NORTON:** Grace, how are you?

5           **MS. ROBIOU-RAMÍREZ:** Yeah, it wasn't a  
6           question. I was -- I was trying to clap, but  
7           it --

8           **MR. AMMON:** Oh.

9           **MS. ROBIOU-RAMÍREZ:** I think that function  
10          was disabled in Teams today. But thank you, Ruth  
11          Ann, for everything that you're doing.

12          **MS. NORTON:** Well, there you go. That is  
13          one of my favorite people besides everybody else  
14          on the call as well, of course.

15          But, Matt and Grace, the way people can  
16          apply for our grants, the \$350,000 lead grants  
17          that could be used for lead education, exposure,  
18          reduction, and healthy housing interventions.  
19          It's [GHHI.org/thriving communities](https://www.gHHI.org/thriving-communities). It'll take  
20          you right there to the application. We will help  
21          you if you need help in the application process.  
22          It's a low bar, I think, in terms of it's not a  
23          700-page HUD application. Wink, wink. But it is  
24          a -- it is a no-more-than-seven-page application  
25          intended to build the capacity of communities on

1 the issues like this. And we are trying to get  
2 all of the money, big money out the door through  
3 application deadlines on December 30th.

4 And then for smaller grants of 150 and 250,  
5 that's rolling until April of '25.

6 **MR. AMMON:** Thank you very much for the  
7 update.

8 And I guess it's my turn now. One of the  
9 things, I'll just -- it's funny that I follow --  
10 was following Ruth Ann because, as you know, all  
11 of our work, as I've mentioned before, is really  
12 rooted in our local grantees doing -- doing the  
13 work. It's great for -- for me to go out and see  
14 the work that they're doing, great work that  
15 they're doing on a regular basis around the  
16 country.

17 And I was just in Baltimore with Ruth Ann  
18 and seeing all the work that they've done and how  
19 they've integrated all this work on the ground  
20 and the various sources of funding and things of  
21 that nature. And, you know, it's sometimes hard  
22 for -- for me at HUD to catch up just because  
23 there's so much innovation and -- and, you know,  
24 I want to be able to take that and learn from it  
25 and do things to try to make and improve our

1 program, all of our programs on a regular basis.

2 And that's kind of part of my update. You  
3 know, this was a pretty incredible year for us.  
4 I think, you know, collectively we're heard from  
5 folks from around the country, you know, in very  
6 different disciplines. And this was a very  
7 successful year in terms of things which we were  
8 able to accomplish both in terms of innovations  
9 or funding or new partnerships, trying to build  
10 out what's out there in terms of funding and use  
11 that as best, quickly as we can to get it  
12 reserved and obligated to be able to use for  
13 which really are our common goals, you know,  
14 and -- and in improving communities, improving  
15 the quality of life for the residents and kids  
16 and adults that we serve on a regular basis.

17 And, you know, all of our work, it comes  
18 down to a person, right? That one person, that  
19 one child, you know, in terms of -- of making an  
20 impact. And for us, the beginning of the year,  
21 we had a -- a lot of money available for our  
22 grant programs, a lot of money for our grant  
23 programs. Probably more than -- not probably,  
24 more than we've ever had ever available for  
25 communities to do this work.

1           And quite frankly, over the last couple of  
2 years, there -- there had been a shift in terms  
3 of a lot of priorities locally in terms of what  
4 they were doing and what they were focusing on.  
5 And for us, it was a wait-and-see approach to see  
6 what would happen. And we had about a billion  
7 dollars that was available, both in terms of this  
8 appropriations '24, past '24, and then also  
9 previous reparations, kind of collectively.  
10 That's -- that's a lot of money.

11           And it's not like, you know, it's part --  
12 and this is just our grant program. It's not  
13 part of the, you know, EPA infrastructure work  
14 that's going on. This is really our compendium,  
15 our seven or eight grant programs, you know, that  
16 range from Lead Hazard Control Program to the  
17 Healthy Homes Production Program to the  
18 Weatherization and Healthy Homes Program to our  
19 Technical Studies Program.

20           So the -- all of those kind of combined, we  
21 had, again, nearly a billion dollars available  
22 for communities -- local communities, nonprofits,  
23 Native American tribes, universities -- to do  
24 this collective work. And then I -- and I was a  
25 bit worried, but what happened was something

1 really amazing. There was -- there was an  
2 amazing response to all of our grant programs and  
3 that was almost not expected. We weren't -- we  
4 weren't quite sure what we would expect, but --  
5 but all of our programs except for one had almost  
6 doubled the amount of funding requested than we  
7 had available which really hasn't happened in  
8 quite a long time. In fact, probably three  
9 years. And that meant that we -- we felt that,  
10 you know, this -- this work was still desperately  
11 needed in communities and we worked hard  
12 internally to do things which try to make it  
13 easier from the 700-page application process.

14 It will actually be even easier next year.  
15 But all those things kind of internally, we had a  
16 lot of work-shopping, if you will, with local  
17 grantees and everybody, just kind of listening,  
18 just listening: What -- what could we do better?  
19 What do we need to change? What do we need to  
20 improve on? And we've been making those changes  
21 and we will continue to make those changes.

22 So the end result is that we were able to  
23 award. So I know it's one thing to say we almost  
24 had a billion dollars available with our grant  
25 programs, but it really comes down to our

1 programs are competitive. They're not formally  
2 based. So you have to apply for the funding and  
3 things of that nature. And we were able to, as  
4 of -- even as of today, you know, award over 550  
5 million -- more like 570 million to communities  
6 across the country. That's going to make a real  
7 difference in people's lives.

8 And the good thing is that we're -- so we're  
9 not done yet. So even though we have awarded,  
10 you know, over five -- about 570 million, we have  
11 another big tranche of dollars that we'd like to  
12 get out by the end of the year, a significant  
13 amount of money by the end of the year. And it  
14 will help support, again, not only the whole-home  
15 approach that we have with our Healthy Homes  
16 Production but also with our lead and  
17 supplemental, but with the Older Adult Home  
18 Modification Program that we have, which is, you  
19 know, a very popular program of helping people  
20 age in place. And it's a small program. It's a  
21 small program, right? It's not a big program.

22 Oh, let me -- Ruth Ann, you have a question?  
23 You're on mute.

24 **MS. HANCE:** The opposite thing, wanted to  
25 take my hand down. And after thinking about

1       this -- right? -- and after thinking about how  
2       we're going to approach the future here, you  
3       know, I don't think this will come as a surprise,  
4       I think there are really effective nonprofit  
5       organizations across this country, doing work in  
6       communities, in housing who can manage lead  
7       grants. We've gone away from having nonprofits  
8       be able to be recipients but especially in some  
9       of our harder-to-reach areas of the country and  
10      where local government is strapped themselves --  
11      right? -- or even local government would in some  
12      instances say that it would be easier and better  
13      managed by nonprofits. Is there any movement on  
14      this, you think, as we move forward and talk  
15      about the important sustained commitment to  
16      communities, to community health, to community  
17      outcomes, and opportunity but also to how we are  
18      looking at government efficiency and getting the  
19      dollars to be most efficient and getting them out  
20      to where there is the highest need?

21             So I didn't mean to interrupt. I was going  
22      to talk --

23             **MR. AMMON:** That's okay.

24             **MS. NORTON:** -- ask you this question at the  
25      end, but I think this is something that is so



1 important to think about. You know, I -- I  
2 just know for -- I think this is so much spent on  
3 administrative dollars, and I love our local  
4 government and I don't mean anything untoward in  
5 that way. But there's sometimes ways for  
6 organizations that are nonprofits who know -- who  
7 have experience doing this to be able to more  
8 effectively, more efficiently, and quick -- more  
9 quickly get the dollars out and spend and achieve  
10 the goals in a very effective way.

11 **MR. AMMON:** Yeah. I mean, so we have always  
12 made recommendations when we are asked to provide  
13 input into bills that come to us. And so making  
14 recommendations as part of improvements towards  
15 statutory authority -- I mean, what you're  
16 talking about is rooted in our statute and we're  
17 bound by our statutory obligations and  
18 restrictions on the lead side on who we can fund.

19 But, again, you know, there's always  
20 opportunity, always opportunity when we're asked  
21 to provide input into such things to improve  
22 statutory language or regulatory language as it  
23 comes across.

24 Unfortunately, of course, as you know, is  
25 that sometimes it takes a long time. We can make

1 commendations and --

2 **MS. HANCE:** Right. Yeah.

3 **MR. AMMON:** Yeah. It takes -- it takes some  
4 time. But no, I appreciate --

5 **MS. NORTON:** And I --

6 **MR. AMMON:** -- I appreciate you.

7 **MS. NORTON:** But I think it's more -- I just  
8 think it's more efficient, more effective than  
9 some -- and I love CPF and going through the  
10 congressional set-asides and everything else  
11 because I think the work that's being done there  
12 really should be looked at. And as there is more  
13 and more look at things like efficiency -- right?  
14 -- and cross-walking, I really think, you know,  
15 the impact of weatherization done right or other  
16 inner -- you know, indoor air quality, thermal --  
17 whatever we want to call it -- climate, you know,  
18 whatever you want to call that, all of those  
19 programs really, really do need real clarity on  
20 the lead work, the coordination of the lead work.  
21 My hope always is that we could get them all in  
22 the same agency to make them more efficient.

23 But, you know, I just think a lot of those  
24 kind of dollars do go to nonprofit organizations  
25 and get spent really well. So as you think about

1 long-term recommendations, because it's not an  
2 overnight, you can go to Congress and say, Hey,  
3 we'd like to change something, a great  
4 appreciation for that and amazing.

5 By the way, if anybody has not congratulated  
6 Matt on 33 years of dedicated service to this  
7 work, it's amazing and deserves a star in Heaven.

8 But I do think that's something, Matt, as  
9 you think about how we're looking at the future  
10 of really getting, as we get better and better  
11 and better about lead, in order to get to  
12 those -- that last mile even, just a -- just a  
13 recommendation.

14 **MR. AMMON:** Well, I appreciate it. I  
15 appreciate it. You know, I'm all for making  
16 comments, recommendations, and whatever. So I  
17 appreciate the comments and -- and the  
18 recommendations.

19 So -- so again, this was a pretty remarkable  
20 year for us and we just had a lot of -- well, all  
21 of our new grantees in and it was the biggest  
22 crowd we've ever had, which is -- which is really  
23 amazing and great.

24 HUD has also done a lot of incredible  
25 things, both in terms of its strategic planning

1 and its -- and its programs. I know I've said  
2 this a million times, but it's -- it's no longer  
3 an anomaly for the nation's housing agency to  
4 have health outcomes. That's a strategic goal  
5 within its -- within its strategic planning. And  
6 it's been embedded with -- with not only its own  
7 specific key performance indicator but also  
8 rooted and embedded in almost all of the  
9 programs.

10 And so the Healthy Housing connection has  
11 really been firmly entrenched in the department,  
12 not only over the last couple years but, you  
13 know, really since we've been doing this work now  
14 for, you know, twenty, twenty-five years where  
15 we've made marked improvements almost every year  
16 in some things.

17 And one of the big improvements we made this  
18 year was we were lucky to be able to receive  
19 funding under the Inflation Reduction Act for a  
20 new program which is called the Green and  
21 Resilient Retrofit Program. And this program, we  
22 were able to get out almost a billion and a half  
23 dollars through several rounds of funding within  
24 a year. And so these grants and loans go to --  
25 will go to our -- have been going to housing

1 providers in -- in 42 states including D.C. and  
2 Puerto Rico. It really focuses on the  
3 combination of both green, healthier, and safer.

4 And so a lot of this is around energy and  
5 water efficiency, of course pollution emissions,  
6 generating removable energy, a lot of reducing  
7 costs overall -- right? -- cost savings,  
8 promoting green building materials, improving the  
9 indoor air quality, you know, making them  
10 healthier and safer.

11 So to be able to get out this amount of  
12 money through a series of grant programs  
13 throughout the year is a remarkable feat, and,  
14 you know, it really is a testament to where we  
15 were focused in terms of making sure that as we  
16 get money, we need to get it out the door and put  
17 it to good use. And this was one of the programs  
18 at HUD and the Green and Resilient Retrofit  
19 Program. It really kind of speaks to a lot of  
20 the work that was done in the department that --  
21 that, yeah, it really focuses on both healthy,  
22 safe, and energy efficiency.

23 One of the other things I wanted to mention  
24 was -- was we had heard about the memorandum of  
25 understanding that -- that we had signed with EPA

1 and CDC. We have two of them. And, you know,  
2 it's no secret that we've had a great working  
3 relationship for as long as I've worked at HUD.  
4 It really has been remarkable. You know, the --  
5 the three of us in terms of just, you know, what  
6 we do together and how we play off each other.  
7 And regardless of what's in codified or  
8 regulation, I mean, we've always -- we've always  
9 known what we need to do together. And we've had  
10 series of MOUs throughout -- throughout time in  
11 terms of sharing data and reporting activities.

12 But I think, you know -- and Grace mentioned  
13 this -- as part of the lead -- Lead Pipe and  
14 Paint Action Plan, you know, we thought it would  
15 be good to get a codified or working relationship  
16 and, you know, more focused on -- on trying to  
17 get back to the roots of not only sharing data  
18 but actually in the field together, like  
19 literally working in the field together.

20 And so a lot of this MOU initiative came  
21 around (indiscernible) because of the Clarksburg,  
22 West Virginia lead in water concerns. And it  
23 was, you know, a way for us to kind of quickly  
24 engage on issues and -- and kind of recognize  
25 both terms of what I -- our actions could be, but

1       also in terms of feeding up information up the  
2       chain, if you will, for follow up. So it was  
3       just a good way for us to kind of say if  
4       something happens like this, we know who the  
5       point people are for quick action and things of  
6       that nature. And I think that's -- that's  
7       helpful. And so we've been doing a lot of work  
8       together after that, not only sharing information  
9       on sessions at health departments and fairs  
10      around the country, but also to enjoin  
11      enforcement work around the country. And I think  
12      that the work will absolutely continue, but it  
13      just again reemphasizes our commitment to working  
14      together, collectively sharing of common  
15      outcomes, you know, and -- and being able to  
16      really hone in on specific expertise that may be  
17      called upon for us to provide information or  
18      responses and things of that nature.

19             But -- but it's nice to have it. It's nice  
20      to have it in place. And, you know, we're doing  
21      others around the country. But these two in  
22      particular, I think, were pretty demonstrative of  
23      work that we've done that we needed to continue  
24      to do but also, you know, codify certain things  
25      too about if something happens, you know, what --

1       what's our response?

2               And so it's kind of nice that it actually  
3       has that responsiveness in there, not just sit  
4       down, you know, work on something collectively,  
5       but really being able to respond collectively  
6       with the expertise that we have at the -- at the  
7       various agencies.

8               So I appreciate everybody's work on that.  
9       And I know it's been a real highlight of the Lead  
10      Pipe and Paint Action Plan, but, again, you know,  
11      my original statement, going back to the work  
12      that we have on our -- on our -- on our grant  
13      programs. And I can't thank all of you enough.  
14      I know I've heard several times from you that  
15      you're grantees of ours, and I really appreciate  
16      that. And it really is something that has been  
17      my real life's work in terms of making sure that  
18      we are able to provide resources and be  
19      responsive to what your needs are locally.

20              So again I appreciate all the grantees that  
21      we have and all of the prospective grantees that  
22      we hope to bring in next year as we have  
23      subsequent rounds of funding available for  
24      everybody. Okay.

25              So I believe we have gone through everybody.



1 Does anybody have any general -- does anybody  
2 have any additional statements before we move to  
3 the next topic? And I apologize for going long  
4 as usual. That's why I went last. Just to make  
5 sure I could get everything out. No PowerPoint,  
6 just kind of thoughts to get out. But no, I -- I  
7 appreciate everybody's consideration in the  
8 updates.

9 So if there are not any -- any additional  
10 updates that anybody wants to provide, I'll pause  
11 here for a second. We're getting close to our  
12 time here. No other updates? I don't think I  
13 see any hands, questions.

14 All right. So with that, I am going to turn  
15 it over to Brian Weaver for a presentation on the  
16 Preventing Lead Exposure in Adults workgroup to  
17 talk about their report and recommendations and  
18 further discussion.

19 So with that, Brian, I will turn it over to  
20 you.

21 **PREVENTING LEAD EXPOSURE IN ADULTS (PLEA) WORKGROUP**  
22 **REPORT ON RECOMMENDATION/DISCUSSION**

23 **MR. WEAVER:** All right, thank you, Matt.

24 So those of you who didn't hear earlier in  
25 the call, in the morning, Brian Weaver, lead

1 policy advisor at the Wisconsin Division of  
2 Health Services -- sorry, Wisconsin Department of  
3 Health Services. I work within the Division of  
4 Public Health. I served as the chair for the  
5 PLEA workgroup which is -- PLEA is for Prevention  
6 of Lead Exposure in Adults. I will be presenting  
7 with Dr. Michael Kosnett. He will actually be  
8 diving into the report and kind of facilitating  
9 the discussion on the recommendations.

10 I will give a -- a brief introduction of the  
11 report and kind of the committee members and some  
12 of the -- lay the groundwork for this group. So  
13 next slide, please.

14 **MR. AMMON:** Brian --

15 **MR. WEAVER:** Yeah.

16 **MR. AMMON:** Can you pause one second?

17 **MR. WEAVER:** Yeah.

18 **MR. AMMON:** I -- I wanted to mention early  
19 on, up front, just giving Brian a little more  
20 context. And so I'm going to have CDC just speak  
21 on the context since the last workgroup we had  
22 was BLRV.

23 **MR. WEAVER:** Sure.

24 **MR. AMMON:** And I think we have a lot of new  
25 members.

1           **MR. WEAVER:** Yeah.

2           **MR. AMMON:** So I just want to be able to  
3 provide just some context around, you know, how  
4 that process went and how -- you know, how the  
5 process works within the -- the -- this  
6 organization in terms of moving forward with  
7 recommendations and things of that nature. So if  
8 you could pause for a second -- actually if you  
9 want to go off camera, I'm going to have CDC jump  
10 in real quick just to provide everybody a better  
11 context before we dive into the presentation.

12           **DR. RUCKART:** Yes, hi. So this is Perri  
13 Ruckart. I'm just going to give just a few --  
14 just a process update about what -- what are the  
15 next steps and what is going to happen here. So  
16 we're going to have the presentation by the PLEA  
17 workgroup. The LEPAC members have gotten the  
18 report in advance. If they could, review it and  
19 be prepared for a hearty discussion.

20           So after that, then there'll be a call to  
21 vote to approve the report. And if the report is  
22 approved, the PLEA can make minor changes based  
23 on comments that we hear today and then the  
24 report will be given to CDC for consideration.  
25 And the final PLEA report will be posted on

1 LEPAC's website. And then anything, any  
2 recommendations that are recommended or major  
3 points that come out of this discussion will make  
4 it into the 2024 LEPAC report that we give to the  
5 HHS secretary.

6 So back to you, Brian. Thanks.

7 **MR. AMMON:** Thanks very much, Perri.

8 **MR. WEAVER:** Yeah, thank you, Perri.

9 And the report actually is available on the  
10 LEPAC website for today's meeting, December 11th  
11 meeting, if people do not have access to it at  
12 this time.

13 **MR. AMMON:** Looks like Paul?

14 **DR. ALLWOOD:** Yeah. I'm sorry, Brian. I  
15 just thought I -- I just thought of something  
16 that I think it would be kind of also important  
17 to -- to mention it. You know, as you -- you  
18 pointed to the availability of the report, that  
19 it is -- you know, it is available as a draft  
20 report on the CDC's website. So if you -- you  
21 know, if you do go up and see the report, if you  
22 really looked at it, please just keep that in  
23 mind also.

24 Thank you, Brian.

25 **MR. WEAVER:** Yeah. Yeah. All right. So

1 with the report, the official name of the report  
2 is *Prevention of Lead Exposure in Adults,*  
3 *Recommendations for Public Health Action.* Next  
4 slide, please.

5 So the PLEA workgroup was charged with  
6 examining adult lead exposure with a focus on  
7 actions by U.S. public health agencies that might  
8 prevent exposure and mitigate lead-related  
9 adverse effects. So the objectives of the PLEA  
10 workgroup was to generate this final report that  
11 we are presenting today to LEPAC for discussion  
12 and input and feedback. We are hoping, you know,  
13 to make some modifications if need be to the  
14 report and get it approved during this meeting if  
15 that is at all possible. And then it's submitted  
16 to CDC for -- for consideration. Next slide.

17 All right. So the PLEA workgroup was made  
18 up of subject matter experts in adult lead  
19 exposure and occupational health. Perri Ruckart  
20 served as the designated federal officer for this  
21 group. Next slide.

22 And just -- so a quick kind of process piece  
23 of how we'd like to move forward during this  
24 presentation. Again the outcome we're hoping for  
25 is getting the LEPAC approval of the report.

1           The process that Michael will lead is to  
2 review the report; looking to get comments,  
3 feedback back from the LEPAC members or  
4 discussion; and hopefully get to a point where we  
5 can get agreement from the LEPAC group to move  
6 forward with a vote. And then next slide.

7           And so the report -- and this is where --  
8 how Michael will walk through the report -- is  
9 divided into five sections. These are the five  
10 sections that are -- are in front of you now.  
11 Each of these sections has recommendations for  
12 consideration by LEPAC.

13           So with that, I do want to turn this over to  
14 Michael because we feel like we want to dedicate  
15 the majority of the time for a discussion with  
16 the LEPAC members.

17           Michael. If you're speaking, Michael,  
18 you're on mute.

19           **DR. KOSNETT:** Can you hear me now?

20           **MR. WEAVER:** Yes.

21           **DR. KOSNETT:** Can you see me?

22           **MR. WEAVER:** Yes.

23           **DR. KOSNETT:** Okay, great. Thank you,  
24 everyone. We're very pleased to have -- to have  
25 presented this report to LEPAC two weeks ago and

1 it represents two years of having worked on  
2 this -- the concepts of the report and in writing  
3 it, it's been reviewed and discussed by and  
4 accepted by everyone on the working group  
5 committee.

6 And we want to allow as much time as  
7 necessary and available for members of LEPAC to  
8 react to our recommendations and hopefully to  
9 approve the report so that it can represent the  
10 second workgroup report of LEPAC in its four  
11 years of existence, the first one being the  
12 recommendation to lower the blood lead reference  
13 value. The novelty and importance of this report  
14 in particular is that this workgroup, and by  
15 extension LEPAC, is really one of the only CDC  
16 work --advisory groups or committees that has  
17 been specifically charged with examining lead  
18 exposure to all individuals not just children,  
19 you know.

20 And if you go back to the ACCLPP and the  
21 Board of Scientific Counselors, Lead Advisory  
22 Committee, they focused -- their charge was on  
23 childhood lead poisoning. But I think by -- very  
24 much by design, when this -- when LEPAC was  
25 established by an act of Congress, it

1 specifically did not narrow the focus just to  
2 children but to adults as well. And that's why  
3 our report covers everything from environmental  
4 to, very importantly, occupational exposure as  
5 well and made a number of recommendations.

6         Rather than take an extended period of time  
7 to go through the report since everyone has had a  
8 chance to see it and review it, we'd like to  
9 reserve the remaining portion of the time to  
10 addressing any questions or comments or  
11 suggestions or concerns that members of the LEPAC  
12 may have with an eye towards, in live time,  
13 making any corrections if necessary and having  
14 the report officially approved as a final work  
15 product of LEPAC as submitted by the workgroup.

16         So I and other members of the workgroup,  
17 many -- I know several of them are present in  
18 addition to Brian: Dr. Howard Hu, Dr. Remy  
19 Babich, Rebecca Tsai. I see their names on  
20 there, there may be others. I think Erika  
21 Marquez is on as well. And I apologize if I'm  
22 missing somebody, but Gary Edwards is on as well.  
23 And we'd like to all be available if necessary.  
24 Oh, I didn't know -- Alicia Fletcher is on too.  
25 Excuse -- very, very important to mention Alicia



1 as well.

2 So I am -- with that said, I like to open it  
3 up to members of LEPAC who have any questions or  
4 comments or reactions.

5 **MR. AMMON:** This is Matt. Did you -- are  
6 you going to go through the report and  
7 recommendations or just questions in general?

8 **DR. KOSNETT:** We really felt that since time  
9 is limited and we went through -- it's a forty  
10 page -- thirty-nine-page report. If we went  
11 through the whole report, then we wouldn't be  
12 leaving any time for discussion and potential  
13 vote. You know, initially the meeting was set  
14 for 30 minutes for the entirety of the  
15 discussion. So we'd like to open it -- you know,  
16 we don't want to recapitulate what's already been  
17 submitted to everyone for their reading.

18 **MR. AMMON:** Question from Amanda Reddy.

19 **MS. REDDY:** Stephanie was actually before me  
20 if you want to call on her first or I can go if  
21 you want me to.

22 **DR. YENDELL:** Oh, thanks, Amanda.

23 So, yeah, this is Stephanie Yendell, the  
24 CSTE liaison. And I -- first off, I just want to  
25 compliment you on this report. I think it was

1       incredibly well researched and it is actually  
2       going to be very -- very useful for some work  
3       that we are doing in the state of Minnesota.

4               The one thing that I -- I just wanted to  
5       point out that I felt could potentially be  
6       improved in the report is that you do talk about  
7       take-home lead exposure and also some  
8       recommendations around, like, expanding training,  
9       hygiene, and housekeeping requirements. But  
10      my -- my suggestion would be to make it  
11      explicitly clear that the onus for preventing  
12      take-home lead exposure needs to be shifted to  
13      the employers rather than having that be really  
14      the burden on the individual workers and  
15      having -- having that be on them to take  
16      individual actions in terms of removing boots  
17      when they get home and changing work clothes.

18             You know, my question is why were they  
19      allowed to leave with contaminated gear in the  
20      first place? Why is that, leaving the workplace  
21      to allow them to then have to take those  
22      individual actions? Because, of course, we think  
23      about the hierarchy of controls, those individual  
24      actions are going to be much less effective than  
25      even things like administrative controls or

1       engineering controls to prevent them from having  
2       personal items and clothing be contaminated which  
3       then contaminate their personal vehicles and then  
4       are brought home.

5               So thank you for considering that.

6               **DR. KOSNETT:** Thank you, Stephanie, for that  
7       comment. And I -- I hope it was clear that we  
8       actually referred to -- to that -- to the fact  
9       that there needs to be a change in the OSHA  
10       standards that would enhance training and -- and  
11       requirements designed to -- specifically to  
12       prevent things like take-home lead exposure.

13              For example, the rules that would be in  
14       effect, for example, under an adequately designed  
15       revision, such as is the case now with the new  
16       California standards, is to require people who  
17       have, you know, above a certain level of exposure  
18       to shower and to change their work clothes and to  
19       have clean lockers and to be provided, for  
20       example, with a change of work clothes so that  
21       they won't track it.

22              And also the California standards, which we  
23       reference, also require training on take-home  
24       lead exposure.

25              So that is incorporated by design.

1                   **DR. YENDELL:** Okay. Thank you. I -- to me  
2                   it seemed like it was more implied than maybe  
3                   explicitly stated that that burden needs to be  
4                   shifted.

5                   And the other thing just from my experience  
6                   working with companies is that, you know,  
7                   companies, especially facilities, you know, I was  
8                   thinking more of a factory-type facility versus,  
9                   like, the construction industry, they're really  
10                  focused on the PELs in the air standards because  
11                  of the way that the OSHA standards are written.  
12                  And when we start talking to some of these  
13                  companies about, well, what does your dust  
14                  contamination look like? even on the floor  
15                  between where the locker room is and where the  
16                  door is, that is a completely new and foreign  
17                  standard -- or concept to -- to these companies,  
18                  that they're just really not thinking about dust  
19                  settling.

20                  So I think the more that we can be explicit  
21                  in calling that out and bringing more awareness  
22                  to those companies, the better. Thanks.

23                  **DR. KOSNETT:** Yeah, I -- I certainly agree.  
24                  In fact one of the key advances in the Cal/OSHA  
25                  lead standard is that it introduces the whole

1 concept of not just triggering the rules based on  
2 air monitoring, but on lead-related work  
3 including -- which is -- which is defined as  
4 lead -- as any kind of work which alters or  
5 disturbs any lead-containing material that  
6 contains greater than 5,000 parts per million or  
7 0.5 percent. So I totally agree with you and --  
8 and that is -- that would be a part of a -- of a  
9 necessary revision to the lead standards.

10 **MR. AMMON:** Okay. I think we have Amanda  
11 next. Yep.

12 **MS. REDDY:** Yep. Hi, thanks, everyone. And  
13 sorry for not being available earlier when I was  
14 called on.

15 I also want to thank this workgroup for this  
16 report and for bringing attention and such robust  
17 treatment to this really important topic of adult  
18 lead exposure.

19 I have several comments. I think I'll just  
20 run through all of them because I want to present  
21 them here and not necessarily to hold up a vote  
22 on this report but just to add to CDC's  
23 consideration of the material that's presented  
24 here. And these are in no particular order, just  
25 really the order of the recommendations in the

1 report.

2 Starting with Recommendation IV-4 which  
3 really calls on and calls attention to the  
4 opportunity to increase compliance with EPA's  
5 Renovation Repair and Painting program, I note  
6 here that I really commend you for calling this  
7 out. This is an important lever. I note that  
8 local level action is not mentioned here, but it  
9 is still relevant in ways that might not be  
10 apparent.

11 Many communities, for example, have embedded  
12 RRP into their local permitting process. I think  
13 many of us in this field are aware, for example,  
14 of Rochester, New York as having one of the most  
15 proactive and openly progressive approaches to  
16 lead poisoning prevention. One of their code  
17 officials once noted that embedding RRP into  
18 their permitting process was the best thing that  
19 they had ever done. This can really be an  
20 important lever.

21 And so I think, you know, as CDC is  
22 considering this or we're putting information out  
23 about what the opportunities are, there are  
24 resources that we can share on what this looks  
25 like and examples from around the country. So

1 that's a great recommendation. I think it could  
2 be strengthened by the additional attention to  
3 the local level action.

4 Recommendation IV-5, again here I think  
5 I'm -- this was the one calling on -- for more  
6 advocacy through the International Code Council  
7 and -- and leveraging code enforcement activities  
8 and housing code activities in general. It was  
9 great again to see housing code called out here.  
10 As a powerful potential lever, I commend the  
11 authors for doing that. There are several model  
12 policies that could be referenced here, including  
13 provisions in the National Healthy Housing  
14 Standard which is a set of model codes which has  
15 some language here, many other communities that  
16 have example codes.

17 It may also be worth noting that these codes  
18 are strongest when implemented as part of a  
19 proactive rental inspection program that acts to  
20 identify and remove these hazards before people  
21 are exposed and harmed which is when that -- that  
22 frequently happens. And again there are several  
23 resources that could be referenced here that we  
24 can provide.

25 Moving on to section V, the health equity

1       implications, and especially Recommendation V-3  
2       about the ACOG screening. I want to amplify and  
3       echo something that I noted also, comments made  
4       during the public comment period about the  
5       effectiveness of the ACOG assessments and my  
6       concern that this is not an effective screening  
7       tool as noted by the previous commenter during  
8       the public comment period, Tom Neltnor. Many of  
9       the questions relied heavily on either the  
10      provider or the patients to have extensive  
11      knowledge of lead and to connect dots that might  
12      not be obvious.

13             So, for instance, in a question evaluating,  
14      you know, do you live near a point source of  
15      lead? Somebody has to, you know, rule,  
16      integrate, and apply the knowledge of what are  
17      the point sources? Do I live nearby them? Am I  
18      spending significant amount of time in other  
19      places that are nearby them? It's a lot. And  
20      every screening question -- you can walk down the  
21      list -- is like that. I think that that tool as  
22      an assessment tool could be evaluated and  
23      improved.

24             I also want to echo Tom's comments about the  
25      fact that there was no discussion that I saw of



1 proactively screening for housing-based  
2 exposures. You're really finding those lead  
3 sources and removing them regardless of whether  
4 exposure has already occurred, you know, getting  
5 rid of housing-based exposures at the time of  
6 pregnancy or preconception has the potential to  
7 not only benefit that pregnant person and the  
8 developing baby, but also to proactively set up  
9 the home environment to be safe for the baby as  
10 they grow and develop and start to crawl around.

11 And again, not to sound like a broken  
12 record, but it's always better to prevent  
13 exposure than to react to it. And we really need  
14 to be relying less on secondary prevention as our  
15 first line measure.

16 It's worth noting here, also, that as we're  
17 calling for additional screening, we need to be  
18 making sure that there are resources in place to  
19 respond if somebody is found to have an elevated  
20 blood lead level or to have lead exposure or have  
21 lead risks in their home. And that needs to be  
22 called out, I think, as well.

23 Tom also mentioned this briefly and I just  
24 really want to emphasize this point that the goal  
25 of increasing access to screening for pregnant

1 people is appropriate. It's worthwhile. There  
2 is no discussion that I saw of the potential  
3 unintended consequences. We have heard concerns  
4 that with the increase in leveraging fetal  
5 personhood bills in some areas of this country,  
6 that there could be the potential for having a  
7 documented lead exposure during pregnancy to be  
8 weaponized against or even criminalized against  
9 people who are giving birth. This is happening  
10 already around the country in the context of  
11 suspected substance abuse. Even when a baby is  
12 without harm, new mothers are being charged with  
13 what they're calling "chemical endangerment."

14 So it might be worth CDC just assessing the  
15 potential risk here and if there is some,  
16 factoring that into guidance for healthcare  
17 providers and pregnant patients so that we can  
18 increase and remove barriers to access to  
19 screening but not have, you know, unintended  
20 consequences.

21 And then finally in section VI here, for  
22 Recommendation VI-1, again I echo Tom's comments  
23 that I would encourage us not to exclude HUD and  
24 housing-based exposures from this call for CDC to  
25 collaborate with other federal partners. Even

1       though parts of the Office of Lead Hazard Control  
2       and Healthy Homes are specifically directed to  
3       look at children under six, they have significant  
4       expertise at the table related to housing-based  
5       exposures that can and should be leveraged  
6       related to this recommendation.

7               And the final comment I'll leave you with is  
8       in Recommendation IV-6 about the allocation of  
9       resources to create a unified blood lead  
10      surveillance system. Just noting that some of  
11      the recommendations in this report, like this  
12      one, may require additional or congressional  
13      action or support to really be feasible. And I  
14      just note that to say if ever in the future we're  
15      sort of using this as, you know, a progress  
16      report to say have we taken action, that there  
17      may be some additional outside actors that need  
18      to be called on here.

19             Thanks for taking those considerations on  
20      board.

21             **DR. KOSNETT:** Thank --

22             **MR. AMMON:** Thanks, Amanda. Oh, I'm sorry,  
23      did you want to respond or should I go to Grace?

24             **DR. KOSNETT:** I just wanted to briefly  
25      respond.

1 I appreciate all of those concerns and  
2 constructive suggestions. I think one of the  
3 things that in particular would be easy to  
4 incorporate, Amanda, if you had some of those  
5 references to -- to actual cities that have  
6 included the RRP rules in the coding, we'd like  
7 to point that out and that could be a minor  
8 addition just by, you know, adding a footnote  
9 or -- or whatever about that.

10 And in terms of the -- you know, the  
11 resources for follow-up and, you know, we --  
12 we've made a lot of recommendations in here  
13 regarding resources and in -- of course, in case  
14 follow-up, one of the key things for adults that  
15 we did is we really felt that it was important  
16 that health departments make it available. Just,  
17 you know, blood tests for adults to come to  
18 without having to pay for it if they -- at no  
19 cost because many of the people who are illicitly  
20 exposed as adults don't have the resources or --  
21 or may not be insured or may not be documented.  
22 And just like we -- a lot of cities go ahead and  
23 have programs for TB, for example, testing that's  
24 available to everyone even if they don't have  
25 insurance or they're not documented, we think a

1 blood lead test should be available because some  
2 of the -- some of the worst cases, for example,  
3 of lead exposure during renovation has  
4 occurred -- occurs when they -- you know, they  
5 take undocumented workers or workers in the  
6 informal sector regardless of their  
7 documentation.

8 And -- and so that -- we did address that  
9 concern in there and hope that that would be a --  
10 I hope that -- that helps address some -- some of  
11 your concerns as well. Thank you.

12 **MS. REDDY:** Thank you. I appreciate it.  
13 Thank you.

14 **MR. AMMON:** All right, thank you both.

15 Grace, EPA.

16 **MS. ROBIU-RAMÍREZ:** Thank you. Thank you  
17 very much. I -- I did have an opportunity to --  
18 to take a look at the report, although I  
19 haven't -- because it's not been approved, I  
20 haven't shared it with my colleagues at EPA which  
21 I will do when final.

22 I guess I just wanted to ask because I  
23 didn't see a reference to the final EPA drinking  
24 water lead rule for the lead and copper, what's  
25 called the Lead and Copper Rule Improvements.

1 Specifically the economic analysis that supports  
2 that rule has some interesting information that  
3 you may want to look at. I -- again, you might  
4 have considered it and it wasn't cited directly.  
5 And I recognize that that rule was published in  
6 late October. So it might have been after the  
7 substan -- the writing on your report has been  
8 completed.

9 But if you're interested, I'm -- I'm willing  
10 to point you to the -- in the right direction. I  
11 know that it's a little overwhelming to find  
12 information online at times.

13 **DR. KOSNETT:** Sure.

14 **MS. ROBIU-RAMÍREZ:** But what's -- what I  
15 think is novel there is the fact this was -- this  
16 was something that -- that EPA did that was --  
17 you know, I don't think that we have quantified  
18 some of these benefits before, health benefits,  
19 in this matter or been able to get through the  
20 Office of Management and Budget in this -- in  
21 this way. So that's something to look at.

22 I also want to mention that although --  
23 although not a -- I just want to also -- beyond  
24 cardiovascular disease which is what I just was  
25 referring to, the work that we did on ADHD to

1 support the various regulations that have been  
2 published this year is something that we are  
3 working to see if we can get into the peer review  
4 literature.

5 So that's something that it's kind of  
6 pending, but we are -- we want to get it into the  
7 literature because -- to -- to this -- to this  
8 date it's not. So but from, again, the economic  
9 analysis, not the health-based work.

10 So moving forward, I also just want to  
11 signal that I'm very happy this report will be  
12 done soon because we are working on some data  
13 consolidation tools and we will want to be able  
14 to speak to adults, not exclusively children,  
15 when we -- when we talk about lifelong health.

16 So this report will help us do that and  
17 buttress the statements that we're making. So  
18 thank you for the opportunity to weigh in.

19 **MR. AMMON:** Thank you very much, Grace, for  
20 those -- for those comments. So did I understand  
21 you correctly to say that the EPA lead copper  
22 rule contains an economic analysis of the cost  
23 benefits of reducing the exposure to adults as  
24 part of its ...

25 **MS. ROBIUO-RAMÍREZ:** Cardiovascular disease

1 specifically. And I wasn't sure if you included  
2 it --

3 **DR. KOSNETT:** No, we --

4 **MS. ROBIU-RAMÍREZ:** -- or not because that

5 --

6 **DR. KOSNETT:** That's good to know. And in  
7 fact, there's -- that's another easy -- easy fix  
8 here that --

9 **MS. ROBIU-RAMÍREZ: Okay.**

10 **DR. KOSNETT:** -- I just -- if you would send  
11 us that reference, there's a report where we talk  
12 about that there's been requests -- that request  
13 other analyses have suggested that the posit --  
14 the strongly positive cost benefit of -- of  
15 reducing adult exposures. I know that EPA has  
16 this recent analysis --

17 **MS. ROBIU-RAMÍREZ:** Exactly.

18 **DR. KOSNETT:** That's -- I would like to  
19 include that as a reference and -- and cite it.

20 **MS. ROBIU-RAMÍREZ:** Okay. I'll follow up  
21 with you offline.

22 **DR. KOSNETT:** Okay.

23 **MR. AMMON:** Thanks, Grace.

24 Next, Brenna Flannery.

25 **DR. FLANNERY:** Yes, I appreciate the



1 opportunity to -- to comment on this. The report  
2 was comprehensive and I learned more than I knew  
3 before on adult lead exposure.

4 But I have a question. I -- I noticed  
5 little discussion on lead exposure through food,  
6 dietary supplements, cookware. And I was  
7 wondering if the workgroup had considered this  
8 area for exposure to lead for adults because  
9 while it may be relatively low compared to other  
10 exposures, all adults consume food and therefore  
11 can potentially be exposed to lead from food.  
12 And so I was just wondering if the, again,  
13 workgroup considered this or considered writing  
14 about it --

15 **DR. KOSNETT:** Yes.

16 **DR. FLANNERY:** -- in the report.

17 **DR. KOSNETT:** Thank you. That -- that's a  
18 good point. We actually call on -- for  
19 collaboration between Recommendation IV- --  
20 excuse me, VI-1 talks about CDC collaborating  
21 with the FDA, for example, to increase awareness  
22 of sources of validated lead exposure which would  
23 include things such as food, or, for example, we  
24 make -- we make mention there, I believe,  
25 about -- at the bottom of page 28, we say it's

1 essential to communicate about nonoccupational  
2 lead exposure. There are potential sources of  
3 lead exposure that should not be overlooked. For  
4 example, the use of certain spices and  
5 traditional folk remedies from countries outside  
6 the United States.

7 So we have -- we very much agree with your  
8 and appreciate your comments and we have -- we do  
9 feel that that is something that we -- we call  
10 for.

11 **DR. FLANNERY:** Thank you.

12 **MR. AMMON:** All right, thanks.

13 Patrick Parsons.

14 **DR. PARSONS:** Oh. Hi, Michael. Thank you  
15 very much for the report. This is a subject that  
16 we've had discussions on, you and I, for many  
17 years now. And I just wanted to ask for a bit of  
18 clarification on your proposals to have automatic  
19 refusals in processing specimens that, you know,  
20 don't have, you know, certain fields of data.

21 I would hope that we wouldn't end up with  
22 some unintended consequences where laboratories  
23 will not test blood specimens. You know, they'll  
24 say it's a specimen rejection criteria if it  
25 doesn't have certain information there because

1       that would, I think, negate the whole, you know,  
2       goal of getting these data in. Is that correct?  
3       You're not recommending that laboratories reject  
4       specimens that lack these data?

5               **DR. KOSNETT:** You know, it's -- it's a -- we  
6       had a -- we have a nuanced discussion in that,  
7       Patrick, in that we say that, you know, when they  
8       have lead, for example, the drop-down menus, when  
9       you -- when you order a test. Just like you  
10      would ask for the patient's name and maybe their  
11      phone number, you would have a field in there  
12      to -- to indicate their occupation or, you know,  
13      their work if it -- or something like that  
14      because it's been a real struggle and actually a  
15      big resource problem for the ABLES programs in  
16      many states to track all that down.

17             So it's a gentle nudge in that direction,  
18      however, if we explicitly state that it is the --  
19      the health provider who orders the test says, I'm  
20      aware I should've gotten this, but I can't, it's  
21      not available, then that's sufficient for the lab  
22      to go ahead.

23             **DR. PARSONS:** Okay. So -- okay, so they --  
24      they -- those labs would go ahead and test the  
25      specimen.

1           **DR. KOSNETT:** Right.

2           **DR. PARSONS:** I know that -- you know, there  
3 are many situations where there are some missing  
4 fields on requisitions, the company specimens,  
5 and somebody has to then reconcile that because  
6 there are, you know, mandatory fields that you  
7 need in order to generate the test report. It's  
8 a -- you know, this is, you know, your problem.

9           Okay. Withholding certification, that's  
10 a -- that's a tricky one. I would tell you that  
11 in New York State, which is, you know, CLEA  
12 exempt, our state agency that, you know, audits  
13 labs, surveys them, they actually will look to  
14 see that laboratories are in compliance with  
15 reporting to what we call our heavy metals  
16 registry. And so there is, I think, good  
17 coordination between the -- the state accrediting  
18 authority and the -- the heavy metals registry  
19 that -- that operates in a different part of the  
20 department of health. So -- so that's good.

21           But withholding certification, you know,  
22 that's like a -- you know, a penalty of last  
23 resort and is -- is only taken in very, very  
24 serious situations. What's more likely is a  
25 laboratory will receive a citation or a

1       deficiency that they then have to address with a  
2       plan of correction.

3               So, you know, I -- I think that's a very  
4       drastic measure. I understand the goal. And,  
5       you know, that -- that's laudible, but, you know,  
6       I think withholding certification that --  
7       that's -- you know, that's a sledgehammer to --  
8       you know, to hit a nail.

9               **DR. KOSNETT:** Yeah. Okay. I think I agree  
10      with you on that. And we could easily say  
11      something like a complementary approach. This  
12      is -- I'm looking at the sentence on page 13: A  
13      complementary approach to increasing compliance  
14      with data collection. Maybe for state health  
15      department to consider to take -- you know, to  
16      consider actions to encourage laboratories to  
17      comply with collection requested information.  
18      And this could ultimately in severe situations --  
19      or it could ultimately include -- the penalties  
20      could ultimately include, you know, withholding  
21      certification or partial reimbursement, something  
22      like that, saying that that -- that's -- that  
23      would be not the only thing you would do, but you  
24      would have that option. It certainly will get  
25      attention in other words.

1                   **DR. PARSONS:** Yeah. I understand the  
2 intent. It's how -- how you get there.

3                   The last thing is I -- you know, I may have  
4 missed it in the report, but you didn't say  
5 anything about the OSHA quality specifications  
6 for proficiency testing which are different from  
7 CLEA and are, I think, still currently plus or  
8 minus 6 micrograms per deciliter, plus or minus  
9 15. Correct me if I'm wrong.

10                  **DR. KOSNETT:** I think -- I think that  
11 there's still a plus or minus. Yeah. Now, in  
12 the California -- plus or minus 4 remember. In  
13 California, for example, in the new standards,  
14 they -- they -- which we, you know, endorsed,  
15 they do call for tighter requirements for blood  
16 lead tests that are done as part of occupational  
17 exposure. And it would be implicit that when --  
18 when new OSHA standards were adopted that -- that  
19 the testing would -- would have to be tighter.

20                  **DR. PARSONS:** Right.

21                  **DR. KOSNETT:** You know, if we're aiming for  
22 the big picture here thing, we really need to  
23 lower the permissible exposures and lower the  
24 blood lead levels. But -- but the -- you know, a  
25 key component or a mention in the OSHA standards,

1       they specifically do mention that certain  
2       standard -- to my understanding, I could be  
3       wrong, but I -- my understanding was that they  
4       say that the labs that -- that conduct blood lead  
5       testing have to meet certain performance  
6       standards. And it would be our hope in the  
7       process of addressing it, it would look like they  
8       would do what California did and update those.

9               **DR. PARSONS:** Right, okay. Again I applaud  
10      your efforts. Great job.

11              **DR. KOSNETT:** Thank you.

12              **MR. AMMON:** Thank you.

13              All right, Dr. Montañez.

14              **DR. HUERTA-MONTAÑEZ:** Hi. I appreciate  
15      the -- sorry, I'll leave my camera off, my  
16      connection is weak. But I appreciate the  
17      opportunity to comment on this report and I  
18      commend the workgroup for this incredible work.  
19      I wonder if you considered in your discussions  
20      how this best practices will translate into  
21      clinical practice.

22              By that I mean if you thought about  
23      collaborations with medical organizations, you  
24      know, the academy sector to increase the capacity  
25      of clinicians on, you know, culturally sensitive,

1 patient-centered lead prevention and management,  
2 knowing that, you know, clinicians may need to  
3 refresh their and update their knowledge on this  
4 topic, especially given that lead testing, you  
5 know, as you mentioned at the beginning, is  
6 something that we think about when we talk about  
7 children not -- not adults.

8 And also if -- if you're thinking about  
9 including a list of occupations that are -- are  
10 most, you know, commonly related to lead  
11 exposure, not everybody knows that, you know,  
12 what those occupations are.

13 **DR. KOSNETT:** Yes. I -- in terms of talking  
14 about outreach to professional organizations, we  
15 do actually mention that, for example, on page  
16 20. We talk about -- we include professional  
17 associations of healthcare providers should  
18 undertake initiatives to educate workers,  
19 healthcare providers, and employers regarding  
20 aspects of the lead rule of the hazards of lead  
21 and that we have a whole section, actually two,  
22 at the culturally appropriate considerations.  
23 For example, recommendation VI-2, to enhance  
24 communicate -- is a recommendation to enhance  
25 communication about preventive strategies,



1 federal agencies should develop or expand  
2 partnerships with associations of health care  
3 professionals. And -- and we go into that in  
4 a -- in a little more detail.

5 So, yes, we do address that and we really  
6 think that that's one of the key needs is that --  
7 there -- there has been this robust scientific  
8 evidence that low levels of lead exposure -- when  
9 I say low levels, you know, at least  
10 10 micrograms per deciliter over an extended  
11 period of time, but possibly even lower -- needs  
12 to -- or contributes to an in -- an appreciable  
13 increased risk of cardiovascular mortality.  
14 And -- and that is not necessarily something  
15 that's been either emphasized in existing  
16 information on -- on lead, including certain  
17 things by CDC and EPA and other documents.  
18 Not -- not all.

19 **DR. HUERTA-MONTAÑEZ:** Yeah, I saw those,  
20 uh-huh.

21 **DR. KOSNETT:** Okay. And so we really think  
22 that also the healthcare community needs to be  
23 advised of that. I mean, this is a -- you know,  
24 healthcare, everyone in the healthcare community  
25 is careful to recommend to their patients that

1       they don't -- you know, that they don't smoke,  
2       they control their blood pressure, that they, you  
3       know, watch their cholesterol. But a risk factor  
4       like lead exposure on the job which could also be  
5       a significant contributor is not necessarily on  
6       their -- on their radar. And -- and so we do  
7       address that.

8               In fact, we cite a position statement by the  
9       American Heart Association which recently came  
10      out calling attention to that, which I think was  
11      very important.

12             **DR. HUERTA-MONTAÑEZ:** Yeah. And how about,  
13      you know, the health insurance companies covering  
14      for services provided by clinicians' services  
15      related to lead in adults?

16             **DR. KOSNETT:** I think they would do that now  
17      if -- you know, if -- you know, if a pers -- if  
18      an adult, for example, were to have lead --  
19      elevated lead exposure to the point that they  
20      needed some even counseling or intervention, if  
21      it wasn't -- if it was work-related, it would be  
22      covered by worker's comp. If it wasn't work  
23      related, you know, say it was from domestic  
24      exposure, that would be covered right now.  
25      Insurance companies can't say, We -- We exclude

1 lead exposure. No. They -- that would be --

2 **DR. HUERTA-MONTAÑEZ:** Yeah. I asked because  
3 we still struggle with coverage for children in  
4 Puerto Rico. So it's -- it's, you know --  
5 especially with follow-up blood lead levels and  
6 things like that, that -- the yearly or the --  
7 the recommended well-child care is like a -- paid  
8 back as a package and -- and it doesn't matter  
9 what you do, it's the same fee. And when you  
10 keep adding all the screenings that we do, you  
11 know, you have ten minutes to do this incredible  
12 amount of things that are not even covered by the  
13 insurance.

14 So I wonder if with adults there's something  
15 that you've considered because it's -- it's --  
16 medical care is very limited, you know,  
17 prevention --

18 **DR. KOSNETT:** Yeah.

19 **DR. HUERTA-MONTAÑEZ:** -- due to what's  
20 happening with the health insurance companies.

21 **DR. KOSNETT:** Well, I -- in my experiences  
22 as a clinician, I mean, I agree with you. But in  
23 my experience as a clinician, if a person has  
24 health insurance and as a provider you're  
25 concerned that the patient's anemia, for example,

1       could be due to lead from either external  
2       exposure, maybe from a retained bullet and that  
3       would (indiscernible) things, and you order it,  
4       the insurance company's not going to be able to  
5       say, Well, we don't cover that. No. They  
6       would -- they would have to cover it.

7               I mean, I'm not saying that -- that there  
8       aren't companies that -- that might challenge it  
9       or might try to, you know -- hopefully that  
10      doesn't happen, but there's no cutout to prevent  
11      lead testing from being reimbursed or lead --  
12      treatment for lead poisoning to be reimbursed.

13             **DR. HUERTA-MONTAÑEZ:** And, finally, I wanted  
14      to emphasize what somebody else mentioned at the  
15      beginning about putting more emphasis on the  
16      responsibility of the employers because it's  
17      something that we saw here in the Arecibo case  
18      with the, you know, occupational exposure, you  
19      know, about a recycling plant.

20             And those families, I was, you know, helping  
21      manage over 50 families with children that were  
22      exposed through their parents but the parents  
23      were not necessarily being -- you know, their  
24      health being addressed. And I -- you know, as a  
25      pediatrician, I asked the -- the -- usually the

1 mother was the one that was answering the  
2 questions and -- about her husband's health and  
3 they were actually symptomatic but scared of  
4 reporting their symptoms because they didn't want  
5 to lose their job.

6 So it's kind of tricky, and I think, you  
7 know, the responsibility of employers to protect  
8 their employees' health should be, you know, very  
9 much emphasized because when you're in this  
10 situation, you know, this is their job, this is  
11 their income, this is the way they support their  
12 family. And it's -- it becomes a really -- an  
13 incredible struggle for them to give priority to  
14 their health.

15 **DR. KOSNETT:** I -- I absolutely agree. And  
16 one of the things that we hope will come out of  
17 our recommendations -- like Recommendation IV-6,  
18 about creating a unified blood lead surveillance  
19 system and lead poisoning prevention program --  
20 would combine the efforts of childhood and adult  
21 programs, such that, for example, every time a  
22 child is found with an elevated lead exposure,  
23 they consider the potential occupational -- the  
24 potential exposure of the adults in the same  
25 family.

1           And as Dr. Tsai, who was on the call, has  
2           written in some of the sections that she wrote is  
3           that, you know, lead exposure is often a family  
4           issue. It's not limited to -- to the adults or  
5           to the children. And we believe and we have  
6           mentioned in the report that, you know, adult  
7           exposures should always raise -- or should raise  
8           a consideration to look for childhood exposures  
9           and vice versa. And that's why -- that's one --  
10          that would be one of the benefits of a unified  
11          system.

12                 And so we agree with you and we feel that  
13           that should be part of the public health  
14           response. It shouldn't just be limited to --  
15           this shouldn't be siloed into adults and children  
16           necessarily. And there are efficiencies, there  
17           are economic efficiencies with combining the  
18           programs because the same data management, for  
19           example, of collecting and creating the forms and  
20           creating the databases that go into creating a  
21           childhood lead poisoning -- a childhood registry  
22           is shared with the resources necessary -- or the  
23           skills necessary to create an adult registry.

24                 So there would be cost savings. And we say  
25           in here, for example, unified -- this is at the

1 bottom of page 22, a unified surveillance system  
2 would not only allow for more efficient use of  
3 limited resources, but would also enhance the  
4 surveillance system's capacity to detect  
5 previously unidentified lead exposed cases. And  
6 we cite a recent paper by Egan to that effect.  
7 And I believe that Dr. Tsai is a co-author on  
8 that.

9 **DR. HUERTA-MONTAÑEZ:** Thank you.

10 **MR. AMMON:** Great discussion by the way.  
11 Very helpful back and forth. And I appreciate  
12 everybody's comments as we -- as we continue in  
13 here. And I hope you guys are taking notes in  
14 terms of incorporating these recommendations into  
15 the eventual final report.

16 One of the -- just a general comment. In  
17 my -- I mean, there's an amazing amount of  
18 information and data in here. It really is a  
19 great body of work. One of the things that  
20 I'm -- I was thinking as a decision-maker in  
21 terms of what are the -- you know, this -- what  
22 are the more succinct actions or -- or what are  
23 the specific actionable items? And I didn't know  
24 if there was any consideration of pulling this  
25 into, like, an executive summary that just

1 specifically lists very, very succinct and  
2 specific actions. I know there are a lot of  
3 generalized recommendations. And again I'm --  
4 I'm trying to think of, if I'm a decision-maker,  
5 making decisions on funding or specific things  
6 that need to change within our organization at  
7 the federal level, things of that nature. Is  
8 there -- is there enough in the recommendation  
9 for me to act upon it, you know, in terms of, you  
10 know, a specific actionable item?

11 And I'm just looking at the work we did on  
12 the BLRV which was very -- which was a single  
13 recommendation to bank on and specific action.  
14 So I'm just trying to bridge the gap here.  
15 Again, just taking a step back. I mean, the --  
16 the data is amazing. I'm just trying to figure  
17 out is there some improvements we can make among  
18 the recommendations to be more succinct, that  
19 people recognize exactly what they need to do in  
20 terms of next steps so that there isn't any  
21 ambiguity of what the direction is and what  
22 specific things you're asking people to do?

23 **DR. KOSNETT:** Well, you know, that -- that's  
24 a great comment. And I hope it -- the structure  
25 of the report, you know, was built around



1 recommendations. So rather than, for example,  
2 having long discussions and narrative and -- and  
3 not necessarily connecting them to  
4 recommendations, all the narrative in the report  
5 follow -- is -- yeah, follow bold-face  
6 recommendations.

7         So it was our hope, within the amount of  
8 time available, for us to go in -- into details.  
9 You know, we -- so, for example, we -- we have --  
10 almost all of the recommendations, I think, have  
11 a degree of specificity in terms of, you know,  
12 in -- in -- like, for example, enhancing  
13 recommendations, III-1, about enhancing the  
14 efforts of -- of the ABLES program and to, you  
15 know -- to require reports, clinical laboratory  
16 requests to make a concerted efforts to include  
17 occupation in the reports. That -- that's a very  
18 recurrent issue among the ABLES programs on -- we  
19 make a specific recommendation, III-2, with  
20 respect to the ABLES programs, that they have a  
21 standardized -- standardized data outlets.

22         You know, right now, a National ABLES  
23 program has an established standardized criteria  
24 for, you know, this is the date -- this is the  
25 questions you should ask and this is the data you

1 should collect so that it can be easily coalesced  
2 and aggregated across states. That's a specific  
3 recommendation that we make in III-2.

4 You know, III-3 calls for the unification of  
5 the adult and childhood programs and that has a  
6 certain degree of specificity to it in terms of  
7 the best practices.

8 In section IV, you know, we call for a real  
9 need for a revision of the OSHA lead standards.  
10 I mean, you know, the biggest source of adult --  
11 well, I shouldn't say the largest prevalence, but  
12 the most -- the outlook, the consistent  
13 elevations at higher levels of lead exposure, our  
14 work in adults, arise from the workplace,  
15 according to ABLES data and NIOSH data. And it  
16 really needs to be revised.

17 And, you know, Matt, this will be the  
18 first -- this -- if one passed, this report will  
19 be the first CDC document that issues a call and  
20 acknowledges the need to revise the OSHA lead  
21 standards. NIOSH has not explicitly issued that.  
22 They talk about a defining blood lead level of  
23 five as elevated.

24 But the fact that this -- you know, your  
25 action today, in -- in passing and endorsing this

1 report, will allow groups like OSHA and EPA and  
2 others to point to the -- that CDC -- just like  
3 CDC has taken years of effort and action and HUD  
4 has taken years of effort and action to reduce  
5 childhood lead poisoning, this will be the first  
6 report that explicitly from CDC that actually  
7 says, you know, occupational exposure is -- is  
8 allowed to be -- is too high today.

9 **DR. RUCKART:** Michael, I just want to  
10 clarify. This will be a PLEA report, a PLEA  
11 workgroup report under the LEPAC. It will not be  
12 a CDC report. So just a point of clarification.

13 **DR. KOSNETT:** I'm sorry, Perri. You're  
14 right. You're right. But, I mean, in terms of  
15 it being a document that was developed under the  
16 rubric of the LEPAC that calls for it, I think it  
17 would give support to efforts by other agencies,  
18 including yours, to address adult lead exposure,  
19 Matt. I would hope it would.

20 And so I think, you know, granted -- and all  
21 these recommendations that have been made, we  
22 really appreciate them and a couple of the ones  
23 that we talked about today, where it's easy to  
24 include a reference or, you know, a document like  
25 that, we would do, but I would -- and -- and, you

1 know, the key ones, including the reference to  
2 the codes, like in Rochester, that was dis --  
3 that were discussed earlier by Amanda Reddy, the  
4 cost-benefit analysis by EPA that Grace Robiou  
5 mentioned, talking about, which is very important  
6 to -- to say here about the -- the cost -- this  
7 cost savings associated with reducing adult  
8 exposure.

9 And then, finally, you know, making a minor  
10 adjustment to the issue of withholding compliance  
11 from laboratories as suggested by Dr. Parsons.  
12 We're all -- we can all do that as -- as  
13 agreed-upon things.

14 And I would hope that as time is coming up  
15 now, that we can turn it to you, Matt, and see if  
16 you can put this to a vote to accept and approve  
17 the report for submission as a LEPAC product.

18 **MR. AMMON:** It's a diplomatic process. I'm  
19 only -- I'm only the shepherd. This is a  
20 diplomatic process.

21 But -- but, no, I really appreciate  
22 certainly you commenting that -- that there have  
23 been a lot of great comments and recommendations  
24 that will be incorporated in improving the draft  
25 that we have here. Just the exact same thing as

1 we did on BLRV, the exact same thing.

2 And I think we have an amazing set of  
3 experts here that you've heard from and that  
4 you'll continue to hear from and work with. And  
5 the fact that -- and you're right. It's -- it  
6 really is no small feat to have this body of work  
7 which really doesn't exist anywhere else. So I  
8 think that's also a really pretty bold statement  
9 for not only this group but just -- just your  
10 work, everyone's work. I mean, it was, again, a  
11 group effort and I -- I really appreciate  
12 everyone's work.

13 So do I have any -- any -- I know we have a  
14 minute left before we need to move. Is there any  
15 other additional comments -- or recommendations  
16 actually? Seeing none, I'm seeking a motion from  
17 the body on the report. I'm looking for a LEPAC  
18 member to make a motion on the report so we can  
19 move forward with an action on it. Do I have a  
20 motion --

21 **MS. ROBIU-RAMÍREZ:** Matt, I -- I make a  
22 motion to approve the report.

23 **MR. AMMON:** Okay. Make a motion to  
24 reprove -- approve the draft report. Seeing that  
25 motion to approve the draft report, any other

1 subsequent motions?

2 Okay. With that, let's take a vote on  
3 approving the draft report. So I'm looking for  
4 everybody to be on camera. Everyone approve --  
5 who approves the report say aye or show your  
6 hand. Perfect. Thank you. Good. I'm going  
7 through everybody. It looks like everybody.  
8 Any -- very good, got it. Yep.

9 **DR. ALLWOOD:** So -- so, Matt, just a -- just  
10 want to -- kind of a point of order, I suppose.

11 **MR. AMMON:** Yep.

12 **DR. ALLWOOD:** It looks like we're -- you  
13 know, we have all of our voting members but also  
14 our affiliates voting on that motion.

15 **MR. AMMON:** Yeah, I know. Yeah.

16 **DR. ALLWOOD:** I think you might need to sort  
17 (indiscernible). Sorry to be the officious one.

18 **MR. AMMON:** No, that's okay. I can see -- I  
19 can see. I know it's only LEPAC members. I  
20 know. I'm looking at it and they all look like  
21 the LEPAC members voted, which is only for LEPAC  
22 members, right? I think -- I think we're covered  
23 on that. Yeah. Here we go. I see everybody.  
24 Just going to wait another second here. All  
25 right. I think that comprises votes.

1 Any dissents? Or abstain? None? So the  
2 motion has passed to approve the draft report.  
3 So, again, I -- I appreciate everybody's vote. I  
4 appreciate the work. As -- as Perri mentioned  
5 and as Paul mentioned, the process about moving  
6 forward now, we've received -- you all have  
7 received a number of recommendations that we  
8 expect to be incorporated into the report.

9 And, again, Perri talked about the process  
10 and how it goes from here. Again this is the  
11 very first time and again we look forward to  
12 seeing the updates as they are included in -- in  
13 the report. But appreciate everybody's vote on  
14 that.

15 And with that, we are at a break. So I will  
16 see you all back here at 4:00. Thank you, all.

17 (Recess)

18 **DISCUSSION OF BLOOD LEAD TESTING CHALLENGES AND**  
19 **OPPORTUNITIES POST-COVID**

20 **MR. AMMON:** Thanks, everybody. Before I  
21 hand it over for our next topic -- and I  
22 appreciate, Paul, you being on -- thank you, all,  
23 for -- for today. I mean, we've done a lot of  
24 work. We're coming to our last topic where we're  
25 talking about blood lead testing challenges and

1 opportunities. And, you know, this is really  
2 front and center to a lot of the core work  
3 that -- that we are doing in terms of continuing  
4 the work, a lot of time trying to rebuild the  
5 capacity that we lost in COVID. A lot of the  
6 funding is going toward this to try to revamp,  
7 retool, again make sure there's the right  
8 capacity.

9 And I see definitely a lot of challenges and  
10 opportunities in that, and I can talk from the  
11 grantee perspective, but, you know, I definitely  
12 think leading off the conversation should be from  
13 the experts: CDC.

14 So I'll hand it over to Paul to start off  
15 and then we'll all join in.

16 **DR. ALLWOOD:** Well, sorry, I was -- was  
17 caught by the mute button.

18 Good afternoon, everybody. I -- I would  
19 echo comments by our -- our chairperson. It's  
20 been a great meeting so far, and I -- you know,  
21 I -- not -- not just the last session but, you  
22 know, that -- that last discussion was -- was  
23 very illuminating in many ways, and, you know, I  
24 just want to take the opportunity now to express,  
25 you know, my gratitude to the workgroup for



1 putting together this draft report and I'm really  
2 looking forward to, you know, seeing the -- the  
3 updated more final version of that.

4 And so, like Matt said, we have seen  
5 significant impacts from the pandemic on testing.  
6 And now that we've gone, you know, a few years  
7 since the start of the pandemic, I think it's  
8 probably a good time for us to get together as a  
9 body and just talk about, you know, what are some  
10 of the -- the things that we've observed that --  
11 that are, you know, barriers to -- to testing and  
12 what are some best practices that -- that might  
13 have evolved as a result of the current  
14 situation? What suggestions do each of you have  
15 or, you know, your organizations or even in your  
16 capacity as perhaps, you know, somebody who's not  
17 actively in the profession anymore, but, you  
18 know, just any facts that you might be willing to  
19 share about how we might address challenges of  
20 getting more children tested.

21 And, you know, by the way, you know, this  
22 also brings me back to the presentation we had  
23 from our colleagues up in New Hampshire this  
24 morning about one of -- one of their -- you know,  
25 one of the efforts that -- that they took it

1           and -- and we saw the results of that.

2           And then, last but not least, you know, we  
3           would also like to engage the committee --  
4           committee members to speak about any specific  
5           populations that should be the focus of increased  
6           testing.

7           And so with that intro, I'm going to -- I  
8           think perhaps the way that we would do this is  
9           perhaps just go to each of our -- our members and  
10          ask if you've got anything that you would like to  
11          share or -- on any part of that. All the members  
12          received these -- these questions, you know, part  
13          of the meeting.

14          So, Perri, if you would help me out, maybe  
15          we just, you know, kind of run through our list  
16          of members and have each person to share out on  
17          these topics as much as you feel comfortable  
18          sharing right now.

19          **DR. RUCKART:** Sure. So do you want to talk  
20          about one question at a time? Or just each  
21          person could give their thoughts about all of the  
22          points you raised?

23          **DR. ALLWOOD:** Yeah. I wasn't quite sure how  
24          people would prefer to do this. You know, is  
25          there a preference? If anybody wants to opine on

1           that.

2           **MR. AMMON:** I think my guess is that, you  
3           know, we provided the four questions. Probably  
4           people are going to have, maybe, more emphasis on  
5           one versus another or just have something in  
6           general about -- to say about -- about all of  
7           them. I'd certainly leave it up to the members  
8           to decide, and, I mean, I -- I can -- I can  
9           share -- as people are thinking, I can launch  
10          into HUD's perspective if you want to, at least  
11          to start out, if that helps maybe generate some  
12          thoughts and things of that nature.

13          **DR. ALLWOOD:** Yes. Yes.

14          **MR. AMMON:** If that's okay, yeah. So --

15          **DR. ALLWOOD:** Yeah, that'd be fine, Matt.

16          **MR. AMMON:** Yeah, well, you know, I -- our  
17          guidance for testing obviously comes from CDC.  
18          That's why, you know, they're -- they're the  
19          experts in this field we're in, and we're on  
20          the -- obviously on the housing and intervention  
21          side. For us, you know, we have always tried to  
22          focus on -- on primary prevention.

23                 And, you know, I know there's a lot of  
24          criticism for some of our programs that say we're  
25          only focusing on lead poisoned children. And,

1       you know, I think the only way to really get --  
2       addressing the issue and then get ahead of the  
3       issue is really focusing on trying to increase  
4       the rates of testing.

5               And we know there's a lot of challenges  
6       around -- from our grantees' perspective around,  
7       you know, what are the connections that are going  
8       to make sense? You know, is it through --  
9       through Medicaid? Is it through private  
10      insurers? You know, we do have a number,  
11      obviously, of -- of housing stock and assisted  
12      housing and public housing. That makes it  
13      somewhat easier because it's more of a -- it's  
14      more of an inventory that we're aware of and we  
15      know of. And it's a little easier for us to --  
16      to manage that.

17             I think, though, a lot of our data, though,  
18      in terms of how we're doing, comes from this  
19      testing data. So it does impact, I think, down  
20      the road, in terms of when we talk about outcomes  
21      and things of that nature. I think a lot of the  
22      practices that we have seen which -- which  
23      communities have been using is really, really a  
24      local approach. And what do I mean? What I mean  
25      by that is they're not waiting anymore to have

1 children be referred to them from doctors or  
2 local health clinics. They're -- they're doing  
3 what they can do, going door-to-door, you know,  
4 in certain neighborhoods. We know where our  
5 target areas are.

6 We know that the -- the areas that we need  
7 to serve better. And we've been deploying as  
8 much as we can at the -- at the most basic level  
9 which is where -- where the folks are that we  
10 need to serve. So is it in their individual  
11 home? You know, is it certain areas, like --  
12 like school kids? We've done a lot of work  
13 around as part of immunizations, including this  
14 as part of that.

15 I think anything that makes it more  
16 difficult for parents to then, you know, not do  
17 their normal thing because it's a missed -- it's  
18 a missed workday. So we try to meet them where  
19 they are if you're not aware of this.

20 And we've done a lot of work -- our grantees  
21 have done a lot of work in trying to, again, meet  
22 them where they are locally, no matter -- no  
23 matter where that is. And I think it's been --  
24 it's been very successful.

25 But, again, for us this was a real capacity

1 shift because, you know, for -- during -- during  
2 COVID, you know, none of it happened. So there's  
3 just been, I think, a revamping of -- of the --  
4 the emphasis on getting out and doing this work  
5 because we know that, you know, without it  
6 there's a lot of follow-up that won't happen in  
7 terms of interventions and things of that nature.

8 But -- but for us it presents a real  
9 opportunity because, you know, we want to be able  
10 to combine as much as we can, that single touch  
11 of homes where there's families that we talk to.  
12 And there's a lot of things that we can talk to  
13 them at one point, both in terms of our  
14 assistance programs and having the wraparound  
15 services related to those that we serve with our  
16 assistance program, but also -- and our grantees,  
17 as you know, are -- our Lead Hazard Control  
18 program is not assisted housing. It's  
19 (indiscernible).

20 But again that -- that's a way for us to  
21 kind of meet that other inventory on that other  
22 set of -- those other sets of needs for families  
23 which are -- which are at risk for not only  
24 exposure but then prevents an opportunity for us  
25 to do the interventions.

1           And so, again, I think grantees have been  
2           very, very creative locally about how to meet  
3           parents, children where they are. And I think  
4           that's for us something that has been -- been  
5           helpful and we hope to continue to build.

6           **DR. RUCKART:** Okay. I'm going to call on  
7           the members and give them an opportunity to give  
8           their opinions. And I want to go in reverse  
9           alphabetical order. And I say that as someone  
10          whose maiden name begins with the letter Z.

11          So I will go through the members in that  
12          fashion and then the nonvoting liaison members.  
13          So I will start with you, Brian, if -- if there's  
14          any points you'd like to raise.

15          **MR. WEAVER:** Yeah, sure. I'll -- I'll take  
16          this opportunity. So thank you for providing  
17          these questions ahead of time for us to reflect  
18          on them. I think a lot of blood works and blood  
19          lead testing has been mentioned earlier on the  
20          call, in the meeting today, but to emphasize a  
21          couple of things that I think through my work  
22          through Wisconsin's Childhood Lead Poisoning  
23          Prevention Program I've learned.

24          And so I think some unique barriers since  
25          the pandemic that perhaps we hadn't faced prior

1 to that is -- I think maybe one I would just  
2 highlight is the increase in accessing  
3 information, including public health information,  
4 from non-public health and healthcare sources.

5 I think they are missing our messaging. We  
6 are not diversified as far as our outreach as far  
7 as using social media to reach specific  
8 audiences, particularly young parents of -- you  
9 know, new generations of young parents, of  
10 millennials, and now Gen Z population. Many are  
11 old enough to become -- are having families.

12 So just I think one of the barriers that  
13 we've understood, maybe not just because of the  
14 pandemic, but like we've seen in recent kind  
15 of -- like the recent election, just the ability  
16 to reach certain populations effectively in our  
17 messaging. We might be missing some of those key  
18 components. So I think that's something that  
19 absolutely needs to be studied and looked into  
20 further. I don't have anything specific to -- to  
21 offer there.

22 And as far as strategies and best practices,  
23 I think, you know, what we have found is that  
24 there isn't a specific silver bullet or something  
25 you can just do it immediately and you'll have a



1 dramatic impact. It has taken us to work years  
2 and sometimes decades building relationships,  
3 primarily -- or one of our key stakeholder groups  
4 is Medicaid and working directly with our state  
5 Medicaid office. That is something that I think  
6 is a best practice if -- if state agencies, state  
7 programs are able to work closely with them, they  
8 really can have quite an impact as far as  
9 reaching a high-need population of children  
10 enrolled in Medicaid under the age of six. So I  
11 think that's an important one.

12 And then maybe specific populations that  
13 should be focused on for increased testing. The  
14 obvious one is, you know, low-income children who  
15 are living in older housing, particularly rental  
16 properties, I think, continues to be our key  
17 audience, those parents and caregivers of those  
18 children. I think that's super critical.

19 And I mentioned in our -- in the Wisconsin  
20 update other populations where we see a higher  
21 prevalence of lead poisoning, and that is in our  
22 children who are in the foster care system.  
23 Recent immigrant refugees are coming to -- to  
24 Wisconsin. We are seeing a higher rate of -- of  
25 lead poisoning in that population, but small

1 numbers.

2 But, again, I think it's important to  
3 highlight where we see perhaps a greater chance  
4 are those children being exposed to lead, making  
5 sure we're addressing that.

6 And then this has been brought up a couple  
7 of times, I just want to emphasize it: pregnant  
8 adults who are at increased risk for lead  
9 exposure. I think that's another key audience  
10 that we need to be talking more about.

11 And I think there's been recommendations  
12 throughout this meeting about revisiting -- looking at  
13 the current recommendations that CDC and ACOG  
14 and -- and updating those to be something that  
15 others could look to in reference and to use.

16 So thank you.

17 **DR. RUCKART:** Okay. All right, thanks.

18 Next will be Megan Sparks. If there's  
19 anything you'd like to add or discuss.

20 **DR. SPARKS:** Kind of piggybacking off of  
21 what Brian just said, we have found in a sort of  
22 post-COVID environment that working very closely  
23 with our -- our Medicaid MCOs to kind of building  
24 inroads with our families that may have lost some  
25 trust with public health through the pandemic. I

1 know that that's been a huge issue in our -- our  
2 region. So testing has understandably declined  
3 when people aren't coming to the health  
4 department to get any services anymore. That  
5 includes testing.

6 So we've actually been essentially doing  
7 grand rounds with the MCO case managers to  
8 provide education since they work most closely  
9 with their families. And we've seen some  
10 movement from families to be more amenable to  
11 getting those -- those recommended testing done.  
12 But I think trust, lost trust in public health  
13 generally has been a problem in a post-COVID,  
14 ongoing COVID world.

15 In addition, like, one of our possibly  
16 easiest ways to get kids tested prior to COVID  
17 was to jump into their -- their WIC appointments  
18 when they're doing hemoglobin and to get a  
19 capillary test right there.

20 When WIC started doing some telehealth  
21 visits, we saw a significant decline in not only  
22 kids getting their risk assessment for lead  
23 exposure done but getting their capillary  
24 screening done. So that's -- that's been an  
25 issue. And, again, that lost trust has been a

1 reason why we can't communicate effectively with  
2 families anymore.

3 So we've been outreaching community members  
4 and community organizations that have better  
5 relationships with their families than we do. I  
6 meet with our school nurses. So we're a large  
7 county and we have well over a hundred thousand  
8 students. And so we have lots of schools all  
9 over the place and I meet with our school nurses  
10 quarterly.

11 And they've been one of the best partners in  
12 communicating not just lead education but all  
13 population health issues relevant to families.  
14 They were on it when I reached out to them about  
15 the -- the cinnamon contamination in the fall  
16 last year. They were the easiest and best ones  
17 to disseminate that knowledge and they did it  
18 quickly and efficiently. And almost as soon as  
19 we sent it to them, we were getting calls from  
20 families asking questions.

21 So I think kind of spreading our knowledge  
22 out among partners that we don't traditionally  
23 work with -- community groups and  
24 organizations -- or even groups that we have  
25 worked with previously, finding a partner that

1 better suits our needs, like working directly  
2 with MCO case managers as opposed to some of the  
3 administrators that come to the larger Medicaid  
4 MCO meetings has been more successful for us.

5 And I -- I would agree that we need to  
6 outreach as far as specific populations. I -- we  
7 really need to outreach obstetrics to reach more  
8 pregnant persons and increase the testing there.  
9 We don't see that hardly at all. And most people  
10 that we talk to are astonished that that's --  
11 that's a recommendation that's getting missed.

12 So I think that's going to be our next  
13 frontier once we get through our testing clinic.

14 **DR. ALLWOOD:** (indiscernible)

15 **DR. RUCKART:** Okay. Okay, thank you.

16 Moving on to Jeff Sanchez. Anything you'd  
17 like to add?

18 **MR. SANCHEZ:** Sure. I'd like to kind of  
19 share a project we had worked on where we were  
20 providing free blood lead testing based on this  
21 housing code Patrick (indiscernible) was talking  
22 about earlier where we were identifying  
23 properties that had been cited for  
24 (indiscernible) through the code enforcement  
25 program through LA city. And they subsequently

1 would go and they would partner with the clinic  
2 to have them just receive free blood lead testing  
3 even though they were part of the clinic and they  
4 reimbursed for the LeadCare II tests.

5 We also provided incentives to the families  
6 to remove those barriers to get to that lead  
7 test. So -- so what we're essentially trying to  
8 do is just remove what we know is common barriers  
9 of why kids, the parents don't actually make it  
10 to the clinic for testing. And if they were --  
11 we sent them, then the clinic, again, just --  
12 just tested. And they still went through their  
13 screening guidelines that the clinic was  
14 providing, a couple of clinics were providing.

15 We were surprised to find that they were  
16 still using a lead questionnaire for -- for their  
17 screening and still had questions about, you  
18 know, do you have lead pipes in your home? and  
19 what type of jobs do you -- do you have that  
20 might involve lead? They were unaware of what  
21 even occupation -- I think we heard this earlier  
22 today about what occupations even warrant -- you  
23 know, kind of warrant a -- a risk for lead or  
24 take-home exposure.

25 So again we kind of removed the bat as well.

1 We found that kids that actually had been part of  
2 their service, if they were even part of a zip  
3 code that we had sent, we asked them to test them  
4 anyway so they -- their -- they're existing  
5 patients. And we found several kids who actually  
6 have -- had blood leads above -- well above five  
7 that would not have been tested otherwise. And I  
8 think that those (indiscernible) really a great  
9 opportunity to realize that.

10 I had just one other point I was going to  
11 make. It was about, you know, let-us-help  
12 advocacy about how do we inform families to go in  
13 and become advocates for -- for their -- their  
14 health and their children's health? And we found  
15 that there was either just miscommunication about  
16 when they were going in to ask for -- to get  
17 their kid tested for lead. There was just no --  
18 not a clear understanding of what they were  
19 asking for. So there's some kind of  
20 miscommunication around, Oh, maybe they're asking  
21 for a test about their -- their diabetes or some  
22 other blood lead test or blood tests.

23 So anyways I guess my point there was just  
24 that I think, you know, there's really an  
25 opportunity here for us to think about, you know,

1        what -- what are the actions that are -- exist  
2        already of clinics when it comes to, you know,  
3        families coming in and asking for a blood lead  
4        test, again from that self-advocacy.

5            And I do know that, you know, obviously we  
6        were providing LeadCare II tests. We were able  
7        to provide them. But not that necessarily we  
8        have to be supplementing a health care center  
9        with -- with free testing, but, like I said, to  
10       be able to remove that barrier and -- and get an  
11       insight was -- was extremely valuable.

12           The other thing I was going to point out was  
13       just the -- I think the pregnant women --  
14       California has had -- made a few attempts to  
15       include pregnant women in the Childhood Lead  
16       Program. And -- and I (indiscernible), when I  
17       think of the recommendations about pregnant  
18       women, we realize that we're kind of in two --  
19       two groups here. We have a -- our childhood lead  
20       programs that define a -- an individual at risk  
21       based on their age and then we have our  
22       occupational lead programs that are based on what  
23       kind of work they're involved in.

24           And then there's this middle section of  
25       people that are kind of not part of either. And



1 I think pregnant women is -- is part of that. So  
2 I think that might add to why -- some of our  
3 struggles with why we don't see enough guidance  
4 or -- or, yeah, just legislation around how do we  
5 get pregnant women and other individuals that  
6 again fall in this middle category that's not  
7 tested for lead?

8 So those are -- those are my thoughts.

9 **DR. RUCKART:** Great. Thank you.

10 I'll go to Grace --

11 **DR. ALLWOOD:** Thank you.

12 **DR. RUCKART:** I'll go to Grace Robiou next.  
13 Anything you'd like to add? Grace?

14 Okay, we can circle back if -- if she has  
15 anything to add. Let's go Mikki Meadows-Oliver.

16 **DR. MEADOWS-OLIVER:** Hello. So I think  
17 that -- when I was thinking about the -- I'm in  
18 Connecticut and we have a law on the books that  
19 people are supposed to be screening at one and  
20 two. And as we know already, too, there's  
21 Medicaid screening -- or children on Medicaid  
22 supposed to be screened at one and two. So for  
23 many of our children, they're getting screened  
24 but what we found is that for people -- or  
25 children that go to clinics that serve Medicaid

1 children, they're being screened at the highest  
2 rates and sometimes the kids in our private  
3 practices are not being screened as much because  
4 they -- they live in more affluent areas. The  
5 providers in those areas don't necessarily think  
6 that they are at risk. And as I heard someone  
7 else mention before, they're doing more screening  
8 with questions rather than blood lead testing in  
9 a lot of our private practice suburban areas. So  
10 I feel like that is a barrier.

11 Also I think some of the loss of the trust  
12 that's been mentioned before, we have seen a lot  
13 of vaccine hesitancy. And I think along with  
14 that vaccine hesitancy, we've seen just hesitancy  
15 around being screened for certain conditions and  
16 lead poisoning is one.

17 It's a condition that, as we all know,  
18 doesn't have a lot of outward effects. And so  
19 when parents don't see oftentimes that there are  
20 physical effects or things that are physically  
21 affecting their children, maybe they're thinking  
22 it's not much -- as much of a problem so they are  
23 not always taking it as seriously, and they might  
24 decline or even refuse lead testing.

25 So we have found that that is a barrier as

1 well. And unfortunately a lot of these  
2 parents -- some of these families might be some  
3 of the ones who are living in the oldest housing  
4 stock here in Connecticut because we have plenty.

5 In our larger clinics, some of the things  
6 that we found that have been working as far as  
7 best practices have been quality improvement  
8 projects really. We have lots -- I'm in an area  
9 that has lots of colleges, so we have students  
10 doing quality improvement projects. And some of  
11 the quality improvement projects that they've  
12 done have been around scree -- chart reviews to  
13 make sure that children who are supposed to be  
14 screened for lead are being screened for lead and  
15 those who aren't being screened for lead, those  
16 providers are being contacted to kind of figure  
17 out maybe why they were not being screened. So  
18 we found that that has worked to increase our  
19 screening levels.

20 And then lastly, I do believe that someone  
21 else mentioned immigrants and refugees. And we  
22 have a refugee clinic, as well, as part of our  
23 larger hospital clinic. And so all of those  
24 children who come to the refugee clinic, if  
25 they're less than six years of age, they are

1 screened for lead poisoning, and we pick up quite  
2 a few children there.

3 And I think that was it for me.

4 **DR. RUCKART:** Okay, thank you.

5 I'll go to Aaron Lopata.

6 **DR. LOPATA:** Hi. So, yeah, I think this  
7 discussion is really helpful. Again I think --  
8 and this is -- you know, I may have said it  
9 earlier, I work obviously in HRSA, the Maternal &  
10 Child Health Bureau, but I'm also a pediatrician.  
11 I practice part-time. And it does feel like  
12 there needs to be -- I mean, I think it's  
13 interesting that the idea that not all  
14 pediatricians are -- and actually let's say  
15 family practice clinics as well, but any  
16 providers of these children that there is --  
17 there are some that are not doing screening.  
18 They make it look like they don't need to do  
19 screening.

20 And so, one, I think it's important to go to  
21 the -- because a lot of kids will -- if they  
22 don't go to the health department or if they  
23 don't -- aren't a part of a certain program --  
24 you know, a lot of kids obviously do see their  
25 pediatricians or parents will take their kids to

1 the pediatricians for well visits. And so it's  
2 really critical when you have that point of --  
3 you know, at that point of care to be able to  
4 screen and if needed do the testing. And I  
5 wonder if, you know, the combining the -- and I  
6 also find it interesting that the rates be better  
7 for patients who have Medicaid than with private  
8 insurance.

9 So I think it just kind of stresses the  
10 importance of keeping the -- communicating with  
11 pediatricians, private practice providers, nurse  
12 prac -- pediatric nurse practitioners, physician  
13 assistants that -- remind them of the critical --  
14 that it's critical to build -- to screen for  
15 lead.

16 And I'm also wondering as -- you know, we  
17 work a lot at MCHB with AAP. We also work a lot  
18 with ACOG. And so I think, you know -- I think  
19 there's a tendency for us to think of lead --  
20 lead poisoning as a child -- childhood issue and  
21 we're not always thinking of it as -- as what I  
22 thought was helpful to have, especially adult  
23 testing as well, but the idea of testing during  
24 pregnancy is something that we could also bring  
25 up and stress, talk about it with ACOG and try to

1 improve communication there as well.

2 And I'm also wondering, having the ability  
3 to work with, like, EPA and HUD on mapping and,  
4 you know, being able to have an understanding of  
5 where are the hotspots? where are there -- is  
6 there evidence of high prevalence? And that can  
7 be due to just old buildings, old architecture,  
8 use of lead paint. And if you know that and then  
9 communicate that to a pediatric or family  
10 practice offices in those neighborhoods, then  
11 they might do testing automatically since they're  
12 in a high prevalence area.

13 And -- and then the last thing again is  
14 making sure that any -- I guess especially in  
15 high prevalence areas that federal programs,  
16 whether, you know, it's a Head Start, Early Head  
17 Start, Home Visiting -- Home Visiting Program,  
18 Healthy Start Program where they are working  
19 closely with families in this age range, from  
20 their early childhood, that they are --  
21 especially if they're aware that they're a high  
22 prevalence area that they're making sure to ask  
23 these questions when they talk to them about have  
24 you gotten tested? is your pediatrician testing?  
25 and kind of just making sure that there's good

1 communication there. And then just taking  
2 advantage of every point, whether there's a  
3 (indiscernible) between a public health provider  
4 or a pediatrician or a private practitioner and  
5 making sure at all points that informa -- those  
6 questions are being asked.

7 And again I think mapping would really help  
8 that too. Not only having mapping but they have  
9 to share with pediatric offices and federal  
10 program staff. Thanks.

11 **DR. RUCKART:** Okay, thank you.

12 I'll go to Gredia Huerta-Montañez.

13 **DR. HUERTA-MONTAÑEZ:** Hi. Thank you for  
14 these questions. And many of the things that --  
15 that we wanted to share were somehow mentioned.  
16 But something that we've done in Puerto Rico is,  
17 as I mentioned, we had a -- an ECHO on lead  
18 prevention and management. But then we continued  
19 developing CME activities for pediatricians and  
20 other clinicians caring for children to make sure  
21 that they -- they refresh their skills and  
22 knowledge related to lead.

23 We also -- something that I -- I think it's  
24 really important is outreaching pediatric  
25 residents. So -- so we've done that. But -- but

1 also going even, you know, earlier, we created  
2 these elective rotation for medical students  
3 interested in pediatrics. Pediatric  
4 environmental health with much emphasis on lead  
5 prevention and, you know -- and increasing their  
6 knowledge about lead among other important  
7 environmental health topics.

8 So outreaching medical students, outreaching  
9 pediatric residents, and then physicians in  
10 practice and then also nurses. And, of course,  
11 providing them with incentives as, for example,  
12 CME credits. It's really key.

13 Of course, while, you know, reimbursement  
14 for primary care preventive service in pediatrics  
15 continue being this slow with all the things that  
16 we want and must do on these well-childcare  
17 visits definitely is a barrier for prevention,  
18 including secondary prevention of lead which is  
19 what pediatricians can do in terms of testing.

20 And then -- so this is something that should  
21 always be on the radar in terms of how can we  
22 address those barriers related to reimbursement  
23 for pediatric services because there's -- in  
24 Puerto Rico, nationally, there is a -- you know,  
25 a shortage of pediatricians. That is to worsen



1 definitely within the next five years in Puerto  
2 Rico. 60 percent of our pediatricians are about  
3 the age of 60. So they're going to be retiring  
4 within the next five years. So that's a huge  
5 problem.

6 And then also the -- low-risk perception  
7 about lead among parents definitely was mentioned  
8 and that's -- that's something that we need to  
9 continue addressing.

10 So -- and also the physician's perception  
11 that they cannot really do anything about lead,  
12 so why testing? is something that we've seen. So  
13 educating them about the resources available on  
14 the little things that can be recommended that  
15 can have a huge impact is really important. So  
16 our surveillance system in Puerto Rico has  
17 emphasized the role of the clinician and  
18 supported the role of the clinician in -- in this  
19 process. For example, they conduct a very  
20 comprehensive environmental interview to these  
21 parents and provide the education, knowing that  
22 the clinician doesn't have in ten minutes to go  
23 over all the -- just going over lead is going to  
24 take ten minutes of the well-childcare visit.  
25 So -- so that's something that -- that has really

1           worked for us.

2           And we're using social media a lot. It's  
3           not only once. We're doing in our Puerto Rico  
4           AAP chapter website, you know, our Facebook,  
5           we -- you know, we post messages related to lead  
6           prevention. We sent via What's App to our  
7           clinicians that are part of the group text  
8           messages re -- and messages related to, you know,  
9           testing, why they should test. Very specific  
10          messages, short. And we cre -- we created a  
11          video that we promote among them. So if they  
12          don't have time to educate about lead, just share  
13          this QR code with your parents, ask them to scan  
14          them with their smart phone, and ask them to  
15          watch it so they can understand why lead testing  
16          is important. And sources of lead and everything  
17          that they should know about lead, it -- it's in  
18          that animated video. That video was posted on  
19          the Department of Health website as well.

20          So those little things can -- we're hoping  
21          that are going to continue having an impact. We  
22          do have very close collaborations with WIC, Head  
23          Start, Early Head Start. And we do have an  
24          advisory committee that has, you know, EPA, HUD,  
25          AAP, and PEHSU.

1           So the role of PEHSU has been key, and I  
2 believe that every state should, you know,  
3 emphasize on those collaborations with -- with  
4 PEHSU and supporting PEHSU.

5           So -- but, yeah. So social media, outreach,  
6 and trainees collaborations that we continue  
7 building and strengthening and, again, trying to  
8 see how we can better support clinicians in their  
9 role of -- of preventing lead among their --  
10 their communities. Thank you.

11           **MR. AMMON:** Okay, great, thanks.

12           Kristina Hatlelid, anything you'd like to  
13 add?

14           **DR. HATLELID:** Thanks, Perri. I really  
15 appreciate this discussion. I don't have  
16 anything more to add. So I will -- I will cede  
17 to -- to the other members. Thank you.

18           **DR. RUCKART:** Okay, thank you.

19           Mary Beth Hance, anything you'd like to  
20 share?

21           **MS. HANCE:** Thanks very much. The only -- I  
22 will just lean a little bit on some of the things  
23 that we've already heard and really appreciate  
24 hearing coordination between Medicaid and public  
25 health programs.

1           One thing that I would lean in on there,  
2           which I didn't hear as much about, is data  
3           sharing because that is also important to -- you  
4           know, to know if -- you know, kind of how much --  
5           how successful you are in reaching children and  
6           if there are specific geographic areas where  
7           children aren't being reached.

8           So really encourage you all to think about  
9           data sharing in lots of different directions but  
10          definitely between public health and Medicaid.

11          So thank you very much.

12          **DR. RUCKART:** Okay, thank you.

13          Rebecca Fry. Rebecca, are you on?

14          Okay, we can circle back if she wants to  
15          participate.

16          Brenna Flannery.

17          **DR. FLANNERY:** Hi there. Yeah, so in FDA  
18          foods, we test for lead in food and not  
19          necessarily in people. And so for these  
20          questions, I don't have an answer necessarily  
21          from my agency. But just based on experience and  
22          reading, I have some suggestions.

23          So suggestions for addressing challenges,  
24          one thing that I have heard today is -- is really  
25          communicating through -- through schools and how

1 effective that can be. And so I wonder if  
2 there's a way to partner with the Department of  
3 Education to spread more awareness on lead  
4 exposures and the need to get tested in children  
5 specifically through -- through schools.

6 And I also I just thought that the idea that  
7 Megan Sparks had talked about in Kansas, how  
8 people can get -- how the children can get their  
9 blood lead tested when they have their  
10 back-to-school immunizations. I wonder about  
11 some sort of mobile blood lead testing center.  
12 For example, the dentist has a mobile center that  
13 comes to my daughter's school and she gets her  
14 teeth cleaned at school currently. Could we have  
15 something like that through the health  
16 departments or through some sort -- or through  
17 the states to target specific schools even based  
18 on the risks or people who typically don't have  
19 their -- their children tested to be able to have  
20 the kids tested at school?

21 I had also wondered about partnering. I  
22 mean, we had talked a lot about Medicaid and  
23 Medicare, but I also wonder about part --  
24 partnering with private insurance to incentivize  
25 the lead testing in children. I know the

1 insurance company I belong to will pay me a gift  
2 card if I do preventative care on myself, like  
3 cholesterol testing and blood pressure and  
4 weight, et cetera every year. Could private  
5 insurance incentivize doing blood lead testing in  
6 children for -- for people? That may be one way  
7 to increase testing.

8 And then when I think about specific  
9 populations to focus on for increased testing,  
10 much of what I had come up -- had already come up  
11 with has been talked about. But I -- I did read  
12 in Pediatrics in October that in a population  
13 of -- of children who already have increased  
14 blood lead lead -- blood lead, you know, based on  
15 the CDC reference values, so they were above --  
16 above that value, the follow-up testing rate was  
17 only 66 percent. And so I think that we already  
18 know that those children have elevated blood lead  
19 levels. I think that that's an opportunity to --  
20 to increase testing would be to work with that  
21 population, specifically if their -- if some sort  
22 of case management of those with elevated blood  
23 lead levels.

24 I also know that insurance companies for --  
25 for -- again for people who have private

1 insurance, I'm aware of this, do have certain  
2 programs where they will follow up if a child has  
3 extensive medical needs and they'll provide case  
4 management. Could we look to, you know, Medicaid  
5 or private insurance companies to provide this  
6 type of follow up when a blood lead level is  
7 elevated?

8 And then finally I am going to second,  
9 third, and fourth what everybody else has been  
10 saying about testing -- increase testing in  
11 pregnant(inaudible). That is where the exposure to  
12 children begins, in utero, and so I just want  
13 to -- to second that as well.

14 So those were my ideas and I appreciate the  
15 opportunity to -- to comment.

16 **DR. RUCKART:** Great, thank you.

17 Gary Edwards.

18 **MR. EDWARDS:** Sure. Boy, it -- it's been  
19 great -- it's been great listening to all the  
20 comments and -- and I don't have a lot to add,  
21 but I -- I hear the common thread. Needs to be  
22 better communication, better information sharing.  
23 I'm sort of surprised, I guess, being a layman,  
24 but I -- I always -- I think of my doctors as  
25 always being, you know, knowing almost

1 everything, right? Well, I'm hearing, well, a  
2 lot of them aren't being trained on lead or  
3 should be trained more. And that's -- that's  
4 fine.

5 So I loved all the comments. And sounds to  
6 me like, again, the common thread is  
7 communication and sharing. I am very interested  
8 in the part about pregnant -- pregnant (inaudible)  
9 needing to get reached -- reached too. That's  
10 a -- sort of a primary source. I -- I love  
11 this -- I love this conversation. Appreciate it.  
12 Thank you.

13 **DR. RUCKART:** Okay.

14 Tammy Barnhill-Proctor.

15 **MS. BARNHILL-PROCTOR:** Hi. I just have a  
16 few things to add. As, you know, many of you  
17 know, I'm from the Department of Education. And  
18 I supervise the work of early learning which  
19 focuses on children from birth through age eight.  
20 And we do partner with the Department of Health  
21 and Human Services, their administration for  
22 children and families, as someone mentioned  
23 earlier, with the home visiting and Head Start  
24 and the childcare program. But what I would like  
25 to just encourage for -- for the -- for the group



1 to continue to use the department as a -- for  
2 messaging. We don't have -- as a department, we  
3 don't have the overall authority to push to  
4 states and local districts to -- to actually go  
5 in and do lead screening. But through messaging  
6 and through some of the grant programs that we  
7 put out, I think we could -- we could expand the  
8 knowledge and encourage those school  
9 administrators and families to focus in on some  
10 of the challenges that young children face when  
11 it comes down to blood level, testing of blood  
12 levels in young children because we all know the  
13 impact of those around learning.

14 But I also would just encourage EPA and CDC  
15 when you're out there -- and someone spoke  
16 earlier -- and you're identifying hotspots that  
17 you could potentially pay the department for us  
18 to send a message to some of our grant -- our  
19 grant programs and our state and local agencies  
20 to just bring the awareness that, you know, there  
21 are hotspots for lead exposure.

22 And so just thinking about -- as I sat here  
23 today thinking about all of the -- we have a  
24 couple of community -- full-service community  
25 schools programs and promise neighborhoods where

1 we could get the message and continue to push the  
2 message of the importance of lead testing for  
3 young children. And today sitting here, hearing  
4 about the lead in adults was a good eye-opener  
5 for me. And so continuing to share those  
6 specific messages that you would like to see us  
7 push out to our grantees and some of our state  
8 and local agencies.

9 And so that's what I have for today.

10 **DR. RUCKART:** Okay, thank you.

11 I'm going to circle back to Grace Robiou.  
12 Are you on? Is there anything you'd like to add?

13 Okay, what about Rebecca Fry?

14 Jeff, if you don't mind, I'm going to go to  
15 the liaison members and then circle back to  
16 anyone who's already had a chance to speak.

17 So let's start with Lauren Zajac.

18 **DR. ZAJAC:** Hi. This has been a great  
19 discussion. I don't have much to add to what's  
20 already been said. So many great points and  
21 ideas.

22 I guess the only thing I wanted to highlight  
23 is -- I -- I know I'm looking forward to the  
24 implementation of potential new point-of-care  
25 devices that Paul Allwood mentioned earlier as a

1 result of the Lead Detect Prize. Because really  
2 anything that could be done to decrease barriers  
3 to point-of-care testing, especially making the  
4 machines and tests available and affordable for,  
5 you know, smaller pediatric practices, I'm just  
6 really excited to see where those winners of the  
7 prize take the next steps.

8 And that's all.

9 **DR. RUCKART:** Okay, thanks.

10 Stephanie Yendell, anything you'd like to  
11 add?

12 **DR. YENDALL:** Yeah. Just I will start out by  
13 echoing some comments that were made by others.  
14 You know, a comment that Brian made that  
15 collaborating with Medicaid really should be sort  
16 of a -- a default position in the standard and  
17 then the importance of specifically doing data  
18 sharing with Medicaid agencies is incredibly  
19 helpful in identifying kids that are enrolled in  
20 Medicaid but not otherwise tested or states that  
21 are able to collaborate with programs, like their  
22 vital records, to identify kids that are missing  
23 from their blood lead database.

24 Another piece that -- that was mentioned is  
25 that certainly it's really helpful for --

1 especially for getting kids back in for that  
2 follow-up testing is when there is a clinical  
3 outcome that we can point to the health care  
4 providers. So in the State of Minnesota we have  
5 started doing the in-home lead risk assessment  
6 with a -- a full environmental testing at  
7 5 micrograms per deciliter. I know a number of  
8 other states are doing that as well.

9 And when healthcare providers know that  
10 their patients are going to be receiving a  
11 service if they get that follow-up venous test  
12 done, then they are much more likely to -- to do  
13 that because it does not have a real outcome and  
14 a benefit to their patients.

15 Something else I wanted to mention is, you  
16 know, we sort of alluded to it before and had a  
17 little bit of a discussion around the pros and  
18 cons of doing the capillary testing through a  
19 higher complexity laboratory. That is something  
20 that in our current state, where the  
21 point-of-care devices have been unreliable and  
22 they've been subject to multiple recalls and  
23 there are some trust issues with those  
24 point-of-care devices that are currently on the  
25 market, having the option for healthcare

1 providers to do capillary testing at the higher  
2 complexity laboratories has been really valuable  
3 for us to be able to continue that continuity of  
4 offering capillary testing and not having that be  
5 only a choice between point-of-care testing and  
6 venous testing.

7 A challenge that we are facing is that we  
8 have seen CDC issue cuts of about 10 percent  
9 across the board to CLPPP funding. This  
10 certainly makes it challenging for us to be able  
11 to take on any new quality improvement projects  
12 or look at ways to really do what we're doing  
13 better when we're facing cuts in funding at the  
14 same time that we're seeing massive increases in  
15 our staff and costs.

16 And then when we're looking at audiences,  
17 two audiences that I haven't heard anyone mention  
18 yet, one is kids who might be older than the --  
19 the age one or two where we typically see kids  
20 being exposed to lead from housing but that have  
21 pica. And so kids that have pre-existing  
22 developmental delays that come along with pica  
23 behavior may continue to be exposed to lead in  
24 their environment much longer than the -- the  
25 ages one and two where we think of having a -- a

1 peak in lead exposure and kids that don't have  
2 that behavior.

3 The other group that we haven't specifically  
4 talked about is that we see a lot of kids who are  
5 older, again, than the typical one and two years  
6 old who have familial ties to South Asian  
7 countries. Unfortunately a lot of these kids are  
8 eating spices that were hand carried from a South  
9 Asian country and they're -- so they're having  
10 either exposure in the U.S. or they're traveling  
11 and they're visiting family members. So  
12 especially in the Indian-Bangladesh is where  
13 in -- in my state where we've -- we've seen that,  
14 that they have that larger -- those lead  
15 exposures even though they're, again, older than  
16 the -- the typical age one and age two. You  
17 know, from those dietary exposures.

18 So this is something that I really want to  
19 kind of hold up the work that New York City has  
20 championed of trying to put together registries  
21 so that we can talk much more comprehensively  
22 across the country about these instances where we  
23 are seeing spices in products that were -- you  
24 know, even if they were imported for personal use  
25 by a family from another country, they're not

1 subject to FDA regulations.

2 But I would really like to see, even in  
3 areas that we might not have a U.S. agency that  
4 has authority, we can certainly have influence  
5 and put pressure on other countries to make their  
6 food supply safer as well and then pairing that  
7 with making sure that we're getting those kids  
8 tested if they are in the United States, that we  
9 can identify those sources of lead exposure to be  
10 able to -- to trace back when there -- when there  
11 are food sources from another country.

12 Thank you.

13 **DR. RUCKART:** Okay, great, thanks.

14 Amanda Reddy, are you on?

15 **MS. REDDY:** Yep, I'm here. I want to  
16 amplify and agree with so much of what has been  
17 said about messaging and testing pregnant (inaudible)  
18 and mobilizing point-of-care to meet people where  
19 they are about the, you know, lack of adequate  
20 funding to support the -- the states and others.  
21 So just respond to all of that.

22 I did want to say a little bit more about a  
23 few things I haven't heard as much about. You  
24 know, somebody mentioned the loss of trust in  
25 government and I think that's certainly true

1 across the board. You know, specifically around  
2 this -- this lead issue.

3 I want to also raise up that it's not  
4 helping, but there's a lack of access to data  
5 around lead here. I think there's a -- a growing  
6 lack of trust in the data when we see  
7 disparities, gaps closing in some of the national  
8 data and that's not the experience of communities  
9 and what they're seeing in their neighborhoods.

10 And then also just the lack of access to  
11 data when we have, you know, CDC data that's  
12 currently three years old. Until just a little  
13 bit ago, it was -- it was five years old. And in  
14 many states that's the case too. Where there's  
15 not access to data at a fine enough geographic  
16 level, it's often many, many years old. It -- it  
17 becomes less meaningful and I think there's a  
18 lost opportunity to mobilize community partners  
19 who could be real allies in getting more kids  
20 tested and -- and more people tested in general.

21 So I would urge CDC to work on that data  
22 transparency and access. Issue and leverage not  
23 only your own internal resources for the data  
24 that CDC puts out, but hold your grantees  
25 accountable.



1           But to Stephanie's point, also that means  
2 they need funding, right?

3           Stephanie, your point is not lost on me that  
4 that lack of capacity to put out timely data is  
5 going to be exacerbated by the funding cuts that  
6 states are experiencing. But I think it's  
7 important.

8           A few promising approaches that I haven't  
9 heard mentioned or want to mention again, we  
10 heard earlier today -- I think I mentioned about  
11 the role of requiring lead testing as part of  
12 school documentation. We've had some interns do  
13 some analysis here of CDC data in places with and  
14 without school documentation, showing that that  
15 actually does appear to drive increased --  
16 significant increases in testing rates and so  
17 really exploring opportunities to encourage that  
18 strategy in places where the regulatory  
19 environment is favorable to that.

20           We've also seen some communities  
21 piggybacking on efforts of lead service line  
22 replacement. So, for example, Wausau, Wisconsin  
23 is one that I can call out, where they, as part  
24 of their outreach that they're doing to recruit  
25 homes and -- and rural homes in lead service line

1 replacement efforts, they're getting people  
2 connected to other lead services including  
3 finding out if there are children in the home or  
4 we visit the home and whether or not they've been  
5 tested for lead. So I think there's some  
6 significant opportunities there. And they're not  
7 the only ones who have shown that kind of  
8 partnership.

9 I also just want to raise up, too, that I  
10 hope that we continue to encourage states and --  
11 and localities across the country not to just  
12 guess at why testing is -- is lagging in a lot of  
13 places but to actually engage in communities  
14 meaningfully and really listening deeply so that  
15 we can actually design solutions that are  
16 targeting the real problems.

17 We've seen some communities start in the  
18 healthy housing field to use what's called the  
19 human-centered design or a user-centered design  
20 approach to engage a community around issues like  
21 this. And it can really unlock innovative  
22 solutions that we never would've thought of with  
23 all of the reading and all of the smart -- all of  
24 the brain trust that we have in the room today  
25 and all of our years of experience. So I'd

1 really encourages us to also just continue asking  
2 and not -- not just assuming we know.

3 Thank you.

4 **DR. RUCKART:** Okay, great.

5 Let's see. Patrick Parsons.

6 **DR. PARSONS:** Yeah. I just have a few  
7 comments to add from a laboratory perspective.  
8 Just thinking about those questions about, you  
9 know, the barriers to getting children tested  
10 and, you know, addressing those challenges and  
11 increasing rates of testing.

12 Once you get that child tested, to get that  
13 blood specimen collected, it goes to the lab.  
14 And despite all of the great technology to  
15 measure lead at even lower levels, the quality of  
16 that result is only as good as the quality of the  
17 specimen that's collected.

18 And if we're talking about capillary blood  
19 specimens, then we've got some very, you know,  
20 unique challenges in making sure that that blood  
21 sample is a high quality so that we don't end up  
22 with false positives and wasted time trying to  
23 follow up on things that -- that should have  
24 been -- you know, that shouldn't have happened in  
25 the first place.

1           So I really appreciate Stephanie's comment  
2 about leveraging capillary blood testing with  
3 high-complexity labs because that is a viable  
4 option. And so, you know, how do we ensure that  
5 we get the best quality specimen? Much of the  
6 research that was done on the -- you know, you  
7 know, the, you know, prevalence of false positive  
8 results was conducted in the 1990s when the  
9 level of concern as it was then was lowered to  
10 ten.

11           And I don't think we have very good data on,  
12 you know, what the level of false positives are  
13 at 3.5. And so I think that, you know, there  
14 should be some focus on in-house training of  
15 collecting viable capillary blood specimens  
16 and -- and maybe some research to look at the --  
17 you know, the problem of false positives. And  
18 it's going to be a problem regardless of whether  
19 you're sending it to a high-complexity level or  
20 you're using point-of-care testing device.

21           So we often forget about, you know, the  
22 quality of that specimen. If it's contaminated,  
23 there's not a whole lot that I can do about it.  
24 They're going to report a biased result.

25           But thank you to Stephanie for, you know,

1 mentioning the -- the option of using capillary  
2 blood lead testing with high-complexity labs.  
3 And that's it for me. Thanks.

4 **DR. RUCKART:** Okay. Ruth Ann Norton. Ruth  
5 Ann, are you trying to speak?

6 Okay, I'll go to Abe Kulungara.

7 **MR. KULUNGARA:** Hi. Yeah, so, you know, at  
8 the outset I did mention, you know, work with CDC  
9 and MCHH to help support different jurisdictions,  
10 both, you know, I mean, state jurisdictions and  
11 territorial jurisdictions, specifically health  
12 agencies. So I'm going to summarize what we've  
13 been hearing from -- from these agencies.

14 So in terms of attributing it to a specific  
15 agency, but we've heard things like the need to  
16 publish the dashboards, including testing numbers  
17 by -- at the county level, again not available in  
18 some states; increasing testing through outreach  
19 efforts whether it's Head Start, WIC, or local  
20 public health. And for the states that have  
21 tribal communities, the need to engage tribal  
22 communities. And some -- some state health  
23 agencies have robust tribal liaison offices, so  
24 working with those offices to have better  
25 outreach with tribal communities.

1           Then finally partnership with refugee health  
2           is something, you know, folks would also talk  
3           about.

4           So that's it from ASTHO's end.

5           **DR. RUCKART:** Okay, great.

6           Is there anybody else who would like to add  
7           anything? We have just a few minutes.

8           Yes, Jeff.

9           **MR. SANCHEZ:** Perri, thanks.

10          Yeah, actually just realized that I didn't  
11          mention a couple things. One is in thinking  
12          about the last bullet question about what  
13          communities to -- that we could focus on. I  
14          think -- what I was going to say about  
15          historically with schools, I think because the  
16          (a) the population has been a little bit older.  
17          I think that as nationally we have moved to a lot  
18          of school districts having pre-K programs, I  
19          think really kind of being able to focus -- if we  
20          wanted to prioritize working with -- with schools  
21          especially public schools that -- I think that  
22          pre-K -- those that have moved to -- to  
23          incorporate pre-K, I think is a really great  
24          opportunity to -- to restart those conversations.

25          And then last is -- because I mentioned it

1 earlier is maybe -- again our housing departments  
2 are citing for -- for, you know, potentially  
3 disturbing lead-based paint as part of a repair.  
4 That could be done through data sharing and  
5 referrals to get those kids tested for lead.

6 So I -- I again want to reiterate the  
7 importance. I think it's another community --  
8 another group population that I think we could  
9 prioritize as working with our housing  
10 departments where -- where they are citing  
11 through complaint-based or proactive code  
12 enforcement.

13 That's it.

14 **DR. RUCKART:** Okay. Well, we are right at  
15 time. So I will turn it back to Matt.

16 **WRAP UP AND DISCUSS TOPICS FOR NEXT MEETING**

17 **MR. AMMON:** Thank you, all, very much for  
18 really great conversation. And, you know, as I  
19 wrap-up, I -- I think I failed to say to the new  
20 team members -- and I apologize for that, so  
21 welcome new LEPAC members. It's a family, and we  
22 appreciate conversations we've had today.

23 And just to -- kind of thinking, kind of go  
24 back over what we talked about, you know, first,  
25 we started out with really just a great

1 presentation from the New Hampshire crew. And  
2 really that serves as a model for the rest of the  
3 country. And it's -- we're always looking for  
4 really good ways to raise up something that works  
5 locally and raising that up and trying to move  
6 that forward to be a catalyst for change in other  
7 communities in terms of -- of models of practice.  
8 So appreciate the info from Gail and Nicole.

9 And then we heard from Tom Neltner who  
10 talked about a couple things. One, he talked  
11 about lead in water. He also talked about the  
12 Lead Exposure Risk Index. He did mention about  
13 the adult exposure -- lead exposure and the PLEA  
14 recommendations. He also mentioned universal  
15 testing. Couple other things, but also mentioned  
16 about the MOU with HUD, CDC, and EPA.

17 And then we heard a lot from the LEPAC  
18 members on really an incredible list of things  
19 which we've all done or are working or looking  
20 forward to work on in the next months, the next  
21 fiscal year -- or this current fiscal year. And  
22 it really does show the -- the broad expansion of  
23 all of our collective work in that we are working  
24 on. And really again, I mean, it just highlights  
25 the fact that we all may be working in different



1 disciplines, but we all have the same common  
2 outcomes.

3 And so -- so with that, it's good for us to  
4 talk and understand and listen to what other  
5 sectors are working on and doing and appreciate  
6 the great work that is being done around the  
7 country.

8 And then, of course, we heard from the PLEA  
9 workgroup where we heard and talked a lot and  
10 offered recommendations into the draft report and  
11 then voted on to approve the draft report. And  
12 so that'll move forward.

13 And then this discussion which was, you  
14 know, really eye-opening. I think that when I  
15 was thinking of the -- the discussion earlier on  
16 and thinking how this would -- would cascade into  
17 it, I think there's just a lot for us to talk  
18 about and learn from and really challenge  
19 ourselves in terms of what we need to continue to  
20 do to -- to continue this work.

21 And we heard, I mean, so many  
22 recommendations I stopped writing. I mean,  
23 obviously, we had talked about communication and  
24 info-sharing and outreach that's tailored, you  
25 know, to a more specific population which I think

1 we all need to understand and learn from, you  
2 know, focusing on still looking to test Medicaid  
3 and little children.

4 Building trust was a big theme coming out of  
5 COVID. So that was a really big theme.

6 Collaboration with WIC is important, extending  
7 out our partnerships and maybe modeling new  
8 partnerships that we haven't thought of before  
9 which is really to extend this work, which is  
10 always helpful to think outside the box.  
11 Outreach to doctors, I think, is always in this,  
12 building code work which is -- which is something  
13 which is pretty unique.

14 I think there are certain areas which have  
15 really good housing code and housing court which  
16 can be an important avenue into not only doing  
17 work but also promoting testing and education  
18 through schools which, you know, I had mentioned  
19 early on when I started, about -- about meeting  
20 parents where they are. And when we go out into  
21 communities in doing our work with HUD, we have  
22 these build projects. We always do testing of  
23 kids and always around, again, childhood  
24 immunizations in schools.

25 And it's kind of funny how we -- we were --

1 we're partnering with an organization to do it  
2 and then we get like a backpack, but they didn't  
3 know what -- how to get the backpack. Like, they  
4 didn't know what they would have to do to get the  
5 backpack. Blood lead testing. So it's kind of  
6 funny. Funny for -- not funny for the kids, the  
7 kids were screaming, but it was another way you  
8 kind of integrate kind of existing infrastructure  
9 and including this work as part of that.

10 And, you know, about mobile testing.  
11 Somebody had mentioned that. And years ago,  
12 years and years ago, probably almost 30 years ago  
13 now, I was in charge of doing all the enforcement  
14 for HUD, lead enforcement. And as part of our  
15 settlement agreements with -- with property  
16 owners, these large multifamily owners, was  
17 helping fund some of the screening and outreach.  
18 So we had -- we had kind of collected this monies  
19 together and for a city, Minneapolis, they  
20 purchased a -- a mobile blood screening lab.  
21 It's called Leady Eddy. If you've ever seen the  
22 van, that -- that came from our enforcement  
23 efforts.

24 But again that's meeting people where they  
25 are, and I think that's kind of an important

1 theme really is -- is how do we continue to be  
2 flexible in what were doing on a regular basis?  
3 and how we continue to meet the needs that exist  
4 in the population still around exposure to lead  
5 and being able to stay on top of it, both in  
6 terms of being able to provide testing and then  
7 the appropriate follow up of the clinical  
8 management side and then again partnering with  
9 organizations that have our funding to them to  
10 complete the cycle, if you will, in terms of  
11 housing intervention.

12 So I really appreciate the -- a lot of the  
13 communication and the talk and the engagement  
14 that you all presented today. I look forward to  
15 the next meeting. I think internally we'll kind  
16 of talk about next topics of -- of what we will  
17 talk about, but I appreciate everyone's time  
18 today and discussion today.

19 And I do want to make sure if I didn't miss  
20 anything. Perri or Paul, did I miss anything  
21 before officially closing out the meeting?

22 **DR. ALLWOOD:** No, Matt. I think you covered  
23 all. I was just coming on to say -- you know,  
24 just also say a quick thank you to all of the  
25 members, you know, and -- and the others who

1 participated in this meeting. You know, this is  
2 a -- this is a success because of all of your  
3 efforts. And as Matt said, I really appreciate  
4 the -- the good ideas. You know, people are very  
5 generous in sharing their thoughts and their  
6 experiences and, you know, all of that is going  
7 to be, you know, taken into -- into full  
8 consideration as we decide, you know, how and  
9 where we might be able to incorporate some of  
10 those ideas as far as the CDC's program is  
11 concerned.

12 So thanks again, everybody, and we look  
13 forward to seeing all of you again at our next  
14 meeting.

15 **MR. AMMON:** And with that, I will close it  
16 out. Thank you, all, very much. This concludes  
17 this LEPAC meeting for December of 2024. Thank  
18 you, all, very much. Take care.

19 (Adjourned at 5:09 p.m.)  
20  
21  
22  
23  
24  
25

CERTIFICATE

STATE OF GEORGIA )

I, Mary K. McMahan, Certified Court Reporter in and for the State of Georgia at large, certify that the foregoing pages, 6 through 253, constitute, to the best of my ability, a complete and accurate transcription of the meeting and were accurately reported and transcribed by me or under my direction.

This certification is expressly denied upon the disassembly and/or photocopying of the foregoing transcript, or any portion thereof, unless such disassembly/photocopying is done by the undersigned.

WITNESS my electronic signature, this, the 15th of January, 2025.

\*\*Mary K McMahan\*\*

Mary K. McMahan, CCR  
Certificate No. 2757

Steven Ray Green Court Reporting, LLC  
(404) 733-6070