

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL AND PREVENTION

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH
ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON MOUND

+ + + + +

MEETING

+ + + + +

WEDNESDAY, MAY 27, 2009

+ + + + +

The Work Group convened at
9:30 a.m., in the Zurich Room of the
Cincinnati Airport Marriott Hotel, Josie M.
Beach, Work Group Chair, presiding.

MEMBERS PRESENT:

- JOSIE M. BEACH, Chair
- BRADLEY P. CLAWSON
- PHILLIP M. SCHOFIELD
- PAUL L. ZIEMER *

MEMBERS ABSENT:

- ROBERT W. PRESLEY

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

NANCY ADAMS, NIOSH Contractor
TERRY BARRIE*, ANWAG
BOB BISTLINE, SC&A
ELIZABETH BRACKETT*, ORAU Team
RON BUCHANAN, SC&A
MELTON CHEW, ORAU Team
LARRY ELLIOTT, NIOSH
JOE FITZGERALD, SC&A
EMILY HOWELL, HHS
KARIN JESSEN, ORAU Team
MATT KAPLAN*, Senator Brown's Office
THEODORE M. KATZ, Designated Federal Official
TOM LaBONE*, ORAU Team
GREG LEWIS, DOE
JOYCE LIPSZTEIN*, SC&A
JOHN MAURO*, SC&A
JAMES NETON, NIOSH
GENE POTTER, ORAU Team
KATHY ROBERTSON-DeMERS, SC&A
MUTTY SHARFI, ORAU Team
DON STEWART, ORAU Team
BRANT ULSH, NIOSH

*present via teleconference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

Welcome.....	4
Adequacy of Internal Dose Records Issue No. 11 SC&A.....	11
Integrity/Completeness of Internal Dose Records Issue Nos. 12 and 13 SC&A.....	92
Adequacy and Completeness of External Dose Records Issue Nos. 18 and 19 SC&A.....	172
Stable Tritium Compounds Issue No. 6 SC&A.....	192
Price-Anderson Related Bioassay Issue No. 21 SC&A.....	275
Shallow Dose Issue No. 16 SC&A.....	288
Environmental Issue No. 20 SC&A.....	297
Adjourn	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 9:33 a.m.

3 MR. KATZ: Well, good morning
4 everyone in the room and on the line. This is
5 Ted Katz. I am the Acting Designated Federal
6 Official for the Advisory Board on Radiation
7 Worker Health. And this is the Mound Working
8 Group and we are convening.

9 And the first order of business is
10 to run roll call. And we will start with
11 Board Members in the room. And please,
12 everybody, with roll call also indicate
13 whether you have a conflict or not. And let
14 me just note that one member, Bob Presley, is
15 not going to be in attendance for most of this
16 meeting, although he said he would try to call
17 in. And Dr. Ziemer who is the alternate for
18 this Working Group, I believe, is coming but
19 he is on the road. He may be on by line. So,
20 let's begin in the room with the Board
21 Members.

22 CHAIR BEACH: Okay, I am Josie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Beach. I am the Working Group Chair and I
2 have no conflicts.

3 MEMBER CLAWSON: Brad Clawson,
4 Advisory Board Member. No conflict.

5 MEMBER SCHOFIELD: Philip
6 Schofield, Advisory Board Member. No
7 conflict.

8 MR. KATZ: And on the line, Paul,
9 are you with us by phone?

10 (No audible response.)

11 Okay, so let's carry on with roll
12 call in the room, NIOSH ORAU team.

13 DR. NETON: Jim Neton, OCAS. No
14 conflict with Mound.

15 DR. ULSH: This is Brant Ulsh with
16 OCAS and I have no conflict with Mound.

17 MR. SHARFI: Mutty Sharfi, ORAU
18 team, unconflicted.

19 MR. STEWART: Don Stewart, ORAU
20 team. I am not conflicted with Mound.

21 MR. CHEW: Mel Chew, ORAU team, I
22 am not conflicted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: And on the line, NIOSH
2 ORAU team?

3 MS. BRACKETT: This is Elizabeth
4 Brackett with the ORAU team and I do have a
5 conflict with Mound.

6 MR. KATZ: That is Elizabeth
7 Brackett. She says she has a conflict.

8 Anyone else NIOSH ORAU team?

9 MR. LaBONE: This is Tom LaBone and
10 I have a conflict with Mound.

11 MR. KATZ: Tom LaBone, also
12 conflicted.

13 Okay, in the room then, SC&A.

14 MR. FITZGERALD: Joe Fitzgerald. I
15 don't have a conflict.

16 DR. BISTLINE: Bob Bistline. I
17 don't have a conflict.

18 MR. BUCHANAN: Ron Buchanan. I do
19 not have a conflict.

20 MS. ROBERTSON-DeMERS: Kathy
21 Robertson-DeMers, conflicted.

22 MR. KATZ: Okay, then on the line

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for SC&A?

2 DR. MAURO: John Mauro, SC&A. No
3 conflict.

4 MR. KATZ: Welcome, John.

5 DR. LIPSZTEIN: Joyce Lipsztein,
6 SC&A. No conflict.

7 MR. KATZ: Okay, then in the room -
8 - well, we don't -- oh. Federal officials or
9 contract staff in the room.

10 MS. HOWELL: Emily Howell, HHS. No
11 conflict.

12 MR. KATZ: And on the line, any
13 federal officials, federal contract staff.

14 MS. ADAMS: Nancy Adams, NIOSH
15 contractor. No conflict.

16 MR. KATZ: That's Nancy Adams.

17 Okay, and then members of the
18 public in the room.

19 (No response.)

20 MR. KATZ: Okay and on the line,
21 any members of the public who want to self-
22 identify or any staff for congressional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 offices?

2 MS. BARRIE: This is Terrie Barrie
3 with ANWAG.

4 MR. KATZ: Welcome, Terrie.

5 MS. BARRIE: Good morning.

6 MEMBER ZIEMER: Ted, it is Paul
7 Ziemer. I'm on the line now.

8 MR. KATZ: Oh, glad to have you.
9 Welcome, Paul.

10 MR. KAPLAN: This is Matt Kaplan
11 from Senator Brown's office, listening in on
12 the call.

13 MR. KATZ: Welcome, Matt. Senator
14 Brown's office.

15 And, Paul, you didn't note a
16 conflict.

17 MEMBER ZIEMER: No conflict.

18 MR. KATZ: Thank you. Okay, I
19 think that does it for roll call. Then just
20 let me just remind everyone who is on the
21 line, if you are on the line, to please when
22 you are not speaking, mute your phone. And if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you don't have a mute button, use *6. And
2 please disconnect; don't use your hold button.

3 Just disconnect. And we just got someone's
4 something. A TV show.

5 Someone on the line, I think you
6 may have hit mute. Please don't do that again
7 because -- or don't hit hold, sorry, because
8 it disrupts the call. Thank you. It's all
9 yours.

10 CHAIR BEACH: Okay, thank you, Ted.
11 First of all, I want to say good morning and
12 welcome to the Mound's fourth Working Group
13 meeting. And I would like to thank NIOSH ORAU
14 Team, SC&A, and all the Work Group members for
15 all of the work that has gone into preparing
16 for this two-day meeting.

17 A couple of agenda item changes or
18 additions, actually, that I need to make note
19 of. Today, if you would add, I think most of
20 you in the room have it, at the end of the day
21 after Price-Anderson related bioassay, Issue
22 21, we are also going to discuss, led by SC&A,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Shallow Dose, Issue Number 16, and
2 Environmental Issue Number 20, also led by
3 SC&A.

4 MR. FITZGERALD: Yes, that is
5 already on there at the end.

6 CHAIR BEACH: On yours but the ones
7 on line did not have those added.

8 MR. FITZGERALD: Oh, okay.

9 CHAIR BEACH: So that is for the
10 folks on the phone.

11 And at this time, we are going to
12 go ahead and start in with Bob Bistline, I
13 believe you are leading.

14 MR. FITZGERALD: Well, let me give
15 a little preface.

16 CHAIR BEACH: Okay, please do.

17 MR. FITZGERALD: This is Joe
18 Fitzgerald and just a little history on this
19 one.

20 You know, we look at the
21 reliability of records, in terms of integrity,
22 completeness and adequacy on all of the SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reviews as part of the Board's procedures.
2 And of course, the reliability of records are
3 fundamental to dose reconstruction and
4 certainly key to the SEC review.

5 This one, we looked at adequacy and
6 completeness. And they were really one white
7 paper for a long time then we broke it up. So
8 in essence, the first item, which is issue 11,
9 which deals with adequacy is sort of the first
10 part of the question of reliability of
11 records. And the second part we have down as
12 issues 12 and 13. So really this morning, we
13 have reliability in two parts but they are
14 really part of the overall same issue.

15 And as far as a little bit of
16 background since we have been going back and
17 forth on this issue for almost, well, a bit
18 over a year in fact, the evaluation report
19 claims that the available monitoring records,
20 process descriptions and source-term data are
21 sufficient to support dose reconstruction,
22 sufficient accuracy, except for the '49 to '59

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period, which was acknowledged as an SEC
2 period.

3 We reviewed the ER and, in support
4 of the Work Group, responded that we had a
5 couple of issues with that statement, that
6 conclusion. One was that the historic methods
7 we felt were unclear, again, based on the
8 evaluation report. And all of this goes back
9 to the evaluation report and the site profile
10 before that the historic methods were unclear
11 in some cases. Not all cases, some cases.

12 Secondly, that the effectiveness of
13 early bioassay methods, particularly the gross
14 alpha technique, which we have discussed
15 already in the past; we still had questions or
16 felt that, based on what we have reviewed that
17 it was still, in our mind, not clear how that
18 is going to support dose reconstruction.

19 And with all of that in the white
20 paper that we generated last year, we laid out
21 again some examples, illustrative examples of
22 what we were trying to convey on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The NIOSH response, and again, this
2 has been going back and forth, the NIOSH
3 response, I think, disagreed with that
4 position -- overall position and provided
5 certainly, I thought, some different
6 perspectives on the specific examples that we
7 offered. That, you know, they are in fact,
8 where we had problems or questions or
9 concerns, I think the response was they can be
10 managed in some cases, if the issues were ones
11 that were faced routinely in dose
12 reconstruction, there's ways to, in fact,
13 address these issues and work around them. In
14 other cases, I think the NIOSH response was a
15 request for more clarity from SC&A.

16 And I think on that basis the Work
17 Group, last year assigned SC&A the task of
18 going back and elaborating in more detail
19 where this concern stemmed from and providing
20 some more-detailed basis. And this is the
21 genesis of the white papers. There are two
22 white papers, one for Issue 11 and one for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Issues 12 and 13. So, the first dealing with
2 data adequacy which we are addressing right
3 now and the other dealing with the
4 completeness issue.

5 And I am going to let Bob get into
6 the findings on that first white paper, which
7 deals with data adequacy, but that is kind of
8 the background on that.

9 DR. BISTLINE: Okay, I just don't
10 want to go ahead and address these issues and
11 then after I address the main issues -- and
12 they are pretty well covered in the executive
13 summary of this paper on adequacy. Then, we
14 can have some response from NIOSH and some
15 interaction on some of these.

16 But the first point coming up is
17 the fact that many -- there was a wide variety
18 of radionuclides, including alpha-, beta-, and
19 gamma-emitters. And the primary radionuclides
20 of concern by building and room have been
21 outlined in the King Report, Mound Site
22 Radionuclides by Location.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And at times, Mound conducted
2 operations where there were potentials for
3 exposure to radionuclides outside the scope of
4 Mound's bioassay capabilities and in some
5 cases, bioassay techniques were available.
6 However, the bioassay samples were not
7 collected.

8 And examples for periods of time
9 when Mound did not routinely analyze for other
10 radionuclides -- and this the issue that we
11 have tried to point out -- are demonstrated by
12 gaps in the bioassay for radionuclides such as
13 actinium, the americium-241, curium-244,
14 protactinium-231, and uranium and thorium.

15 In response to these, the absence
16 of radionuclide-specific data, the NIOSH
17 proposes to use gross alpha and gross beta
18 results. And since the radiochemistry was
19 conducted on samples prior to gross alpha and
20 beta counting, it is important to validate the
21 ER's assumption that the chemical recovery is
22 equivalent for all alpha emitters in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generic gross alpha procedure. And we feel,
2 SC&A feels, that it is important that NIOSH
3 demonstrate the procedure for assigning
4 internal dose to employees who were exposed to
5 multiple alpha emitters during that time
6 period when the gross alpha analysis was
7 implemented at Mound because there were
8 multiple alpha-emitters and it points out the
9 fact that it is not clear how dose
10 reconstruction for specific isotopes of alpha-
11 emitters is going to take place.

12 In addition, it should be
13 demonstrated how internal exposures will be
14 reconstructed for gamma- and beta-emitters, in
15 the absence of the gross alpha and beta.

16 So, this, the issue of gross alpha
17 is an issue that we have some real heartburn
18 over.

19 DR. NETON: Bob, excuse me, what
20 time period is that for the gross alpha?

21 DR. BISTLINE: The gross alpha,
22 these were during the earlier part of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analysis.

2 DR. NETON: I mean, '59 to -- I
3 mean is it a short period of time we are
4 talking about or is it a long period?

5 MS. ROBERTSON-DeMERS: The gross
6 alpha years were from 1956 to, I believe,
7 through 1980, when they started doing alpha
8 spectroscopy.

9 DR. NETON: And I guess that is
10 helpful. Thank you.

11 DR. BISTLINE: So that goes outside
12 the bounds of the SEC period, time period.

13 Another issue that is the issue on
14 polonium, it is SC&A's judgment that the
15 uncertainties of polonium, urine excretion
16 results, were not adequately resolved. The
17 accuracy of measurements depends on the
18 efficiency of the plating method, which is in
19 turn a function of the activity excreted. And
20 the time after the intake, the mode of intake,
21 and there is differences. The metabolism that
22 is sited as a response goes back to the baboon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies. And in conclusion, polonium
2 calculated using results from urinary
3 excretion and correction factors suggested the
4 ORAU's Technical Basis Document 16 probably do
5 not present the doses really incurred by their
6 workers from polonium exposures until 1964,
7 Millard 2004.

8 The uncertainties on the plutonium
9 excretion results were not really resolved.
10 The dependency of the efficiency of the
11 plating and so on and the metabolism are not
12 sufficiently accurate to estimate the workers'
13 body burdens and to calculate organ doses,
14 based on the baboon metabolism and the
15 differences between the metabolism between the
16 baboons and humans.

17 The next issue that is sited in
18 this white paper is the issue of thorium which
19 is a pretty large issue. And it gets into the
20 method for thorium monitoring. The preferred
21 method for thorium monitoring is really,
22 because of the problems with bioassay urine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sampling, is usually using a combination of
2 personal air sampling, PAS, and fecal analysis
3 and monitoring, which were not routinely
4 implemented at Mound.

5 And solubility studies from samples
6 collected in the R building indicate that the
7 thorium-228, thorium-232, and a fraction of
8 thorium-230 compounds behaved as a class YF
9 form, a very insoluble form, making detection
10 in urine more difficult. So, this would put
11 more emphasis on the issue of doing fecal and
12 air sampling, in order to get decent results.

13 So, dependency on urine bioassay
14 for thorium is really questionable because of
15 the solubility issue for some of the forms
16 that were used at Mound. And regardless of
17 whether thorium or gross alpha bioassay is
18 utilized for dose reconstruction, NIOSH has
19 not evaluated the effectiveness of
20 radiochemical techniques, thorium-specific or
21 gross alpha, to isolate thorium in urine.

22 An evaluation of the radiochemistry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is necessary to verify the thorium. It could
2 be effectively measured by the techniques
3 employed.

4 And the ER evaluation report does
5 not clearly state how NIOSH determined the
6 employees with the greatest potential for
7 exposure were monitored. In other words, how
8 are these workers that may have had potential
9 exposure to thorium, including support
10 workers, identified. And so we have some
11 concern as far as identification of workers
12 because NIOSH says that employees with
13 greatest potential for internal uptake were
14 monitored but the question is, how that is
15 being determined and how support workers fit
16 into that equation.

17 Similar to the difficulties in
18 interpretation of radium-226, and actinium-227
19 and thorium-228, there are similar issues
20 associated with the data interpretation of
21 thorium data prior to the implementation of
22 the thorium procedures. And MJW does not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indicate in the pre-1989 reports that the
2 data-interpretation issues diminished after
3 February of 1959, the end of the SEC. In
4 fact, the following comments were made related
5 to that. And there are four different
6 specific comments that are brought out in the
7 paper here.

8 In many cases, there were results
9 for an element such as radium or thorium but
10 it was unclear which isotope was intended.
11 And there was no information on the age,
12 solubility or chemical form of the element.
13 And certainly one of the most fundamental
14 problems faced by the assessors assigned to
15 the other radionuclides was to determine if
16 there was even sufficient data upon which to
17 estimate whether the individual would require
18 a Phase II assessment. And in some cases, the
19 sample is identified by a code number. No
20 person is directly associated with it in the
21 log book. And a second log book must be
22 consulted for the cross-reference between the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 person and code number.

2 We found that there is data that is
3 located in various locations, and this will
4 come up in the completeness report later,
5 where one has to go to a number of different
6 locations and databases in order to be able to
7 trace this down and we are not sure that that
8 is being done by the dose reconstruction of
9 individuals, that they are capturing all of
10 the data that is out there. And regardless of
11 the availability of a thorium bioassay
12 procedure, bioassay-specific for thorium at
13 Mound is limited.

14 A total of 84 sample results were
15 located by SC&A for thorium-232 for the period
16 1960 to 1967 from the database of the
17 excretion data of other radionuclides and the
18 database of radium, actinium, thorium
19 excretion data as well as log books and
20 bioassay reports. There were no sample
21 results during this time period for thorium-
22 230. In addition, five samples were located

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the 1970s and NIOSH should produce the
2 thorium samples for the periods 1960 through
3 '67, 1972, 1978, and 1979. In contrast, there
4 were 238 thorium-232 bioassay results and 180
5 thorium-230 bioassay results available for the
6 SEC period, based on the MJW, other
7 radionuclide files.

8 So in summary, the limited amount
9 of data and the shortcomings associated with
10 data interpretation remain for thorium beyond
11 the established SEC period ending in February
12 of 1959.

13 Although urinalysis data exists for
14 thorium prior to 1970 procedures on how these
15 samples were analyzed and interpreted are not
16 available. The data infers that at least a
17 portion of the thorium analysis was analyzed
18 by the radium extraction and differential
19 accounting method to measure the radium
20 daughters of the thorium. And if this is the
21 case, then it is noted by MJW. There are a
22 lot of questionable assumptions to be made in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using an excreted daughter to estimate the
2 intake of the parent.

3 Use of radium daughter analysis for
4 thorium isotopes is suspect due to the
5 questionable assumption that the daughters are
6 in equilibrium with their parents. And the
7 lack of bioassay procedure information can
8 make the derivation of the minimum detectable
9 activity or minimum detectable concentrations
10 which form the basis of the NIOSH-proposed
11 method of assigning missed dose difficult, at
12 least.

13 SC&A recommends that the procedures
14 implemented for thorium analysis be
15 investigated further. NIOSH should
16 demonstrate the feasibility of conducting
17 thorium dose reconstruction prior to 1970, the
18 earliest date when a specific procedure can be
19 located for thorium analysis. Furthermore,
20 NIOSH should demonstrate the supplemental data
21 such as process information and air sampling
22 data are available for all years and areas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where thorium was handled.

2 So, that kind of summarizes the
3 issues with regard to thorium. As far as some
4 other radionuclides, as a result of the
5 inability to isolate radionuclides such as Pa-
6 231, actinium-227, and thorium-228 directly
7 with early bioassay methods, radionuclide
8 progeny, or daughters, were used as a
9 surrogate for the parent. And where daughters
10 are used to derive parent radionuclide
11 activities, knowledge of the ratio of the
12 daughters to parents in source terms must be
13 known and the differential effects of
14 biokinetics between the parent and the
15 daughter radionuclides must be considered.

16 Because of the difference in
17 solubilities and the metabolic differences in
18 biokinetics, data can be obtained from process
19 information. However, the age of the material
20 is generally unknown in equilibrium between
21 parent and daughter. It depends on the age of
22 the material, and equilibrium may or may not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exist, which complicates trying to use a
2 surrogate or a progeny as a means of
3 calculating dose for those individuals.

4 Given the uncertainties of the
5 early bioassay techniques for these isotopes,
6 the limited amount of bioassay data available
7 are for these radionuclides. The feasibility
8 of performing dose reconstruction prior to
9 development of such methods for parent
10 radionuclide isolation is questionable, in our
11 minds.

12 There is also an issue of, a
13 question of the chronic or multiple intakes of
14 the radionuclides that may confound the
15 problems associated with determination of
16 retention and excretion rates. And these
17 issues introduce large uncertainties in the
18 determination of intakes of the Pa-231 and
19 actinium and thorium-228.

20 Going on to the next issue that is
21 brought up in the paper is the issue of
22 tritium and the question of tritium at Mound

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 did not exclusively handle tritiated water and
2 gas but was involved in research and
3 development activities for other tritium
4 compounds. And it is a very well-known that
5 there were a large number of tritium compounds
6 handled at Mound. We have been able to trace
7 down at least, well, I have got one table of
8 35 different compounds and the number
9 actually, it turns out, is probably more like
10 about 50 compounds of tritium. Many of these
11 are stable metal tritides and the ER did not
12 provide a discussion on how tritide exposures
13 will be identified and assessed, although this
14 issue is partially addressed in the OTIB-0066
15 calculation of dose for special tritide
16 compounds that ORAU published in 2007, for
17 which SC&A provided a detailed review in 2008.

18 Pre-1982 data is available only in
19 terms of dose in the MESH database and the
20 individual exposure files.

21 In the absence of these data, the
22 -- oh, excuse me, I mentioned that. How is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH going to apply OTIB-0066 model and how
2 can they identify the workers exposed and to
3 which of the many different compounds that
4 were present? These are questions which we
5 have in mind and there is a session later on
6 talking about the tritides where we will get
7 into a little more discussion of this.

8 Another issue that is brought out
9 is the bioassay collection and timely
10 analysis, the failure to collect and analyze
11 bioassay samples in a timely basis leads to
12 questions about the validity of the sample
13 results. There has been questionable
14 implementation and coverage of the bioassay
15 program at Mound and detailed characterization
16 of areas and appropriate guidance assessing
17 bioassay requirements are not effectively
18 implemented until the site transition to D&D
19 mission. So, this was not until much later in
20 the years at Mound.

21 The absence of inadequate or
22 infrequent participation in the bioassay

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 program can lead to an internal exposure which
2 may not be bounded by assigning a chronic
3 exposure based on the reporting level or
4 bioassay samples were not collected within
5 several effective half-lives of fast-clearing
6 radionuclides. Because of the sampling that
7 was done, there were times when, probably, the
8 time between the samples actually ran for
9 short-lived isotopes through several half-
10 lives. It would have been through several
11 physical half-lives of those radioisotopes.
12 And so there are some issues that we have with
13 trying to do the dose calculation based on the
14 fact of sampling frequency.

15 Although NIOSH has developed a co-
16 worker model for plutonium and polonium, the
17 issue with absence of or inadequate monitoring
18 extend primarily to other radionuclides.
19 NIOSH should demonstrate the existing dose
20 reconstruction models and bound situations
21 outlined in the Price-Anderson reports,
22 keeping in mind that the details of potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure will not be readily available prior
2 to consistent implementation of the radiation
3 worker permitting process which didn't come in
4 until 1989.

5 So, this kind of summarizes the
6 issues that SC&A is concerned with in terms of
7 the adequacy of data.

8 Joe, do you have --

9 MR. FITZGERALD: No, the only thing
10 I would say is that you know, the white paper,
11 I think has been in NIOSH's hands for probably
12 three or four weeks. So, it is not a long
13 time for the details that were provided. But
14 I think that is a pretty good outline of what
15 we had originally raised last year in a little
16 more detail, more examples and faces.

17 CHAIR BEACH: NIOSH, would you like
18 to weigh in?

19 DR. ULSH: Oh, yes. Well, as Joe
20 mentioned, the latest SC&A paper has been in
21 our hands for about three weeks or so and we
22 will be preparing a detailed response to it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Obviously, that couldn't be done for this
2 meeting.

3 But there are a number of issues
4 that Bob raised and I am not sure that we can
5 go into a detailed discussion of all of them.

6 But I know that there are a number of people
7 on the ORAU team who want to jump in here and
8 address some of the specific issues, including
9 Don Stewart, Liz Brackett on the phone, and
10 perhaps Mutty Sharfi, who is also in the room
11 here.

12 Just for a few of them, in General
13 the quotes that you cited from MJW, I think
14 Liz, would you like to talk about those?

15 MS. BRACKETT: I guess there so
16 many issues. Can we pick one?

17 (Laughter.)

18 MS. BRACKETT: Because I wasn't
19 taking notes because I thought maybe we would
20 go through and address one at a time. And so
21 I am kind of overwhelmed.

22 DR. ULSH: Okay. Well how about,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 let's talk about the radium/actinium/thorium
2 method and the difficulties that MJW quoted or
3 the quotes that Bob gave from MJW on that.

4 We agreed that there were issues
5 with the radium/actinium/thorium method and
6 that was the basis for the SEC period up to
7 1959. We understand that, and that is already
8 a given. It was surprising to me that you
9 talked about a difficulty with actinium in
10 years after that. We have also talked about
11 that issue in the past.

12 Oh, and the other quote that you
13 gave was that MJW did not say that that
14 problem interpreting those results diminished
15 after 1959. Well that is correct; we never
16 said that they did. The issue with the
17 radium/actinium/thorium program was that it
18 was completed and D&Ded in 1959. So it is not
19 that all of a sudden we can interpret the
20 bioassay results, but rather the program to
21 which those results related was finished and
22 done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, we have talked about in the
2 past that there was a small operation to
3 extract some of the actinium that was done
4 inside a hot cell. We talked about that.
5 There was a spill inside the hot cell but it
6 was completely contained. So it is not that
7 we suddenly became confident in the bioassay
8 method but rather that program was done and
9 over. And it is not like actinium processing
10 continued after that date. Certainly, they
11 had an issue with actinium samples early in
12 the D&D period but that was related to D&D of
13 the facility. And I think we all know what we
14 are talking about here, our corridor job. But
15 it is not like they were doing actinium
16 samples throughout Mound's history. We talked
17 about that.

18 With regard to the thorium program,
19 I think a little perspective is in order here.
20 Mound did have a large inventory of thorium
21 material. It came onsite in I think late 1954
22 and in early 1955. This was residues,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Brazilian monazite residues, oxidite residues,
2 a bunch of different kinds of thorium
3 residues. And Mound got this material in
4 anticipation of operating a thorium refinery.

5 That program never got off the
6 ground. They received the feed material.
7 They even built the facility to handle it, the
8 pilot plant to handle it, but that project was
9 canceled in 1945 and never went into
10 production.

11 So, since that happened, Mound was
12 left with all of this inventory of thorium
13 material. The only thing that we are aware of
14 that Mound did with that material was re-drum
15 it because it was contained in drums that
16 corroded. The material in some cases was
17 stored outside and drums were in very bad
18 shape, so they re-drummed it. This involved
19 only a handful of people, approximately 20.
20 Don't hold me to that number but we know who
21 they are. We have bioassay results for them.

22 Now, I know that some questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been raised about the adequacy of the
2 thorium bioassay but I think we are missing
3 one of the important pieces of information
4 that that bioassay, that collection of
5 bioassay results gives us and that is, who was
6 involved. We have done worker interviews.
7 They confirm the small number of people
8 involved. They also confirm the sporadic
9 nature of this work. It was done primarily in
10 the months of the year when the weather was
11 good: the summer. And some of the drums, it
12 was re-drummed up to about three times.

13 This work was performed, by and
14 large, outside. Respiratory protection was
15 employed. So, we can talk about the thorium
16 bioassay methods and results. But the bottom
17 line is this involved a small number of
18 people. Due to the issues, the continuing
19 issues of having to re-drum this material,
20 they eventually built Building 21, which was
21 located near the site periphery, far removed
22 from other buildings onsite, other facilities

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 onsite and the material was emptied into this
2 building.

3 Now, keep in mind that this
4 building is essentially kind of like a silo
5 and it was unoccupied. People didn't go into
6 this building on a routine basis, although
7 sometimes they did to sample, to do air
8 sample. So, it is not like this material was
9 a source of exposure to other people onsite
10 routinely.

11 So I just think we have to keep a
12 little perspective when we are talking about
13 thorium-232 and I know that there are some
14 other issues that we will need to go into in
15 our written response.

16 The same kind of perspective needs
17 to be drawn with the protactinium-231 program.

18 Yes, they did have several, numerous drums of
19 material to extract plutonium, I'm sorry,
20 protactinium-231 but that program involved
21 about five people. So it is not like it is
22 everyone on site. And there are protactinium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay results but it wasn't as if that
2 protactinium was in isolation. At the
3 beginning, it was in residues in very, very
4 low concentrations. So, yes, we did use
5 surrogate or Mound did use surrogate
6 radionuclides.

7 Now, you mentioned that you have to
8 know the age and material and several other
9 factors of biokinetics. But the bottom line
10 is if you are working with a drum of material
11 that contains numerous radionuclides and you
12 sample for the most prevalent radionuclide and
13 you don't get a positive result, that
14 indicates to you that an uptake didn't happen.

15 So that is, I think, the approach that Mound
16 took.

17 And it is kind of difficult to see
18 how they would have selectively got exposed to
19 the trace element without being exposed to the
20 major radionuclide in the matrix.

21 Now, you mentioned chronic versus
22 acute and some uncertainties that that brings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in. This is a -- do you want to jump in now?

2 MR. STEWART: This is something
3 that we evaluate on a case-by-case basis. We
4 look at bioassay data and try to determine
5 whether what we have got is chronic or acute.

6 When we don't know, which is often, we will
7 simply assume the one that generates the
8 highest dose. And that is the approach that
9 we take to every single case. Very rarely
10 will we say, aha, I have a result here. It is
11 an acute intake.

12 And if you have enough data, you
13 can isolate that. You can go back and say
14 well, chronic intake results in a very large
15 dose, which I can't use that much dose. It is
16 too claimant-favorable but I have enough data
17 to isolate this. I have got incident data. I
18 have got repeat bioassays, follow-up
19 bioassays, whatever I do, whatever I have got
20 to generate an accurate dose.

21 But as I say, it is very seldom
22 that we do that because as we all know here,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the vast majority of claims fall into the
2 easily compensable or the easily non-
3 compensable range. I don't have to generate
4 an accurate dose estimate. I just have to be
5 right. Is it greater than 50 percent or less
6 than 50 percent.

7 So, if I have a guy that has got
8 tons of plutonium intakes and lung cancer, I
9 will assume a single acute intake on this one
10 day, I will come up near the data point on the
11 graph and drop down below it. I will have a
12 number of data points above the line that I
13 draw, the excretion curve. But that dose is
14 sufficient, in and of itself to determine
15 whether that case is compensable. So, I am
16 done. I don't need to generate an accurate
17 dose. I have established that it's obvious
18 this guy's dose is going to result in
19 compensability.

20 On the other side of it, I may have
21 a number of data points that I could plot very
22 accurately. But if everything I do is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to make this case compensable, I will
2 simply pick the highest one, generate a line
3 that sails over every data point, generates a
4 very large dose, and yet is not compensable.
5 So that again, is not an accurate dose
6 estimate but it is sufficient to show whether
7 it is compensable or not.

8 DR. ULSH: Well, this is not a
9 Mound-specific issue. We use this strategy in
10 every dose reconstruction we do.

11 MR. STEWART: Correct.

12 DR. ULSH: So, I don't know why
13 this is being brought up as an SEC issue for
14 Mound when this is an accepted methodology.

15 MR. FITZGERALD: Well, let me step
16 back. Again, the ER, I think, didn't focus on
17 the reliability or assay question other than
18 making a statement that there was sufficient
19 data, there was sufficient process information
20 and, you know, made those statements. And
21 certainly, the Work Group, if not the Board
22 obviously has the responsibility of probing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the reliability. So what we are saying is
2 that we, in the process of looking at this
3 question of reliability, posed the questions
4 of, you know, and this is particularly on the
5 other nuclides, and clearly we looked at the
6 mainstream and felt that you know, during the
7 history of the operations, the mainstream
8 nuclides, plutonium, what have you, did have
9 in fact an established bioassay method and we
10 found the documentation, we felt that it was
11 certainly evidence of accuracy.

12 On the other nuclides, and granted,
13 I think your point was, five workers here, ten
14 workers here, these were relatively small
15 operations. These were secondary operations
16 made at the plant. We were less clear because
17 ER didn't treat those subjects. Less clear
18 what the strategy of dose reconstruction would
19 be.

20 And again, this is the going
21 through and looking at the balance of the
22 plant in terms of the nuclides saying, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not treated specifically in the ER. We do
2 have questions. We are concerned, in some
3 cases, because it is not obvious to us what
4 the reliability of the dosimetry is for each
5 of these. And we posed these in a very short
6 form, I think, last year, and tried to be a
7 little more elaborate this time.

8 So, you know, this to me is just
9 simply clarifying since the ER did not address
10 these specifically but made a broader
11 statement what the stance is, how dose
12 reconstruction would be done. In some cases,
13 I think you are saying that it would be
14 addressed in the dose reconstruction
15 procedures. In other cases, you have, I think
16 you stated this before, Brant, that there is
17 mitigating issues relative to the number of
18 workers involved, as well as some of the
19 techniques applied. That is what we want to
20 clarify. We have to walk through this but I
21 think these are the questions that came up.
22 So that is the context of why these were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 raised. You know, I don't think we are
2 presuming that we found this huge gap that we
3 are saying that the ER didn't go into these
4 other specific other nuclides in the detail
5 that would give us confidence that the
6 reliability has been addressed. And that, I
7 think is the responsibility of the Board to
8 ask those questions.

9 DR. ULSH: Okay, I understand --

10 DR. MAURO: John Mauro. I would
11 like to add a comment. You know, I have
12 reviewed these six white papers and I
13 understand the response you provided, the
14 fundamental concept of your strategy for
15 bounding dose reconstructions. It is not
16 intended, necessarily, to be an accurate but
17 to make sure a good decision could be made
18 regarding compensation.

19 But you know, when I reviewed these
20 issues, the ones that were just summarized by
21 Bob Bistline, it seems that there seems to be
22 certain radionuclides that you are going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a difficult time placing a plausible
2 upper bound on, for the reasons described.
3 And it is not apparent to me that you do have
4 a good method for placing a plausible upper
5 bound, in light of the limitations that were
6 identified by Bob.

7 Maybe we could talk a little bit
8 about, as was mentioned earlier, we went over
9 so much material, starting with the gross
10 alpha work and then proceeding on through all
11 these other isotopes. And unfortunately, I
12 think we gave an overview of really all these
13 internal dosimetry issues. And it would be a
14 pretty good idea, I think, let's go; we have
15 got two days and if you agree, let's go to the
16 issues one-by-one, perhaps starting with the
17 gross alpha analysis and how, with the gross
18 alpha analysis and the uncertainties regarding
19 the biokinetics, chemical form, and recovery
20 of radiochemistry. When you do the bioassay
21 for urine, how are you going to place a
22 plausible upper bound when you don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which radionuclide chemical form and perhaps
2 the, I guess, recovery efficiency is. You
3 know, I would like to hear a little bit about
4 -- that would be one example of one particular
5 issue that if there is a way to place a
6 plausible upper bound, given these
7 circumstances, I would like to hear
8 conceptually a little bit about how you are
9 looking at it or would you rather wait until
10 you have a chance to prepare your formal
11 write-up?

12 CHAIR BEACH: John, this is Josie.
13 And while we do have two days, we do have a
14 very full schedule. So we are going to give
15 them an opportunity to respond to your
16 question but I believe Kathy wanted to add
17 something before we do that.

18 MS. ROBERTSON-DeMERS: Let me ask
19 you a more basic question. You have got
20 somebody who is exposed to multiple
21 radionuclides at Mound. Can you walk me
22 through what records you would get to do the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dose reconstruction and how you do it?

2 MR. STEWART: It is always
3 difficult to do that in the abstract. What
4 records do we look at? Right now, the
5 technical basis document doesn't drive us to
6 drill down to this level of detail, and that
7 needs to be revised. So, right now, we don't
8 have that process.

9 We will see records for Pu-238. And
10 we typically accept them at face value at this
11 point. Some of the older records will be
12 listed as thorium, radium, actinium, in some
13 cases, protactinium. We do have some
14 procerium, some proamericium. But we will
15 have those -- when those are present in the
16 record, they are evaluated.

17 MS. ROBERTSON-DeMERS: Let me
18 clarify here.

19 MR. STEWART: Could you speak up
20 just a little bit, Kathy? I am sort of hard
21 of hearing.

22 MS. ROBERTSON-DeMERS: Are you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at just the individual employee folder
2 for data or are you going out to the MESH
3 database and collecting that data? Are you
4 going to the MJW tables and collecting that
5 data?

6 MR. STEWART: The very simple
7 answer is we look at the employee data files
8 because that is what we are given. That is
9 the standard approach as far as dose
10 reconstruction.

11 DR. ULSH: I would assume they give
12 you a MESH.

13 MR. STEWART: Yes, MESH is part of
14 that record, Kathy.

15 MS. ROBERTSON-DeMERS: You mean the
16 printouts?

17 MR. STEWART: Say again, please?

18 MS. ROBERTSON-DeMERS: You mean
19 there are printouts of the MESH data in the
20 files.

21 MR. STEWART: That is correct.

22 MS. ROBERTSON-DeMERS: Okay and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then you find, for example, that somebody has
2 been working at Mound from 1959 to 1994, for
3 example, and they have been exposed to,
4 potentially exposed to uranium, thorium,
5 radium, actinium, fission products, plutonium.

6 How do you go about assigning the --

7 MR. STEWART: Well the simple
8 answer is, we don't find that. Other
9 radionuclides are a problem at other sites as
10 well. And what you have got is very small
11 programs worked on by very few people. Most
12 of the people walking around at Mound were
13 exposed to polonium or Pu-238. And that is
14 what is in their records.

15 DR. ULSH: But in terms of gross
16 alpha, if we have knowledge that they might
17 have been exposed to some of these other
18 alpha-emitting radionuclides, those would be
19 in the mix for dose reconstruction in terms of
20 -- I mean, if there is a potential for them to
21 be exposed to say, uranium, then we would
22 consider that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But by and large, like Don said,
2 these programs were extremely small. So, if
3 you have a gross alpha result and followed by
4 lots of plutonium-238 results, chances are, it
5 is plutonium-238, if there is anything in the
6 gross alpha.

7 MR. STEWART: Right. The dose re-
8 constructor doesn't go into a dose
9 reconstruction with a preconceived idea of the
10 radionuclides he is going to or he is going to
11 assign. They look at the data in the case.
12 They look at what the claimant may have said
13 about what the person was exposed to and then
14 they go from there.

15 So, I mean, you could find that and
16 I have found that in the past. I had a
17 gentlemen who had a lot of polonium bioassay,
18 routine polonium bioassay and in fact, his
19 work was on polonium research. But he also
20 had a couple of other samples thrown in there.
21 So, those were evaluated as well.

22 You typically don't get that. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, you have to remember that the cases we
2 are looking at are a small fraction of Mound
3 employees. And I don't know what the numbers
4 are. Several hundred Mound claims. There
5 must have been tens of thousands of Mound
6 workers by this point.

7 So all the dose reconstructor is
8 going to evaluate is the data for that
9 specific case.

10 DR. ULSH: Well and another thing
11 to consider is that not in every case,
12 certainly, and not for every particular organ
13 in the body but in most cases, plutonium-238
14 is going to be the claimant-favorable choice.

15 MS. ROBERTSON-DeMERS: Well, I
16 guess that is what I am asking. If you have
17 got multiple, if you have found that somebody
18 has potentially been exposed to multiple
19 alpha-emitters for example, how are you going
20 to assign?

21 DR. ULSH: We are going to take the
22 most claimant-favorable choice, just like we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do everywhere else.

2 MS. ROBERTSON-DeMERS: And you are
3 going to take the most claimant-favorable
4 radionuclide recording.

5 DR. ULSH: Of course. That is what
6 we do everywhere.

7 MS. ROBERTSON-DeMERS: And assume
8 that say a plutonium-238 value that is given
9 is actually thorium, for example, if that is
10 the most conservative.

11 DR. ULSH: If it is reasonable to
12 assume, keep in mind, say for instance the
13 thorium, the example that you used, we knew
14 who was involved in the thorium program. We
15 have thorium bioassay results. Now, you may
16 not like what the thorium bioassay results
17 tell you but it does tell you who was involved
18 with the thorium program. So if we, let's
19 say, we have someone, one of these, let's just
20 pick a number, 20 people who are involved in
21 the thorium program and their claim comes in
22 for dose reconstruction and they have a gross

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alpha result, of course we are going to
2 consider thorium as one of those
3 possibilities. And if it is the most
4 claimant-favorable choice, say it is a bone
5 cancer, of course, that would be in the mix.
6 That would be one that we could consider.

7 MEMBER SCHOFIELD: Okay, what if we
8 had someone that comes in from one of the
9 crafts who is not part of the polonium
10 project, he is not one of those 20, but he or
11 she comes in there to do a particular job and
12 gets exposed or even multiple times because
13 they have the certain expertise or they are
14 familiar with that system there. So, they
15 come into that area but yet you are not going
16 to show them in that database that they were
17 one of the polonium workers. How are you
18 going to address those type of people?

19 DR. ULSH: Wait a minute. Are we
20 talking about --

21 MEMBER SCHOFIELD: Okay, we are
22 talking about, it doesn't matter, take any of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the -- whatever you want to pick. It doesn't
2 matter. We are saying that you said there was
3 like 20 people in that project, --

4 DR. ULSH: Thorium.

5 MEMBER SCHOFIELD: -- thorium
6 project. Okay.

7 Now, you have got these craft
8 workers, maybe fire department, maybe guards,
9 come in there for whatever reason, doing
10 something and getting an exposure. But when
11 you go back and look at that database, it is
12 not going to show that they worked with
13 thorium. And they may not even actually have
14 any bioassay for thorium because people making
15 decisions said, well, they don't really work
16 in that area. But for whatever reason, they
17 had to go in there and do a job or they were
18 in there when something for whatever reason.

19 MR. STEWART: As far as the thorium
20 re-drumming goes, those were craft people.

21 DR. ULSH: Yes, exactly.

22 MR. STEWART: They were riggers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 laborers. They were assay by job. You know,
2 they were doing this thorium job so they are
3 bioassay. And I think you will find that that
4 is generally true with Mound.

5 MEMBER CLAWSON: Well, yes, but the
6 point that he is trying to bring up, you guys
7 have brought up a very good point that certain
8 people only worked on actinium or any of these
9 low radionuclides. You are saying 10, 15,
10 maybe five or whatever. But you have got
11 support personnel that come in there
12 continuously to be able to redo calibration on
13 instrumentation, do maintenance on their work,
14 do ventilation upgrades or anything else like
15 that. Those people aren't going to be
16 monitored. Because as most of the sites, and
17 we have all seen this, have said no, they are
18 not into this 24 hours a day. They are just
19 bits and pieces so they don't need to be
20 monitored for that because that is costly. So
21 just monitor these and we can go from there.

22 Because you have people going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through this stuff all the time. You have all
2 your support organizations, endless ones, that
3 are going to be going in there but they are
4 not monitored.

5 MR. STEWART: Are you talking about
6 this from a Mound-specific point of view or on
7 a complex-wide basis?

8 MEMBER CLAWSON: It would be a
9 complex-wide issue. You get into this quite
10 often. But Mound is the exact same thing as
11 the rest of these. We have seen at so many of
12 these sites that the decision has been made,
13 these ten people are the scientists that are
14 going to work with this, so we will monitor
15 them for this.

16 But then you have all your
17 instrumentation people coming in, all your
18 maintenance people, your electricians,
19 changing out pumps. You have the broad
20 spectrum of people going in there that are not
21 monitored for that.

22 DR. ULSH: But you have to consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the individual situation here. For instance,
2 with the thorium program, as Don said, these
3 were the crafts people that were monitored. I
4 mean, that was pretty much a -- it wasn't a
5 research program it was --

6 MR. STEWART: Crafts.

7 DR. ULSH: Right. The uranium
8 activities, again, a small number of people.
9 But to even get into the radiation controlled
10 area, you had to be on a bioassay program.
11 And by and large, the primary radionuclides of
12 interest, like Don said, polonium in the early
13 years and then it transitioned to plutonium-
14 238. If you went into those areas, you were
15 on a bioassay program.

16 Now, I just thought of a caveat to
17 that that in the later years, in the D&D
18 years, of course, you have a DOE regulation
19 where only if you had the potential for 100
20 millirem per year. So there is a slight
21 caveat there.

22 But by and large, I mean, Mound was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a very access controlled site.

2 MR. CHEW: Let me just jump in.
3 After a few months away, I am just getting
4 back into it.

5 Let's talk operational. And I
6 think you know because you are in operation.
7 I was an operation RHP. Let me tell you what
8 is really going on.

9 At Mound, especially with the
10 radioisotopes that we are talking about,
11 plutonium isotopes and polonium isotopes they
12 are handling glove boxes. There are many
13 indicators. There are many indicators that
14 tell you that an exposure potential could have
15 occurred. Glove breakage, leakage, something
16 you did wrong, bag out, okay you name it. So
17 there is swipes and there is air samples.

18 Now, the people who are actually
19 working on a day-to-day basis, less
20 conservative on a routine basis. But if a
21 relevant person came in and did something that
22 potentially caused an exposure, indicators

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would show up very quickly -- air sample,
2 swipe. In these particular cases, what we did
3 was, and you probably did, too, this is an
4 incident because these are the people who are
5 not normally working with the material who
6 potentially get exposure. We have got to talk
7 about exposure potential.

8 And so you could always give a
9 hypothetical situation when a person walks in
10 they walk into an exposure. And I would say
11 from an operational standpoint, those people
12 are, for lack of a better word, are generally
13 picked up. When you see that, yes, there was
14 a bunch of craft people that came in and they
15 opened the pump and they did something wrong.

16 No operational people were around. That is
17 not usually true. We usually don't do a job
18 unless there are monitors around plus all the
19 other indicators.

20 And so my comment to you is that if
21 the people are crafts people coming and did
22 something that is unusual, that is huge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 created and not only for exposure potential
2 but a real exposure for so many indicators
3 that they would have followed up on bioassay.

4 And as you know, one of the things that you
5 have asked us to do is go back to the road map
6 and pull all the incidents.

7 So I am saying, you have got to
8 think operational. I think you understand --

9 MEMBER CLAWSON: I understand that.

10 And being in operations, took, you understand
11 that we have in the earlier years, you had so
12 many wonderful HPs or RAD techs or whatever
13 watching us. They would come in, they would
14 swipe the outside of it and say okay when you
15 guys break this, I want to be able to take a
16 look at it or whatever else. We are pulling
17 out whole pumps or breaking lines or whatever
18 else like that. A lot of times, there is not
19 enough coverage to go around.

20 But the whole thing is, when they
21 make the term you are on a bioassay program,
22 you know, we will catch it if anything does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pop up. But a lot of times, they were looking
2 for these other odd ones that came out. Where
3 were they? When they went into the -- they
4 checked for everything. Did they give the
5 bioassay the whole gamut or --

6 DR. ULSH: Well, I think the
7 thorium example, it is kind of unfortunate in
8 the way that we have focused on that one
9 because it is not typical of what was going on
10 at Mound because it did involve a lot of
11 primarily crafts people. It wasn't an active
12 research program, it was just re-drumming.

13 But some of these other exotics, I
14 would call them, or the other radionuclides,
15 they were very small in scale. They were very
16 limited, a limited research program. And you
17 didn't have craftspeople wandering through.

18 MEMBER CLAWSON: But you had them
19 maintaining. That is what I am saying, these
20 programs did, these guys didn't walk into a
21 room and you never saw this stuff again until
22 five years down the road. There was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maintenance that had to go on there. There
2 had to be instrumentation that was calibrated.

3 There had to be all of this to be able to
4 make this research work. This is what I am
5 talking about because we see this so often so
6 many times.

7 We have instrument techs that go in
8 there that pop lines on things. They are
9 supposed to be so clean, no big problem. They
10 are not.

11 But then I realize what you are
12 saying Mel, but you know as well as I do that
13 what I am trying to say is that when we put a
14 caveat on there that this is a very small
15 operation and only five people worked on it,
16 times that by about five. Because that is
17 usually what ended up working onto it.

18 Because when there was also
19 incidences, it brought in more people of hey,
20 you know, what do we need to do to just be
21 able to monitor this, to be able to keep this
22 better off. This is the point that I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to make.

2 MR. CHEW: Let's go back to your
3 reference point where I know that you worked
4 in the chem plant. And I think we can go back
5 and look at how the chem plant was designed.
6 Remember, it was a pilot plant that operated
7 for infinite years here and it should have
8 been shut down initially. I think that was
9 the first pilot plant that really was a pilot
10 plant.

11 And I think if I remember
12 correctly, part of the problem was the chem
13 plant was the instrumentation information was
14 brought back lines from the process actually
15 came into the control room. That is why you
16 actually had release.

17 I had many tours of the chem plant
18 and I wonder why they flunked. That is not
19 true of Mound. Mound is dealing with high
20 specific activity, alpha emitters, plutonium,
21 polonium, those were the big ones, and we were
22 handling glove boxes. It didn't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 instrumentation lines that were outside of the
2 glove box, the material solution, fission
3 products like you do. The chem plant actually
4 has an excess.

5 So, it is a different type of
6 operation. And like I say, go back to it.
7 There is just so many other indicators that
8 tell you that their exposure really did
9 happen. Okay, exposure potential is obviously
10 when a person comes in and does a job, they
11 assess the exposure potential. The exposure
12 that really did happen is you follow them not
13 only for contamination there, you know you can
14 go back check their hands and feet, show the
15 air sampler. The air samples are collected.
16 You collect an air sample and say gee that
17 day, there was a high air count because you
18 see it a few days later. Who was in there?
19 Well, gee, there was a craftsman in there.
20 Let's go do a bioassay. And this happened.

21 MEMBER CLAWSON: And I realize that
22 and I cannot speak for Mound on the air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 samples. But you know what? We never really
2 started pulling a lot of really good air
3 samples into the late '80s and so forth.
4 There weren't a lot of them pulled.

5 And I am just speaking from my
6 side. I can't talk about Mound because I
7 wasn't there but it seemed like we got a
8 universal throughout all these telling like
9 1985, '88 somewhere in there. That is when
10 our radcon program really stepped up. We have
11 got to look at that it really was in those
12 days.

13 MR. CHEW: Yes, I don't know who is
14 supposed to be speaking about the roadmap
15 later on. Okay? I think it is the next day
16 but I think I also brought a couple of things
17 to show about the roadmap. I think the
18 roadmap was good. As a matter of fact, I
19 think was saying, no you gave them too much
20 detail. I said okay, that is fine.

21 MEMBER CLAWSON: You can never have
22 too much detail.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CHEW: Right. But I think if
2 you really clearly look at the roadmaps, they
3 are identified by room, by building, by period
4 of time. The process was very important.
5 Okay, we put a lot of process information.
6 Sensitivity of the roadmap, obviously, and
7 anytime that we know what radionuclides were
8 present, they are listed, and then also the
9 bioassay methodology.

10 So I think we need to think about
11 that. You know, we presented you the
12 potential exposure potential but to know
13 understand what really exposures did occur and
14 what follow-on. And then now when you have
15 information on that real exposure, what does
16 the dose reconstruction do with it?

17 And I think you know Brant and Don
18 is just basically talking about that we took
19 the most conservative radionuclides and used
20 that as the basis.

21 MR. FITZGERALD: Well, I think we
22 have -- just to reaffirm what Brad commented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on just a little earlier ago. You know, we
2 have looked at the multi-purpose laboratories.

3 Now Mound certainly doesn't resemble Los
4 Alamos or Livermore, but still, --

5 MR. CHEW: Or a chem plant.

6 MR. FITZGERALD: -- or a chem
7 plant, but in the pre-1980 era and examining
8 laboratories like it, I mean, these issues are
9 not new issues. You know, the notion of
10 whether or not the technical feasibility was
11 there to accurately measure mixed fission
12 products, mixed fission activation products,
13 a very big issue at some of the other
14 laboratories. The same thing with thorium at
15 Y-12.

16 You know, there just, to me, is a
17 time frame where these, you know, the
18 technology was on cusp and we did have
19 exposures taking place. The question was
20 whether in fact you had the bioassays being
21 done and whether or not they were being done
22 after these.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think we are talking about an
2 early period where Mounds, like some of the
3 other laboratories, were in fact on this
4 cutting edge in terms of technology. The
5 technology at Mound wasn't any better or
6 worse, perhaps, than other laboratories. In
7 fact, a lot worse based on Oak Ridge, in terms
8 of the techniques. But nonetheless, I think
9 the issues are very similar and we have asked
10 the same questions and have had some concerns
11 at other sites.

12 MR. CHEW: It sounds very similar
13 to Rocky Flats. Bob, I think you and I met, I
14 met you the first time when I was sent to
15 Livermore to visit Bob Bistline, to find out
16 what he does.

17 DR. NETON: I think we have been
18 focusing a little too much on the bioassay
19 program because that is a fact of the final
20 safety measure and an entire radiological
21 control program.

22 You know, we are talking almost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like we use people as human air samplers to
2 see if the program is really working properly.

3 In fact, that is the last stop at that
4 measure. We either look at the radiological
5 control program, the controls Mel talks about
6 such as glove boxes, are there continuous air
7 monitors in place. You know, there is some
8 sort of a general area air sampling program to
9 supplement that, along with swipes.

10 And so you know, you have all those
11 control measures available to you. And I
12 haven't look at the Mound data but I assume
13 there is data available to -- at least the
14 program would be in place to document that.
15 And the fact that a worker may have gone into
16 an area and does not have a bioassay does not
17 mean that it wasn't, his potential for
18 exposure wasn't assessed at some point, using
19 either air samplers, swipes, that sort of
20 thing.

21 So you can't just assume because
22 people weren't bioassay monitored that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was this large unmonitored exposure going on.
2 You have got to look at the whole picture.

3 MR. CHEW: And also not assume that
4 just because we list the radionuclide in that
5 particular room, --

6 DR. NETON: Well, right, I mean --

7 MR. CHEW: -- doesn't mean it all
8 jumped into the person's lung. It just
9 doesn't happen.

10 DR. NETON: I mean, so you have to
11 look at in perspective.

12 MS. ROBERTSON-DeMERS: And I think
13 -- this is Kathy DeMers. I think you need to
14 be aware of something that, you know, -- I
15 think you need to be aware that there were
16 some facilities at Mound that were used that,
17 where they did use glove boxes but they were
18 not designed for that function. And so they
19 had numerous problems with incidents.

20 MR. KATZ: Excuse me. Excuse me,
21 on the line, please. On the line there is a
22 discussion going on and it is interfering with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the discussion in the room. Could you please
2 mute your phones? If you don't have a mute
3 button, just use *6. That will work. Thanks
4 a lot. And then when you want to come off
5 mute, you just hit *6 again. Thanks.

6 MR. STEWART: I'm sorry, Kathy,
7 could you go through that last bit again,
8 please?

9 MS. ROBERTSON-DeMERS: Okay, there
10 was a facility at Mound where they did handle
11 things in glove boxes. But it was not
12 designed for the handling that they were
13 doing. So they were having numerous problems,
14 incidents. This was SM building.

15 MR. STEWART: Say again.

16 MS. ROBERTSON-DeMERS: SM Building.
17 And so also keep that in mind. And we need
18 to make --

19 MR. STEWART: Is that covered in
20 your paper?

21 DR. ULSH: I'll take that one, Don.
22 Yes. We are certainly aware of that, Kathy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One of the problems with SM building, and we
2 have been talking to former workers and they
3 all, to a man, emphasize that it was just a
4 nasty place to work. The problem with SM
5 building is that they handled plutonium-238
6 but it was designed, essentially, to handle
7 plutonium-239. And they didn't take into
8 account the high specific activity of
9 plutonium-238, the amount of heat that is
10 generated, and they led exactly to the kind of
11 problems that you just described; numerous
12 leaks, numerous incidents. That is absolutely
13 true.

14 That is why eventually they built
15 PP Building to replace or to take over the
16 activities of the SM Building.

17 Certainly while it was in place,
18 though, there were numerous incidents like you
19 described. Numerous incidents with plutonium-
20 238, primarily. I mean, I can't say that is
21 the only thing that ever went into that
22 building but far and away, that was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mission of that building with plutonium-238
2 handling.

3 And people who worked in SM
4 Building would have been on plutonium 238
5 bioassay.

6 MR. STEWART: And we see that in
7 the records as well. You know, there is a
8 noticeable drop in positives when you get out
9 of the SM Building.

10 MS. ROBERTSON-DeMERS: That is not
11 the only thing that was handled in SM
12 building.

13 MR. STEWART: No, it certainly
14 wasn't.

15 MEMBER SCHOFIELD: Let me give you
16 some hands-on experience there. With 238, it
17 is a real nasty player to clean up. It is
18 very difficult. It is kind of like chasing
19 mercury all over the place. I mean, that is
20 effectively invisible mercury that you are
21 playing with.

22 Well you go in. You have had an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure, whether it is broken line, a broken
2 window, a torn glove. It doesn't matter the
3 cause. Time is money. And you go in there
4 and you try and get it cleaned up as quick as
5 possible.

6 Now how often, and I could give you
7 hundreds of examples where these guys go in
8 there. Later on somebody goes in there. They
9 get up underneath the glove box where there is
10 some penetration and they get up on top where
11 there is motors and electrical trays.

12 Well, they have got the front of
13 the glove box down on the floor, all the very
14 nice exposed stuff cleaned. But there is
15 still loose contamination that can sit there
16 for years. And they send this person in there
17 and say well, you know, we just want you to
18 insulate those lines for us, you know, we are
19 having a little problem.

20 Fine, they go up there. They says
21 we need new cable strung. You know, just use
22 the existing tray. They get up there and they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get exposed. Nobody is expecting exposure up
2 there. Nobody is going to do a bioassay.
3 Maybe they just had one done because they are
4 only on an annual bioassay. So, it is almost
5 twelve months down the road before anybody
6 knows they got exposed. And this is hands-on
7 stuff I have seen time after time.

8 And I don't care what SOPs read.
9 The bottom line is these people get exposed.
10 A lot of times, it is not caught until quite a
11 bit down the road, if it is ever caught. A
12 lot of times, it is not even discovered until
13 they start demolishing or cleaning up that
14 building. My God, these electrical trays or
15 where the bolts are holding the trays to the
16 roof or the walls, there is loose
17 contamination behind there. Well, you didn't
18 really care about it. Your job was to get it
19 cleaned up, get back in business again.

20 But a lot of these people, we have
21 had it, and it is well documented that we have
22 numerous instances in Los Alamos, I can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talk about Mound, where people have gone in
2 there years later. And they go, hey, those
3 guys were in there last week? Yes. Well,
4 guess what? We don't know how many people
5 have been exposed because when these guys
6 starting monitoring themselves, they were all
7 craft up. This is real world stuff.

8 This is not theoretical. This does happen
9 where these people get into these situations.

10 What?

11 MR. STEWART: I have covered some
12 of those jobs.

13 MEMBER SCHOFIELD: Yes.

14 DR. ULSH: So let me talk about the
15 real world situation at Mound as related to me
16 by people, the health business systems in
17 charge of the building who was actually in the
18 stuff by other former workers who actually
19 worked in SM building.

20 Did exposures happen? Absolutely.

21 Did contamination incidents happen in SM?

22 Absolutely. Did contamination incidents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happen where perhaps you might not have
2 expected? Yes, for sure. Did people
3 sometimes get sent into areas where they
4 weren't expected to be contaminated but they
5 got contaminated? Yes, absolutely. And those
6 people were on bioassay programs. So the
7 question is not did people get exposed. We
8 all agree that they, in some instances, some
9 people got exposed. The question is, can we
10 do dose reconstruction on them? And the
11 answer is yes.

12 Now maybe the bioassay wasn't done
13 the following week. Maybe it wasn't done the
14 following month but it was done. If you went
15 into SM Building, you were on plutonium-238
16 bioassay. And we have methods to estimate. I
17 mean, let's say a person goes through and they
18 have negative bioassays, six months later,
19 they come up with a positive bioassay. We can
20 do a dose reconstruction in that situation.
21 Even if they got exposed the day after their
22 last negative bioassay. We just don't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situations where people got exposures to
2 plutonium-238 and we can't do a dose
3 reconstruction at Mound. We don't have it.

4 MR. STEWART: That situation
5 doesn't arise.

6 MS. ROBERTSON-DeMERS: Can I ask a
7 question? I am jumping ahead.

8 MR. STEWART: Can you speak up,
9 please?

10 MS. ROBERTSON-DeMERS: I am jumping
11 ahead here but what is the status of the
12 roadmap? Is there a classified version?

13 CHAIR BEACH: Can we hold that,
14 Kathy, for another -- In keeping with our
15 schedule, which I am going to try really hard
16 to do, this is Josie, what I would like to do
17 is get last minute inputs.

18 I would like to request that NIOSH
19 respond to SC&A's white paper in detail,
20 answering the many questions. For example, on
21 page 25 of the white paper, one of the
22 questions requests that NIOSH demonstrate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 procedure for assigning internal dose to
2 individuals who were exposed to multiple
3 alpha-emitters during the time period when
4 gross alpha analysis was implemented at Mound.

5 That is one question that you will find on
6 page 25. The other one is to demonstrate how
7 to reconstruct dose internal exposures from
8 gamma and beta emitters in the absence of
9 gross gamma and beta results.

10 Also on page 26 of the white paper,
11 request NIOSH ORAU to retrieve the urinalysis
12 log book data for potential evaluation of
13 exposures to special tritium compounds. What
14 I would like to see is that all of the
15 questions, there is many of them buried within
16 that white paper, that they are answered in a
17 timely manner and I would like to throw out
18 30 days to get that back into our hands, so
19 that we can move forward with this issue for
20 our next work group meeting. I am seeing how
21 much time.

22 DR. ULSH: Okay, we are going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need more time, I think. And you have to
2 build into the schedule the time it is going
3 to take not just for the normal reviews but we
4 also have to have it reviewed by DOE for
5 security plans.

6 CHAIR BEACH: Correct.

7 DR. ULSH: That has to be built
8 into the schedule.

9 CHAIR BEACH: You are right.

10 DR. ULSH: So, would you give us
11 60?

12 CHAIR BEACH: Sixty days?

13 DR. ULSH: Forty-five? What will
14 you give us?

15 CHAIR BEACH: Well, --

16 DR. ULSH: We will start on it
17 tomorrow.

18 CHAIR BEACH: Okay.

19 DR. ULSH: Or no -- Friday.

20 CHAIR BEACH: I just -- we are
21 going to have this come up many times in the
22 next two days. So overall, I would like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strive for something in the next couple of
2 months to get papers out and back into DOE's
3 hands and then back to the work group so that
4 we can continue to move forward with the
5 process.

6 So, if it takes 60 days, --

7 DR. ULSH: Well, six weeks? I'm
8 looking at Don. I'm putting him on the spot.

9 MR. STEWART: Well yes, we can talk
10 about my deliverables for the next 60 days
11 after that.

12 DR. ULSH: All right. Josie, how
13 about -- we understand your desire to get this
14 done quickly. We will put our heads together
15 after the meeting and send an email --

16 CHAIR BEACH: Okay.

17 DR. ULSH: -- to you and the other
18 working group members proposing it.

19 CHAIR BEACH: And by the end of
20 tomorrow, hopefully maybe we can have some
21 idea.

22 DR. ULSH: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Because we will have
2 many of these in the next two days that we are
3 going to be struggling for time periods on.
4 So, maybe --

5 DR. NETON: I think it is fair to
6 allow us to talk offline.

7 CHAIR BEACH: Oh, I agree. I
8 agree. No, that's all right.

9 DR. NETON: Because we are
10 balancing a lot of different working groups.

11 CHAIR BEACH: And that is why I
12 say, maybe at the close of tomorrow's work
13 group, we will be able to kind of come into
14 some kind of action items and time frames. I
15 mean, that is completely viable to me.

16 So, is there any last comments?
17 Paul, are you still on the line? Paul Ziemer?

18 MR. KATZ: Paul? Paul, are you
19 still with us on the line?

20 MEMBER ZIEMER: Yes, I had to push
21 *6 here to get back. Yes, I am still on the
22 line.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Did you have any
2 comments on this? I am just trying to push it
3 ahead so that we can take a break here.

4 MEMBER ZIEMER: No, I agree. They
5 need to move ahead. I understand the need for
6 the reviews and so on. So, I think their 45
7 day target is good but it may take a little
8 longer, based on the additional reviews
9 needed.

10 CHAIR BEACH: Yes, and Paul, I
11 wasn't just talking about the time. If there
12 was any other additional comments you had just
13 for this issue.

14 MEMBER ZIEMER: No, I don't have
15 any additional comments. I think we have
16 heard a lot of these issues before and a lot
17 of the responses before. So, they keep
18 reemerging.

19 CHAIR BEACH: Yes.

20 MEMBER ZIEMER: But I agree. A
21 detailed response from NIOSH will be helpful
22 so you can put some of these things to bed one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way or another.

2 CHAIR BEACH: Okay, thanks, Paul.

3 MR. STEWART: Yes, just one thing.

4 We have gone back and forth about this a
5 number of times. And I just wanted to say
6 that I am grateful to see that the issues are
7 here. I may have spoken out of ignorance in
8 the past as to what the issues really were.
9 And this paper goes a long way to resolving
10 that. So, I just wanted to thank you.

11 MR. FITZGERALD: Yes, I don't think
12 it really changed so much as we just wanted to
13 be more specific.

14 MR. STEWART: Yes, there is more
15 detail.

16 MEMBER CLAWSON: I have got just
17 one more question. And this is getting, and I
18 realize bioassay is a last line of defense but
19 in some of the worker interviewers and stuff
20 like that, they made the comment that I heard
21 that they may have not been on the bioassay
22 program but people in their group were in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay and were covered. So, they were
2 saying that you know, they were meeting the
3 requirements of what they had in the bioassay
4 program.

5 Have you seen, and this came from
6 some Mound interviews, you know, that people
7 that they were working with were on the
8 bioassay. So technically they were covered
9 that way. And this is where, you know, I know
10 bioassay is the last line of defense and
11 everything else like that but seeing some of
12 this and a lot of the data to me is very
13 difficult to sift through and so forth like
14 that.

15 MR. CHEW: Usually in that
16 situation, Brad, they are people who were not
17 exposed to like an incident but was following
18 the routine just making sure that your
19 program was complete. And then you certainly
20 bioassay people with the highest potentials.
21 Okay? And then the people who had the low
22 potentials said yes, I was covered by that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular group. Usually, there are no
2 choices.

3 MEMBER CLAWSON: Because where I
4 saw this mainly was in the maintenance
5 departments, electricians, so forth and stuff
6 like that. Because a lot of those rolled
7 throughout the whole thing. So it was kind of
8 like a spot sample to be --

9 The other thing I found very
10 interesting and we see this complex-wide,
11 Mound is no exception, is that they built one
12 building on top of another building that was
13 designed for this but now became this. And we
14 saw a lot of this in the ventilation systems
15 and the instrumentation lines and so forth
16 like that. And this is what was interesting
17 to me about Mound. The T Building, all these
18 other -- it was like they were stacked one on
19 top of another and we got into some issues
20 down the road with some cracks and so forth
21 like that.

22 But this is what is somewhat of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interest to me is that they said that
2 everything was so cut and dry but these
3 buildings were being used for things and glove
4 boxes, some of the earlier glove boxes were
5 not designed to really be doing what they were
6 supposed to be doing and this is some of the
7 people that were hands on. Yes, we made do
8 with what we had.

9 And that is where part of my issue
10 comes into this. And sure they monitored
11 things and everything else like that. I just
12 wanted to say --

13 MR. STEWART: Sure, the area will
14 have been monitored and have access
15 requirements to go in. It might have been an
16 contamination area. It might have been a high
17 contamination area. And the better the glove
18 box was at maintaining the separation is going
19 to determine a level of control where you can
20 go in there.

21 And on the bioassay, certainly I
22 have witnessed operations where I was not on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay but the technicians that I was
2 supervising were on bioassay. And though it
3 didn't happen while I was there, everybody in
4 the room came out and got a special because we
5 had a lost containment.

6 So yes, okay, you know, Bob was an
7 electrician. He wasn't in there. He was in
8 there when this thing happened. Send them all
9 downtown. And that is the way we did it.

10 MEMBER CLAWSON: And this is in the
11 later years. I am looking a lot more towards
12 earlier years up until the '80s. I know that,
13 we understand our radcon program from '85 on
14 has made leaps and bounds. Because really, to
15 tell you the truth, we did not know a lot of
16 the daughter products, a lot of the things, a
17 lot of the potential that we were doing. I am
18 saying this because we are all on a learning
19 curve on this whole thing in the earlier
20 years.

21 MR. CHEW: Also, instrumentation
22 was developed to a higher degree of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sophistications so you can do that, too. And
2 that doesn't necessarily mean that when you
3 did the gross alpha on Pu-238 did not bound
4 with the most contributing isotope. You know,
5 we have to take a look at that.

6 MEMBER CLAWSON: Okay, thanks,
7 Josie.

8 CHAIR BEACH: Anything else?

9 MR. STEWART: I had just one more
10 question. As far as the thorium solubility
11 types go, is this a Mound-specific issue or is
12 this something that we need to look at on a
13 complex-wide basis?

14 MR. CHEW: It was raised as a
15 Mound-specific.

16 MS. ROBERTSON-DeMERS: Both.

17 DR. NETON: I'm not sure what the
18 issue is here. I mean, thorium has different
19 solubility classes like any other
20 radionuclide. It is hard to detect in urine,
21 which raises the missed dose quite a bit but
22 it still is calculable. There is a number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that can be calculated if you have a urine
2 sample and it has non-detectible thorium in
3 the urine, albeit it is much larger than for
4 plutonium or for uranium.

5 I am not sure what the issue is
6 here. Is it that you come to implausibly high
7 doses? Because the issues is if you come with
8 a very high dose, it would probably compensate
9 most lung cancers but most systemic organs,
10 even with that high missed dose, would not put
11 a PC over 50 percent for most of the soft
12 tissues, the bladder, the pancreas, the GI
13 tract, kidneys. So, it is a usable technique
14 for us. I am not sure what the issue is here.

15 MS. ROBERTSON-DeMERS: It gets back
16 to issues that we dealt with at Y-12 and the
17 thorium processing. And I wasn't involved in
18 the later portion of that. And the
19 inadequacies of bioassay versus air sampling -
20 -

21 DR. NETON: Well that is a
22 different issue. But I mean, if we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay samples for thorium, I am of the
2 opinion and the NIOSH is of the opinion if we
3 can use them to reconstruct internal doses.

4 MS. ROBERTSON-DeMERS: You have
5 them for some periods.

6 DR. NETON: That's agreed. Now, if
7 there is something, if there is another issue
8 regarding representativeness of the bioassay
9 program, then we can talk about that because
10 that is a Mound site-specific issue.

11 MS. ROBERTSON-DeMERS: And the
12 other thing is you need to look at your
13 decision levels and so on and so forth because
14 there is some highly insoluble thorium.

15 DR. NETON: There is S-type
16 thorium. I am not aware of super-S thorium.
17 I mean, if you are saying there is, then I
18 would like to see some evidence.

19 MS. ROBERTSON-DeMERS: Well that
20 what the paper that was generated by James and
21 Weaver says is that there was YY in the ICRP-3
22 terminology thorium at Mound.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I would like to see
2 that. I have not seen it. And if there is,
3 we can certainly deal with it in some way or
4 another, I am sure.

5 MR. LaBONE: Can I make a comment
6 on that? This is Tom LaBone.

7 On this, the solubility of the
8 thorium from the Weaver and James paper, if
9 you go back and look at that, they basically
10 followed the dissolution of that material out
11 for 75 days. And I would propose to you that
12 you can't estimate 30,000 day half-lives from
13 a 75 day experiment.

14 And I think that data is good to
15 give us an idea of the initially soluble
16 portion but I don't think it is very valuable
17 as far as estimating you know, super YY or
18 something like that.

19 So that is just again, after
20 looking at the original report, that was what
21 I came away with. And I just wanted to add
22 that in, since the topic came up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Thanks, Tom. That was
2 good. I had forgotten about that.

3 CHAIR BEACH: I think it is break
4 time. How much time do we normally take, ten,
5 fifteen?

6 MR. KATZ: Whatever you --

7 CHAIR BEACH: How about if we
8 reconvene at 11:15?

9 MR. KATZ: Okay, 11:15 for folks on
10 the phone. I am not breaking the line, I am
11 just putting you on mute.

12 (Whereupon, the above-entitled
13 matter went off the record at 11:02 a.m. and
14 resumed at 11:17 a.m.)

15 MR. KATZ: Okay, this is the Mound
16 Working Group. We are coming back on line.
17 We had a short break. And I think Joe is
18 going to present next.

19 CHAIR BEACH: Well, actually we are
20 going to move on to integrity completeness of
21 internal dose records issues, 12 and 13. And
22 Joe are you --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes. Yes.

2 CHAIR BEACH: Joe, you are. Okay,
3 I had Bob down.

4 MR. FITZGERALD: I am just going to
5 say, just to tee it off, this is the
6 continuation of our review on the reliability
7 question. We did split this thing into two
8 parts. This is the looking at integrity and
9 completeness of the data.

10 And our response to the ER, and
11 again, the ER laid out a picture of where
12 there was certainly complete records or
13 relatively complete records and sufficient
14 process descriptions, source terms to enable
15 dose reconstruction. And our concern was that
16 here was a need for a validation between the
17 electronic records and the source information.

18 Often times the paper records, whatever was
19 the source information, be electronic just to
20 demonstrate that in fact the electronic
21 database is valid and one that can be relied
22 on. And this is something we have done, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think, with every SEC site.

2 That was a comment that we felt
3 needed to be pursued. It wasn't specifically
4 addressed in the ER. And we also cited in the
5 white paper, or actually comments from last
6 year as well, that we felt there were some
7 short comings in terms of the completeness of
8 the databases that would be relied upon. And
9 again, as with the other issue 11, we are
10 looking for clarifications, primarily because
11 I think there were, since it wasn't really
12 addressed for focused on in the ER
13 specifically, we felt this is the step that
14 needed to be taken. We need to at least ask
15 the questions to get answers on it.

16 I think the NIOSH response, I am
17 being very brief on this, was to disagree with
18 the concerns that we had expressed. And at
19 the time, I think, the comment was we ought to
20 go back and take a look at the MJW QA
21 documentation of what we have done in the, I
22 think it was the early '90s and that process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And also revisit the Meyer document we had
2 reviewed but we certainly felt that okay, we
3 can go back and take a look at that.

4 And at the time, the work group,
5 this is going back I think to last summer,
6 charged SC&A with doing a detailed review of
7 both the MJW QA documentation, as well as the
8 Meyer document, which we have. Now, that was
9 a separate white paper, relatively recent, I
10 think 15 or 16 pages. But this focused on the
11 Meyer document and the MJW review.

12 And I think in general, you know,
13 we found, you know, the Myer document does
14 address I think a lot of the mainstream
15 nuclides. We did find that it was incomplete
16 in terms of addressing some of the so-called
17 exotic or other nuclides. That was kind of
18 the bottom line. There is more details in the
19 white paper.

20 And relative to the MJW document,
21 again, I think the QA process they followed is
22 pretty thorough but we found some gaps that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identified in that piece as well. So that is
2 kind of how we addressed that particular task
3 by the work group. And you have the white
4 paper on that.

5 We also did a sampling of the
6 bioassay, I guess the worker claims, the head
7 bioassays. We picked 25 individuals. We
8 picked a small sample. Again, we didn't want
9 to get into a large-scale sampling but just
10 enough that we could get a picture of how the
11 various nuclides were addressed, in terms of
12 potential exposures and what bioassays were
13 available to be used in dose reconstructions.

14 We looked at 25 claims.

15 That is addressed in terms of
16 general conclusions in the white paper. We
17 have the specifics on the individual claims
18 that we used and we are providing that
19 separate because that has identified Privacy
20 Act information, providing that separately to
21 NIOSH so they can basically see the details on
22 the 25 workers that we chose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I think, without going into
2 details, I will leave it to Bob to get into
3 those details but we certainly did use that
4 sample to highlight some questions that we
5 have and some of them have already been raised
6 about some professions about how one addresses
7 the bioassay databases and how they would be
8 used in dose reconstruction and whether or not
9 it supplies a complete picture.

10 The other thing we did, we reviewed
11 the July version of the roadmap. And I
12 understand that since then instances have
13 been added and it has been refined to a later
14 edition. But I think a lot of it was in the
15 July version that was useful. And we used
16 that as well as the available bioassay
17 databases to just look at completeness, pretty
18 much in the same vein as Mel and his crew
19 looked at, you know, whether you could map the
20 nuclides and the locations and the timeframes
21 with a bioassay technology.

22 We went further beyond the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technology to see whether or not the data, in
2 fact, whether there were bioassay results
3 available for certain time periods and certain
4 locations. So it was both the technology
5 being available but also what the status of
6 the results were, what kind of data, in fact,
7 were available as far as we could tell.

8 And there are some, I think, some
9 fairly useful tables in the white paper that
10 deal with both questions of the availability
11 of bioassay, as well as the completeness of
12 the data. I think table I and table II, I
13 think are the key tables in that document.

14 That is pretty much where we are.
15 So there are two white papers that have been
16 presented on this issue. And Bob, you can --

17 DR. BISTLINE: Okay.

18 MR. FITZGERALD: -- get into the
19 details of the findings a bit more. Those are
20 thumbnails.

21 DR. BISTLINE: Yes. Joe said these
22 papers really overlap a great deal in some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respects in dealing with the reliability of
2 the data. And some of the points that are
3 brought out in the paper on completeness are
4 some of the same basic points that were
5 brought out in the adequacy that we just have
6 been discussing for the last couple of hours.

7 So rather than getting into a lot of the
8 detail that we have already had some
9 discussion on, I think we will consider going
10 into more of the completeness of the data and
11 the review of the databases that we have done.

12 And on some of the points that we
13 talked about before, again, it is reiterated
14 here that NIOSH should demonstrate the
15 feasibility of performing dose reconstruction
16 to unmonitored potentially exposed workers and
17 particularly NIOSH should demonstrate how they
18 will reconstruct internal exposures from gamma
19 and beta emitters in the absence of gross
20 gamma and beta monitoring data.

21 SC&A assessment of plutonium urine
22 bioassay data indicates that the electronic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 file available in the Mound environmental
2 safety and health system or MESH is complete
3 and consistent with the raw bioassay records
4 available for this evaluation with a few
5 exceptions. So that data does appear to be
6 pretty complete and very useful.

7 In the case of the polonium
8 urinalysis data, a discrepancy was noted
9 regarding the number of records in the POLON
10 data file in MESH and the PORECON file, where
11 the PORECON file contains several thousand
12 more records than the POLON. The evaluation
13 of POLON data to work on and the individual
14 exposure records indicated that the three
15 sources were not all inclusive of the data.
16 And early fecal sample data and in-vitro
17 monitoring data are incomplete in the
18 electronic and individual exposure records.
19 However, other sources of this information
20 exist in log books and these data could be
21 important in the assessment of high-fired
22 oxide intakes or incidences.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Earlier, bioassay data for other
2 radionuclides can be obtained from data sets
3 compiled by the MJW during the pre-1989 dose
4 reconstruction effort. And the number of
5 sources required to compile a complete
6 internal monitoring history can be numerous
7 and may not be available in MESH and/or the
8 DOE individual exposure file for a claimant.

9 And one of the other issues that I
10 will be addressing here some is the issue that
11 the petition raises the issue of Mound plant
12 employee health records being removed from
13 Mound and buried in Los Alamos, New Mexico and
14 the Nevada test site. Records buried at NTS
15 were imaged into a searchable classified
16 records database and imaged copies are
17 available through DOE Albuquerque and the
18 office of science and technical information.
19 So, that addresses that issue or we will talk
20 about that issue.

21 Joe mentioned that there are a
22 couple of applicable tables that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important, we felt, for NIOSH to look at,
2 Table 1 and Table 2 in the report. And if you
3 notice in that column, in those columns,
4 years with bioassay data and methods proposed
5 by ORAU to reconstruct internal doses, that
6 there are numerous time periods for some of
7 these radioisotopes where there is no data
8 found and no methods proposed for the years
9 that some of these specific isotopes' data, no
10 method proposed prior to years with some of
11 these isotope-specific data.

12 And so those tables are important
13 to take a look at. And Table 2 is the
14 internal dosimetry data for other
15 radionuclides in Mound prior to 1990. And
16 again, it breaks it out by 1960s, '70s, '80s
17 and the number of workers sampled and the
18 comments on the data that was found.

19 There is limited other radionuclide
20 data in the 1960s, '70s and '80s, which
21 typically do not cover all years when
22 radionuclides were handled at Mound.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the case of strontium-90 and
2 yttrium-90, fission products, and radio
3 tracers, no internal monitoring data has been
4 located to date. Radium-226 bioassay results
5 were available for the SEC period; however,
6 they were not available from March first of
7 1959 through December 31st of 1989.

8 The results labeled as actinium-227
9 were not available from March 1, 1959 through
10 December 31, 1989. However, thorium-227 and
11 actinium-227 daughter were available starting
12 in 1989. And I think, Brad, you touched on
13 that issue during our discussion of the
14 adequacy but it is reiterated in this
15 completeness paper.

16 In summary, SC&A identified several
17 concerns related to the appropriateness of the
18 bioassay sampling program at the Mound site
19 based on the review of the ORAU 2008 paper on
20 major isotopes process material and bioassay
21 roadmaps, and the availability of bioassay
22 data from both electronic and hard copy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The actual collection of bioassay
2 data does not correspond to the years when
3 materials were handled at the site, indicating
4 that although bioassay procedures may have
5 been available, they were not implemented for
6 the entire period of potential exposure.
7 NIOSH has not explained how they will address
8 these gaps in monitoring data.

9 And in the absence of isotope-
10 specific bioassay, NIOSH is defaulting to
11 gross alpha analysis, which they have not
12 demonstrated is inclusive of all alpha-
13 emitting radionuclides at Mound. And again,
14 this gets back to the gross alpha issue that
15 we were talking about before the break some.

16 And in the absence of isotope-
17 specific bioassay data for beta gamma
18 emitters, NIOSH is defaulting to the gross
19 beta results, which have not been located or
20 to ratios derived from source terms and NIOSH
21 has not proposed a method for assessing dose
22 from these radionuclides where they compose a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 majority of all of the source terms.

2 And for some radionuclides handled
3 at Mound, such as iron-55, iron-59, iodine-
4 131, strontium-90, prior to 1993, no proposed
5 bioassay method has been provided by NIOSH nor
6 does the roadmap suggest a surrogate approach.

7 And approach for identifying bioassay gaps
8 for potentially exposed workers should be
9 developed and a determination made on whether
10 feasible methodologies can be developed to
11 account for these gaps.

12 As far as data comparison, as a
13 means of confirming the validity of NIOSH's
14 position on this matter, SC&A performed a
15 comparison between primary and primary
16 internal monitoring records, as Joe mentioned,
17 and bioassay cards available, log books, and
18 log data sheets or 24-hour urine reports and
19 electronic urinalysis data for plutonium and
20 polonium. A complete list of available data
21 sources can be found in Appendix I.

22 The goal was to characterize the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 integrity of the data in MESH, which is used
2 as a principle source of the internal
3 monitoring in the form of printout found in
4 hard copy records and to identify systemic
5 gaps that may exist in the data provided.

6 And as Joe mentioned, 25
7 individuals were identified available to work
8 in the working group and that information will
9 be made available. The goal in the selection
10 of the 25 individuals chosen for evaluation
11 was to choose claimants who worked at Mound
12 through a majority of the SEC petition period
13 and throughout the site on a variety of
14 projects. And this information was determined
15 from data available in the NOCTS.

16 In the evaluation of the 25
17 subjects for internal data completeness, it
18 became apparent that compiling an individual's
19 complete internal monitoring record required
20 pulling data from multiple sources, some of
21 which are not readily available in the
22 electronic or hard copy files. This raises

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions about how data are compiled for use
2 in dose reconstruction. And if a person goes
3 back to Appendix 2, it gets into some of this.

4 So we have some reservations with regard to
5 completeness.

6 In the SC&A sampling evaluation of
7 25 former Mound employees for internal data
8 completeness, it became apparent that the
9 number of sources required to complete the
10 internal monitoring history can be numerous
11 and may not be available in electronic form.
12 Given the circumstances, SC&A recommends that
13 the working group request further validation
14 regarding NIOSH's broad support for the
15 completeness of bioassay records for dose
16 reconstruction.

17 And Appendix III of this report,
18 SC&A recommends to the working group
19 additional completeness evaluations and/or
20 records of retrieval efforts which further
21 demonstrates whether data are available and
22 can be accurately interpreted for dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconstruction with sufficient accuracy at the
2 very least. NIOSH should be requested to
3 demonstrate how they can effectively compile
4 all internal monitoring data, including the
5 data not available in DOE individual exposure
6 record and the MESH files and demonstrate how
7 this data will be used in dose reconstruction.

8 I would like to also get into a
9 little bit on the issue of the offsite
10 records. There was considerable time spent
11 looking at the issue of the buried records,
12 which we discussed previously and at previous
13 times. The SEC raised concerns about the
14 shipment of those records to Los Alamos and
15 NTS. The issue becomes whether the buried
16 records contain dose reconstruction data that
17 are not available elsewhere and are critical
18 to conducting dose reconstruction with
19 sufficient accuracy.

20 And the evaluation report indicated
21 that three former workers involved in the
22 records transfer were interviewed and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 extensive documentation related to shipment of
2 records has been retrieved and reviewed, based
3 on the information collected and reviewed.
4 The ER indicates that the records did not
5 contain data related to employee health
6 records that would prevent the reconstruction
7 of doses with sufficient accuracy.

8 And SC&A has expressed concern that
9 the buried records may contain information
10 relevant to a dose reconstruction that is not
11 duplicated elsewhere. And this would include
12 personnel monitoring, environmental
13 monitoring, field radiological control
14 measurements, incidences and health physics
15 issues.

16 NIOSH has requested further
17 clarification from SC&A regarding the basis of
18 this conclusion, and in this report we have
19 gone into looking at some of these issues to
20 try to clarify this for you to respond to.
21 And Mound did send records, there are 1639
22 potentially contaminated laboratory notebooks,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and these records contained financial data,
2 scientific and technical reports, research
3 papers and safety analysis records, facility
4 safety analysis reports, litigation records,
5 and classified drawings out to NTS. And SC&A
6 recommends to the working group that an
7 inventory of the imaged records be reviewed
8 for potential relevance to the records of dose
9 reconstruction and be declassified and
10 retrieved, if possible, such as monitoring
11 records that may be involved in some of that.

12 A shipment of 485 boxes of inactive
13 classified contaminated or potentially
14 contaminated records was sent to Los Alamos.
15 And in 1998, 43 of the 458 boxes were returned
16 to Mound from LANL in support of the pre-1989
17 dose reconstruction project. And this is
18 something just reiterated here as a point that
19 it has been discussed before.

20 But the point that I think is
21 important is that in the inventories of these
22 records, if you look in Table 3 of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identified contents of the Mound documents
2 recovered by MJW, you will find that there is
3 a great deal of information dealing with urine
4 analysis data, incidence logs, and, if I
5 remember right, I think there were some, yes,
6 there was nose swipes and polonium urine
7 analysis data.

8 And the list appears to contradict
9 the assumptions of NIOSH and the Mound records
10 manager that no primary personnel records were
11 present in the collection and that this
12 classified collection by its general nature
13 would not be expected to contain the kind of
14 records described by SC&A. Although this
15 particular subset of the 458 boxes was
16 retrieved, imaged, and indexed, the questions
17 raised by this review include the following.

18 On what basis were these 43 boxes
19 selected? To what extent do they represent
20 random sampling of a larger collection, as
21 opposed to a complete targeted survey of all
22 boxes that some likelihood of relevance to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dose reconstruction? Did the retrieved data
2 contain new information not duplicated
3 elsewhere? And does such unique information,
4 if present, contribute significantly to
5 radiological matters influencing dose
6 reconstruction.

7 Lab books were part of the burial,
8 which may include information on personal
9 dosimetry. However, nothing unique because
10 that information in the notebook is not
11 primary information; any bioassay or dosimetry
12 records should be in the individual's
13 personnel file. This is the response which
14 NIOSH has provided and the MJW health
15 physicist involved in the LANL records review
16 in March of 1998 stated that -- this
17 individual was 99 percent sure that no
18 bioassay data were overlooked in the
19 retrieval.

20 But it was found that, in looking
21 at the data though, that two individuals had
22 data in the polonium log book records shipped

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to LANL that were found missing from the
2 polonium database, three individuals had data
3 in the polonium log book records shipped to
4 LANL that were collected prior to the earliest
5 data for the individuals in the polonium
6 database, and the fecal data identified in
7 retrieved LANL logbooks were not available in
8 other record sources at Mound.

9 So the conclusion made attempts to
10 contradict the assumption of the ER that the
11 records buried in LANL were not found to
12 contain primary employees' records. And there
13 is a list of issues here that are brought out
14 in this white paper.

15 In the ER NIOSH references
16 interviews conducted with three former
17 workers, two former record managers, and a
18 researcher, and NIOSH makes no reference to an
19 additional interview conducted with a former
20 Mound health physics person who had an
21 opportunity to review the contents of 26 boxes
22 prior to their shipment to LANL.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In this interview, NIOSH was
2 provided with a brief inventory compiled by
3 the health physicist indicating that
4 environmental monitoring, personnel exposure,
5 incident, and other information was included
6 in this subset and reviewed. In fact, this
7 information is available in the site research
8 database within the Mound records transfer
9 history by Long in 2007.

10 And SC&A provided the list of boxes
11 to OSTI to determine if any of these records
12 were available in imaged form. The column in
13 Table 4 indicates whether or not images of the
14 boxes' contents were located in OSTI. Of the
15 26 boxes reviewed prior to shipment, OSTI was
16 able to locate images for records from 13 of
17 the 26 boxes. And the remaining 13 boxes that
18 are not available to OSTI are presumed to be
19 buried at LANL. This further corroborates the
20 fact that these box numbers are not included
21 in that review.

22 And so you can see in Table 4,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is a listing of the records and bioassay
2 procedures for actinium, health physics
3 personnel monitoring data, procedure
4 development for urine and fecal analysis,
5 urine analysis spikes, and radium analysis
6 procedures are some of the kinds of records
7 that were in there.

8 In summary, the petition raises the
9 issue of Mound plant employee health records
10 being removed from Mound and buried in Los
11 Alamos, New Mexico and NTS. Records buried in
12 NTS were imaged into the searchable classified
13 database and imaged copies are available
14 through DOE. There is no indication in the ER
15 that these documents were screened for records
16 pertinent to the SEC petition. And the
17 records sent to LANL were never thoroughly
18 inventoried prior to their disposal except for
19 a small fraction of the 458 boxes that were
20 inventoried in some detail.

21 And these reviews of the records
22 indicate that the radiological data include

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 urine analysis, fecal, and monitoring
2 incidence, animal studies and procedure
3 development. The ER does not provide an
4 adequate description of how NIOSH verified
5 these buried records, particularly personnel
6 monitoring records were available elsewhere.
7 And the ER does not document any verification
8 that classified monitoring data within the
9 LANL record set and other classified record
10 sets were captured in unclassified sources.

11 So with the limited information
12 available regarding the contents of the buried
13 records, their relevance to dose
14 reconstruction and their relevance to the
15 development of the coworker or bounding
16 models, whether they are critical to
17 conducting dose reconstruction with sufficient
18 accuracy is indeterminate at this point.

19 MR. FITZGERALD: I don't want to
20 run too tight on time.

21 DR. ULSH: Oh, 12:30. Right, okay.

22 MR. FITZGERALD: I might add for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those on the phone, these are fairly detailed
2 50, 60 page white papers. So we are putting
3 Brant at a little disadvantage. But he has
4 had them for a few weeks.

5 DR. BISTLINE: He's a fast reader.

6 (Laughter.)

7 DR. ULSH: Again, we will be
8 issuing a detailed response to this report.
9 And just like our discussion earlier this
10 morning, there are a lot of issues laid out on
11 the table here and I am not sure that we are
12 going to be able to address all of them.

13 But we are looking forward to
14 looking at the data for the 25 individuals
15 that you reviewed. I know that Kathy is in
16 the process of getting that data for us. So
17 we will take a good hard look at that.

18 With regard to the buried records
19 issue again, I knew that as soon as this was
20 in the evaluation report, as soon as I saw it
21 in there, I knew that we would be dealing with
22 it over and over again. We will, of course,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at what it's in your report, but I can
2 already give you a preview of what is going to
3 happen, where we are going to wind up. We
4 interviewed the people who exist with the most
5 relevant hands-on information that is
6 available. And that is the records manager
7 who was involved in the transfer of these
8 records, and also the MJW health physicist who
9 happens to be on the line. Right, Liz?

10 MS. BRACKETT: Yes, I am.

11 DR. ULSH: Hold on. It is hard to
12 hear you, Liz. Can you speak up again?

13 MR. KATZ: Go ahead again, Liz.

14 MS. BRACKETT: I am speaking
15 directly into my hand set.

16 MR. KATZ: No, no. The volume was
17 turned down here. It is my fault.

18 MS. BRACKETT: Oh, okay.

19 DR. ULSH: So Liz, I will give you
20 a crack at it in just a second.

21 MS. BRACKETT: Okay.

22 DR. ULSH: Liz is on the ORAU team,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and she was the health physicist for MJW who
2 went down and reviewed these records. So she
3 can talk a little bit about how she chose the
4 records and what she found.

5 These are the people who are
6 directly involved with this issue. And we
7 have interviewed them. We have told you what
8 they said. And at the end of the day, what we
9 are going to have is simply a weight of the
10 evidence approach. We will address the issues
11 that you brought up about some of the records
12 that were in there. But the urinalysis and
13 other records that you mentioned were not, in
14 most cases, far and away most cases, were not
15 unique, where not the primary bioassay data.

16 So at the end of the day, it is
17 going to be the word of the people who were
18 involved in this versus speculation about what
19 might be in those boxes. That is just where
20 we are going to wind up. There is no more
21 information short of getting a back hoe and
22 going down there and digging these things up -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

-

MR. FITZGERALD: Let me just intercede. I mean, I agree this is a tough one just because you are dealing with recollections and what have you. But is there a pathway where you could validate what isn't at OSTI that was scanned and stored there? I seem to think that was -- the only thing that we could come up with was just to validate the essential. And what were the records that ended up in those locations or collections from that, the so-called buried collection? I can't recall if that was done.

I know that we discussed that. That was the only thing I could see other than that you were saying, based on recollections and whatnot.

DR. ULSH: Liz, do you want to jump in and make your comments?

MS. BRACKETT: As you already said, I am the health physicist that is mentioned in here. And then there are some quotes from MJW

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 documents that are misrepresented here in
2 their application in actually several spots.
3 The rebuttal, to my recollection, I mean, the
4 99 percent sure, that was just my feeling that
5 we had found all of the polonium data. That
6 wasn't necessarily saying that it was from Los
7 Alamos or that we looked at everything from
8 Los Alamos. But the quotes that follow are
9 from the Phase I interim report from MJW.
10 This is not the final report and, in fact, all
11 of these paragraphs that discuss records
12 stored at Los Alamos are justification for
13 going back to Los Alamos and getting records.

14 So this predates the looking, the review of
15 most of the records.

16 DR. ULSH: Liz, where are we
17 talking about?

18 MS. BRACKETT: -- is that we are
19 contradicting the ER. That in fact is not
20 correct.

21 DR. ULSH: Liz, the quotes that you
22 are talking about, where are they in the white

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 paper?

2 MS. BRACKETT: Page 28 of the data
3 completeness document.

4 MR. FITZGERALD: And Liz, is there
5 something that you are saying this predated
6 the retrieval. Is there something that was
7 more current that followed?

8 MS. BRACKETT: There is a Phase I
9 final report which I would like to quote from.

10 MR. FITZGERALD: Okay.

11 MS. BRACKETT: Well Brant said that
12 I would speak to how I chose records. I did
13 not collect the records to be reviewed. I
14 don't recall how that was done. I am sure
15 that Mound was involved because they were the
16 ones who shipped the records. I had no
17 knowledge. I don't recall if I reviewed any
18 inventories or what the process was at the
19 time. So I am afraid I can't elaborate on
20 that any more.

21 But if I go to the Phase I final
22 report, we did review a number of log books

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 returned from Los Alamos, and they sent us
2 copies. They didn't necessarily return
3 everything. There was, I think, an issue with
4 some of them being potentially contaminated.
5 So rather than ship them back, they made
6 copies.

7 And in addition to reviewing those,
8 we reviewed microfiche data, microfilm and
9 microfiche that was located at Mound and had
10 always been there, had not been shipped. And
11 the Phase I final report says that we have
12 reviewed over 5,300 historical log books
13 either in hard copy or on microfilm. And it
14 says what was discovered during the review of
15 the logbooks or microfilm at Mound was that
16 all of the Mound logbook data retrieved from
17 Los Alamos in March 1998 and requested hard
18 copy material returned from Los Alamos in 2000
19 was recorded on these microfilms. So this
20 says we found absolutely no new data coming
21 back from Los Alamos. All of it was still
22 onsite at Mound.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: So really, you are
2 categorically addressing, I think, the heart
3 of the issue. You are saying quite apart from
4 some of these quotes, you can attest that all
5 of the relevant --

6 MS. BRACKETT: I am not personally
7 attesting. I am reading the Mound final
8 report. The MJW Mound --

9 MR. FITZGERALD: Okay, you are
10 saying MJW is attesting to the completeness.

11 MS. BRACKETT: I am saying that is
12 what was written in the report ten years ago.
13 That is what the report says, that all of the
14 log book data that was retrieved from Los
15 Alamos was found in microfilm. So I would
16 assume that that is correct. That wouldn't
17 have been written if it wasn't an accurate
18 statement.

19 DR. ULSH: Now, of course, you can
20 always speculate, well --

21 MS. BRACKETT: Yes, we didn't
22 review everything. Obviously, we didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review all of the books. So I am not saying
2 that -- I am not categorically saying that
3 everything that was at Los Alamos was at
4 Mound. But what we looked at, all the data
5 that we looked at, we found again in microfilm
6 on the Mound site. It was in the basement of
7 A Building, I think. It was in the classified
8 record section where there were lots of
9 microfilms in a safe there.

10 MR. FITZGERALD: Yes, so you are a
11 source of validation, though, since you had
12 actually looked at the Los Alamos data and
13 looked at the later microfiche.

14 MS. BRACKETT: Yes.

15 DR. ULSH: So what we can say is
16 the weight of the evidence being 5,000 log
17 books that were looked at were verified to be
18 present on fiche at the Mound site. Can we
19 prove to you that there is another 5,000
20 buried in the holes that were not there? No,
21 and we are never going to be able to. But
22 there is no evidence to suggest it. That is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our position. So --

2 MS. BRACKETT: I had asked before.

3 Is this microfilm still available anywhere?

4 It was at the Mound site in --

5 MR. FITZGERALD: Well that got to
6 my other question about -- I thought the way
7 to put this to bed originally was just to find
8 the records wherever they went. And I thought
9 maybe OSTI was the location. If we could make
10 that one last step, I think that would kind of
11 put this to rest.

12 I mean, I think your validation is
13 valuable, but I think that would actually, if
14 you could find the microfiche or find out
15 where the microfiche went or where it was
16 copied, that would kind of --

17 DR. ULSH: Well, I understand.
18 What I hear you saying is that this collection
19 of microfiche, if we can locate it, verify
20 that it is at OSTI or somewhere else, the
21 question --

22 MS. BRACKETT: I believe it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 microfilm not microfiche.

2 DR. ULSH: Microfilm.

3 MS. BRACKETT: I misspoke at first.

4 DR. ULSH: Okay, so you are
5 proposing that as a follow-up action item. I
6 understand. But even if we do that, someone
7 could still speculate and say that there are
8 others that you didn't look at.

9 MR. FITZGERALD: These are just
10 degrees of validation. I think, you know,
11 originally it was one of these that didn't
12 seem to have more validation than just a
13 recollection. I think what Liz is pointing to
14 is something a little stronger. And locating
15 the microfilm would be a little bit stronger.
16 Would it be 100 percent? I don't think so.
17 But I think this was raised in the petition
18 and certainly is, as you pointed out earlier,
19 Brad, is a kind of compelling completeness
20 question to address, even though I think we
21 would admit that in the end, you can't be 100
22 percent able to validate, but I think we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be able to validate to the extent possible.

2 I would think the trail for the
3 microfilm, I mean, I think the Mound records
4 went only in a couple different, two or three
5 different directions. So you might be able
6 to, you might be able to substantiate where
7 they ended up.

8 MS. BRACKETT: I had asked about
9 this a few times, but I don't know if it was
10 ever followed up on anywhere.

11 DR. BISTLINE: Liz, this is Bob
12 Bistline. I was just wondering when you were
13 reviewing boxes or inventories, I should say.

14 Was the emphasis really on internal and
15 external radiation exposure data, or did it
16 include area sampling, air sampling, and those
17 sorts of kinds of information as well?

18 MS. BRACKETT: It was, actually it
19 didn't include external data at all. We were
20 focused only on internal. I don't recall.
21 When we were looking through the boxes, when
22 we were doing the review of the microfilm, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had several Q cleared I think former employees
2 from Mound, some retired folks. And I know
3 that they did pull up process lab books. They
4 were looking at that to see if we could look
5 at processes and air monitoring and all. But
6 to be honest, when I was at Los Alamos looking
7 at log books, I don't recall if we were
8 looking for that or just bioassay data.

9 But like I said, when these other
10 individuals who weren't health physicists,
11 they had been operational people, they were
12 looking at a broader scope than what I had
13 been focused on, I think.

14 DR. BISTLINE: Okay, thank you.

15 DR. ULSH: Okay, so that is the
16 buried records issue. Josie, I am sure you
17 are going to sum up what the action item is on
18 that. But I think it is we go and look to see
19 if we can test whether or not the microfilms
20 against which these log books were compared
21 are in fact available at OSTI or somewhere
22 else. Right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Right.

2 DR. ULSH: Okay. There were a
3 number of other issues, Bob, that you laid
4 out. And like I said, I don't know that we
5 will get to all of them. You mentioned the
6 roadmap. I guess we are going to talk about
7 that a little later so I will save that for
8 then.

9 You asked how dose reconstruction
10 will be done for beta and gamma emitters in
11 the absence of bioassay. Right? I think.
12 Yes. You want to handle that? Especially
13 strontium. I know that strontium was
14 mentioned.

15 MR. STEWART: Yes, and go back to
16 Table 1. If we could just talk about Table 1
17 for a while, I think we could iron a lot of
18 these out. I assume that when we see comments
19 in bold, those are the salient points, as far
20 as SC&A is concerned?

21 MR. FITZGERALD: Yes, I think those
22 are the question points --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STEWART: Okay.

2 MR. FITZGERALD: -- that have --
3 and this is sort of a short version of a
4 roadmap in a way but it is based on a roadmap.

5 MR. STEWART: Sure. I have some
6 comments and some questions as well.

7 MR. FITZGERALD: Right.

8 MR. STEWART: Some clarification
9 questions for our response here.

10 We talked a lot about actinium so I
11 won't address that here. We will skip over
12 americium because there is no bold statement
13 in there. When we get to bismuth-210, there
14 is no method proposed prior to years with Bi-
15 210 specific data.

16 If you are talking about the
17 precursor to polonium-210 as part of the
18 polonium process, I think the polonium
19 bioassay would be conservative in that case.
20 Bismuth-210 has a half-life of five days and
21 the polonium-210 would grow in rapidly. Also,
22 if you compare the DAC values as, I didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 realize we don't use DAC values as an index of
2 the radiotoxicity. The radiotoxicity of
3 bismuth-210 is a factor of 45 less than the
4 Po-210. So, that would be one of those cases
5 where you would look for the needle rather
6 than the haystack. Because Po-210 bioassay
7 was commonly conducted at Mound.

8 Skip over to cobalt-60. This is
9 not, again, not a pervasive radionuclide at
10 Mound. Not something that I would assume a
11 presumptive exposure to any individual onsite.

12 So this is connected with certain processes.

13 So what I am going to need to do is look at
14 the roadmap, see what these time frames are
15 and go back and look.

16 A number of times and I am sure
17 this has been pointed out before but I will
18 just belabor it. King can be unreliable in
19 some circumstances, and his dates are not
20 always correct. And also, he also lists
21 material whether it was available for uptake
22 or not. So it is not a hundred percent guide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that said this is in the atmosphere available
2 for our person to have an intake of.

3 So cobalt-60 I will want to look at
4 that. Cesium-137, you know, we just didn't do
5 a lot of work with that or cobalt-60, as far
6 as I could tell. So we are going to have to
7 see where in the roadmap this comes up and,
8 you know go back and look at it.

9 The next item, iron-55, iron-59,
10 these dates indicate that this is associated
11 with a reactor waste purification program. We
12 did talk about a dose reconstruction
13 methodology for that technology, which was
14 ended at about that time frame, '54, but in
15 fact, the health and human services
16 designation letter does not state that we can
17 reconstruct that.

18 Tritium, we will skip.

19 Iodine-131, the time frame here is
20 '76 to '81, and I am trying to understand
21 where that could have been an exposure. I did
22 find one area where it was used to test a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 waste disposal methodology. And so if anyone
2 happens to know off the top of their head what
3 process this is referring to, I would like to
4 check that out.

5 MR. KATZ: We can find it. It is
6 in the roadmap.

7 MR. STEWART: The only one I could
8 see that I saw on my quick look through there
9 was a process they used to test a method for
10 cleaning wastewater, and that was from
11 purchase of 10 millicuries of radioactive
12 material. Iodine, cobalt, cesium-137 and
13 strontium-90.

14 No, I would say there is probably
15 no bioassay for that but we have an amount and
16 we can bound the dose. If there are other
17 instances of that, you know, we can look at
18 those as they come up.

19 Manganese-54, I don't have a method
20 for that. I didn't see it because it is in
21 the Appendix B so I haven't looked at that
22 issue as yet. Polonium-210 daughters, anybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to say anything about that? Anybody have
2 any further information on why that is in
3 there?

4 MR. FITZGERALD: Yes, some of this
5 is off the roadmap, but we will have to sort
6 of go back and forth.

7 MR. STEWART: Polonium-210 has a
8 single daughter, stable lead-206.

9 Skip down now to strontium-90. It
10 keeps coming up. There was some radio
11 separation work done by -- and it is
12 documented by a couple of published papers.
13 This was done with a stock solution that was
14 used, and I don't remember the exact dates.
15 It was used to test a separation method. And
16 then the same stock solution was used ten
17 years later. We didn't come up with a method
18 to do that. We said, we know who those two
19 individuals are, and we can bound it with
20 assumptions about the amount of stock solution
21 they would have used.

22 The amounts are all written in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 papers. There may be other instances where it
2 would show up. I see that that was a part of
3 the waste disposal methodology test. We can
4 look at that.

5 Thorium daughters. It is true that
6 you won't see bioassay for thorium daughters
7 because, once again, you are typically looking
8 for the haystack rather than the needle in
9 this case. But the current TBD has a
10 methodology to assign equilibrium amounts of
11 the daughters. It is in Table 5-7. So what
12 would happen if you had a positive thorium
13 result, the TBD would drive the dose
14 reconstructor to assign equilibrium amounts of
15 certain daughters. So that methodology is
16 there. But we wouldn't expect to see bioassay
17 for each of those. And that is the last of
18 the bolded items.

19 Okay, now we go to other
20 radionuclides. Other radionuclides are an
21 issue, and I also do some work for the Los
22 Alamos site. So certainly that is an issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there as well. I believe of these we are
2 going to leave this for the detailed response,
3 rather than go through each one of these. But
4 once again, I will just point out that these
5 don't compose, for the most part, they don't
6 compose presumptive exposures for the entire
7 universe of Mound workers. Now our approach
8 will certainly take that into account.

9 MR. FITZGERALD: You have done a
10 number of dose reconstructions on Mound. What
11 are you doing now with these certain other or
12 exotic nuclides? Do you have somebody that --
13 I think this was a question that was raised a
14 little earlier.

15 MR. STEWART: Right. Yes, and I
16 have seen those data indications. They are
17 not common. You don't expect them to be
18 common.

19 MR. FITZGERALD: Right.

20 MR. STEWART: When you see a
21 process operator working SM PP, you expect to
22 see 238 as a DR. And you see 238 for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operational career. You don't ask questions.
2 You assign the dose and then you move on from
3 there.

4 And certainly the numbers of
5 individuals involved are against us here, as
6 far as seeing all the other radionuclide data
7 because there is a very large number of Mound
8 workers. Fortunately, a small number of them
9 have contracted cancer. And of those, not all
10 have put in claims. And so any one dose
11 reconstructor may not even encounter a person
12 who even had a possibility of --

13 MR. FITZGERALD: Well, I was just
14 wondering how you flagged. It may not be a
15 bioassay result, so much as where they worked
16 operationally. It just seems like --

17 MR. STEWART: And that does come
18 up. Certainly, it does. What you will see
19 is, is you will get a partial picture from
20 each record that you review. You'll look at
21 the interview. You will look at his or her
22 area of employment. You know, we'll certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at the bioassay records. And you will
2 ask yourself, are these records consistent
3 with what this person says he was exposed to?

4 I always go back to this certain
5 claim as an example because it is a claim that
6 had early employment at Mound, which means
7 that full external dose records are included.

8 The guy was one of those guys that did these
9 chemical processes, he was a chemist, and a
10 number of polonium results, sure enough, he
11 wrote a couple of chapters of the book
12 Polonium. So certainly that polonium data is
13 consistent with what he had talked about or
14 with what he had done, his work, his published
15 work while at the plant.

16 Oh, by the way, he was involved in
17 an incident where he was possibly exposed to
18 some pure radium. Well, we don't talk a lot
19 about pure radium in the TBD, but he happened
20 to be working with some. And in the claim
21 file were records of bioassay and records of
22 the incident as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, in that case, I said okay, the
2 data in the interview corresponds with what I
3 am seeing in the record. Now as a dose
4 re-constructor, when I would start to ask
5 questions would be what if he mentions an
6 incident and he doesn't have something? And
7 it certainly happens.

8 I think I brought up case number
9 one before. It was a Mound employee, and
10 there were a number of unanswered questions
11 for that case. And really all that was
12 available to us were overestimation methods.
13 And that is what we did. The guy was still
14 around. A very informed person, scientist,
15 knew a lot about what he had been exposed to,
16 and DR took forever. And basically, we just
17 had to come up with some outrageous doses
18 because we just didn't know.

19 Were they accurate? No, not at
20 all.

21 MR. KATZ: But they were over
22 estimating it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STEWART: They were over
2 estimating it.

3 MR. FITZGERALD: I'll give you an
4 example. I was interested in you mentioned
5 you really knew the two people that handled
6 strontium-90 solutions. I mean, it was sort
7 of like you key in on those individuals to be
8 able to address that.

9 For example, the worker that might
10 have worked on some of the reactor residue or
11 whatever in the early days. Yes, I look at
12 notions there is really no fission product
13 monitoring.

14 MR. STEWART: Right.

15 MR. FITZGERALD: But you know, you
16 could certainly, just by virtue of the
17 activity, figure out that might be a potential
18 there.

19 MR. STEWART: Sure.

20 MR. FITZGERALD: But you know,
21 without, you know, we are looking at this and,
22 well, there's no real results. How would you,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, factor that in, if there is not a
2 basis?

3 MR. STEWART: Since the HHS
4 designation letter came out, I wouldn't.

5 DR. ULSH: Well, a couple of things
6 to keep in mind, Joe. In terms of the reactor
7 waste program where you are going to see the
8 fission products, that occurred in the early
9 '50s, which is during the SEC period.

10 MR. FITZGERALD: But there was, I
11 mean I guess we hadn't talked about later, but
12 I mean, you are saying basically again the
13 presumption is that there really isn't any
14 residues until perhaps the D&D period.

15 DR. ULSH: Yes, I would say so. I
16 mean, not for fission products. It was such a
17 small, short-lived program.

18 But to answer your larger question,
19 for these situations where we know exactly who
20 was involved, the strontium example, our
21 assertion is the thorium program would be one.

22 We will get to this later, but the most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 insoluble of the metal tritides, we know
2 exactly who could have been exposed to that.

3 I think what we would do certainly
4 going forward. I mean, you may or may not be
5 aware that the Mound TBDs are under revision.

6 A lot of that is going to try to capture the
7 outcome of this process. But when that
8 revision happens, I think what we would do for
9 those situations where we know exactly who was
10 involved, we would want to compile that
11 somewhere so that if we get a claim in, we can
12 flag that person and say okay, he should be
13 considered for this particular element.

14 DR. ULSH: Yes, but let me just
15 tell you. I mean, it sort of keys in on you
16 either know who the individuals are, you know
17 the activity. There are two or three
18 different flags, or you just pick it up by
19 virtue of what they volunteer in the CATI
20 interview or something. If that were the
21 process, then I think a lot of these would be
22 addressed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now there are some that I think,
2 you know, it is unclear to me how you would
3 address it because it is difficult for an
4 individual to know they were in the operation
5 or the time period or whatever. And D&D is
6 another question entirely. But certainly that
7 would be a method to tag a small number of
8 potentially exposed workers to a particular
9 nuclide and address the ability to monitor,
10 the ability to do dose reconstruction.

11 MR. STEWART: Sure, and that is a
12 comment, peer review comment, that we get a
13 case sent back with DR is that, you know, the
14 individual described some potential exposures
15 that you didn't address. You know, why didn't
16 you look at this particular radionuclide.

17 MR. FITZGERALD: I guess what we
18 are coming to this is also in the adequacy, is
19 what is the strategy or approach to addressing
20 other nuclides. You know, not the mainstream
21 but the other nuclides. Not involving a lot
22 of people. Very specific operations. Very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific narrow time periods. Certainly, you
2 are going to come across them but not very
3 often. And what is the approach?

4 And I think when we look at the ER,
5 I think we can understand it and it is very
6 clear that the mainstream nuclides were
7 addressed pretty thoroughly and there was a
8 lot of data, clearly. But for these sort of
9 cats and dogs, these other nuclides, and this
10 is the same with other sites, it wasn't quite
11 as clear in the ER what the approach would be.

12 And I think beyond all this sort of
13 analysis of where the holes and gaps are, I
14 think that is the underlying question. What
15 would you do? What is the strategy for making
16 sure that, you know, even the absence of data,
17 the exposure potential is recognized and
18 something is done.

19 DR. ULSH: Well, I think in terms
20 of -- this is an issue that may pop up later.
21 I suspect it will, demonstrating sufficient
22 accuracy, you know, that whole issue. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we would be obligated to provide to you
2 would be a sample dose reconstruction, where
3 we would show how we would address potential
4 exposure to some of these other radionuclides.
5 That I would certainly agree is something that
6 we need to --

7 MR. FITZGERALD: Yes, we are kind
8 of -- that is kind of what we are talking
9 about is --

10 CHAIR BEACH: You are talking about
11 a basic walkthrough from the start of dose
12 reconstruction how you handled it and what you
13 just said.

14 DR. ULSH: Yes, I mean, it is
15 typical that a sample dose reconstruction is
16 going to be provided for other sites. It
17 would actually be a dose reconstruction report
18 for a fictional claimant, of course, where we
19 would demonstrate that methodology.

20 Now, in terms of -- I mean, there
21 is a couple of questions wound together here,
22 Joe. One is can we demonstrate a bounding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technique. That, I think, is a valid SEC
2 question we need to answer.

3 MR. FITZGERALD: Right.

4 DR. ULSH: But in terms of who you
5 apply that to, and this goes back to the
6 discussion that we had at the previous working
7 group meeting --

8 MR. FITZGERALD: I know. Right,
9 right.

10 DR. ULSH: -- that will probably
11 come up later.

12 MR. FITZGERALD: Yes.

13 DR. ULSH: Our position is still
14 that that is not an SEC issue. That is a dose
15 reconstruction issue. I know that there may
16 be some dissension on that.

17 MR. FITZGERALD: I know. I would -
18 - it is getting closer to lunch. So I would
19 like to unpack that question about the
20 bounding model bounding approach versus the
21 enabling parameters or data that would make
22 that model feasibly useful at a particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 site. And I think maybe to some extent with
2 stable tritium compounds and some of the other
3 issues, we are going to get into that. I
4 mean, I think that is a central question. We
5 don't probably need to do it now but we are
6 going to have to get into that question.

7 But I think we have an honest
8 disagreement about whether or not one can
9 refer to the implementing data, the site-
10 specific data that would enable that model to
11 be effective and feasible to that site, to be
12 a site profile question. But, you know, I
13 think we have touched on it, but we really
14 haven't had that discussion. So I think it is
15 a good discussion to have.

16 DR. ULSH: Preview of things to
17 come.

18 MR. FITZGERALD: Right.

19 CHAIR BEACH: I think Kathy.

20 MS. ROBERTSON-DeMERS: I have a
21 question. Okay, you go to the employee file
22 and you look at the CATI interview when you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are doing the dose reconstruction. And we
2 have demonstrated that it is not complete. It
3 doesn't have all the bioassay data. So what
4 do you plan on doing with respect to all the
5 other bioassay data that is out there?
6 Somebody may say I was involved in the radium
7 or thorium processing, but the data may not be
8 available to you, and you may assume that they
9 aren't because the data is elsewhere.

10 DR. ULSH: Well, you made a
11 statement there that I think we are going to
12 have to evaluate. And that is that you have
13 demonstrated that the data are not complete.
14 I assume when you say that, you are talking
15 about the dosimetry files provided to us by
16 the Department of Energy.

17 MS. ROBERTSON-DeMERS: Right.

18 DR. ULSH: Okay, now keep in mind
19 that is a statement that was made in your
20 white paper that we have not yet responded to.

21 So I am not prepared at this time to grant
22 that that is the case. We have to take a look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at it and we will respond. But we haven't, I
2 mean, we haven't look at that yet.

3 MR. FITZGERALD: Well, we did touch
4 on the fact that there are going to be
5 instances where the data just isn't available
6 for whatever reason. And that gets into, you
7 know, location-specific, activity-specific
8 operations. And there is other tags that one
9 can use to get into a application of a --

10 DR. NETON: We have encountered
11 this before a number of times --

12 MR. FITZGERALD: Yes.

13 DR. NETON: -- I mean, where you
14 have laboratory sources and quantities and I
15 think we can produce, for those kind of
16 situations, bounding values based on what
17 happened or what did happen.

18 MR. FITZGERALD: Yes.

19 DR. NETON: I mean, because the
20 chemist working with liquid solutions and is
21 doing some extractions and never goes to dry,
22 I would question whether there was any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 potential for an inhalation exposure, anyway.
2 So that becomes very much a case-by-case
3 analysis.

4 MR. FITZGERALD: Yes, and it is a
5 familiar discussion. And we have had it at
6 various sites. In some cases, it covers
7 everything but. And there is some good
8 reasons, whether it is fission products at Los
9 Alamos. There are good reasons why you just
10 can't get there from here.

11 So we are at that process where we
12 just sort of identify what seems to be the
13 holes, but I suspect that we will hear and
14 understand how those holes will be addressed
15 in terms of an upper bound approach. That is
16 kind of where we are at now.

17 MR. BUCHANAN: I have a question.
18 This is Ron Buchanan. You do not presently
19 have a list of these 20 or 15 or 5 people that
20 when a person does a dose reconstruction and
21 says hey this guy was assigned to this group
22 of five or something of these special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 isotopes.

2 Right now, you look at where the
3 person worked and say well, we should
4 investigate this, maybe. But you don't have
5 that in place at this time. Is that correct?

6 DR. ULSH: It is not our routine
7 policy right now. I mean, for instance, let's
8 talk about special metal tritides, the most
9 insoluble of the tritides. We know who the
10 dozen or so people are. We don't currently
11 flag every Mound dose reconstruction to bounce
12 against that list of 12. That is something
13 that we are going to need to implement.

14 Does that answer you question?

15 MR. BUCHANAN: Yes, thank you.

16 CHAIR BEACH: Okay. And then from
17 a Working Group standpoint, I would like to
18 request NIOSH, of course, we have mentioned it
19 a couple of times, answer in detail the SC&A's
20 white paper with a, you know, response to all
21 the questions that were asked within the
22 Appendix 2 and 3. And then once again, I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to push for an end date in the one to
2 two month time period. That may be tomorrow
3 at the end of the day, as we discussed with
4 the first white paper that we will come up
5 with some kind of a closure time to have a
6 white paper on the table for the working
7 group.

8 Again, if that is acceptable.

9 MR. STEWART: I would suggest we
10 consolidate this paper with the one we
11 reviewed before the break.

12 CHAIR BEACH: Consolidate them into
13 one white paper?

14 MR. STEWART: Our response. Our
15 response.

16 CHAIR BEACH: That's fine.

17 MR. FITZGERALD: It was, actually,
18 one consolidated paper but it got to be a
19 little unwieldy so we split it. But that is
20 fine.

21 CHAIR BEACH: Yes. My concern as
22 long as the questions are answered within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, that is fine.

2 MR. FITZGERALD: Yes.

3 CHAIR BEACH: Anybody else have
4 anything from the Work Group?

5 MR. FITZGERALD: Well, I would
6 support the suggestion if not know some point
7 to walk through some of these sample dose
8 reconstructions just because I think it would
9 clarify, you know, pretty much where this all
10 started from. You know, we couldn't really
11 get from the ER what the approach would be for
12 these other nuclides. I think we touched on
13 some of the possibilities, but it would be
14 much clearer if we could see a few samples.
15 And really one step is to sort of test the
16 envelope. You know, there are several
17 possibilities here that you could certainly
18 run through, and that would kind of push the
19 envelope and say okay, this is a pretty tough
20 one. I mean, there is no data and you would
21 have to do this or that. But that would be
22 helpful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Well, and I think
2 Brant agreed to do one, but how many would you
3 like to see?

4 MR. FITZGERALD: I would leave it
5 up to NIOSH. I think it would test the issues
6 which, you know, we have talked about multiple
7 exposures, we talked about exposures where
8 there is no data. You know, just some of the
9 ones that will be the harder ones. If you
10 happen to know the two people that were
11 exposed to strontium-90, I wouldn't propose we
12 do that. I think that is a lot clearer how
13 you would approach that. But the ones that,
14 from your vantage point would challenge the
15 dose reconstructor. I am not saying it is not
16 doable but would be a challenge.

17 I think that would help understand
18 what the approach would be for some of these
19 exotics without spending a lot of time.
20 Because I think the matrixes are useful but
21 the how part is the part that is going to be
22 the most valuable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STEWART: Sure, and I think a
2 lot of the issues that we have gone back and
3 forth on are fundamentally understanding
4 issues between how we do it and how -- it is
5 just not easy to communicate --

6 MR. FITZGERALD: Well, I don't want
7 to spend time talking -- in a sense what we
8 are saying is well we see there is no results,
9 and the implication is how can you do it
10 without results. I would like to see it is
11 sort of okay, what is the approach. You
12 acknowledge the results are not plentiful, but
13 how would you go about doing the ER. I think
14 that would help.

15 CHAIR BEACH: With details. And so
16 I have got three action items out of this
17 discussion. Does anybody else?

18 I had NIOSH respond in detail to
19 SC&A's data completeness white paper. And
20 then I had locate the microfilm from Mound for
21 SC&A to look at, and then the sample dose
22 reconstruction, which could be in addition to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the detailed answer. But not to lose focus of
2 any of those.

3 DR. NETON: Did we actually agree
4 to take that action item to find the
5 microfilm? I mean, I think it was open for
6 discussion.

7 CHAIR BEACH: Oh, was it?

8 DR. NETON: I don't recall that we
9 said we would go find the microfilm. Did we?

10 CHAIR BEACH: I am sitting next to
11 Brant. I am pretty sure I heard him say that.

12 DR. NETON: I still wonder what the
13 value of finding it is, other than to say it
14 exists. It is not some fictitious cadre of
15 microfilm.

16 MR. FITZGERALD: Well, I think
17 there is certainly a universal sense that the
18 only thing we have is recollections. It would
19 be useful to know that whatever was generated,
20 what Liz was pointing to is in fact still in
21 the holdings. I think the petitioners raised
22 it, and it is in the ER, and it sort of has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been, I think, Brant characterized it as sort
2 of a bit of a problem because there is no way
3 to really --

4 DR. NETON: Well see my problem is,
5 I mean, that creates the expectation if you
6 don't find it there is some hole. And I am
7 not sure that if we never found it, we would
8 change our approach.

9 MEMBER ZIEMER: Josie, could I make
10 a comment here?

11 CHAIR BEACH: Yes, Paul, please.

12 MEMBER ZIEMER: Well, I don't
13 regard the MJW report of ten years ago
14 recollection. The report is the report. So I
15 think that has its own level of reliability.
16 You know, you can accept what they found.

17 The question of whether or not you
18 have to go back now and find those things that
19 they looked at seems to me problematical.

20 MR. FITZGERALD: To find the
21 microfilm is problematic?

22 MEMBER ZIEMER: No, no, I am saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that clearly they exist or existed, and the
2 verification was done at that time. That is
3 not just recollections. I mean, you have that
4 report.

5 DR. ULSH: Well, you raise an
6 interesting question. I think if Liz -- Liz,
7 are you still on the line?

8 MS. BRACKETT: I'm here.

9 DR. ULSH: Okay. Well, when you
10 say that the log books were found in the
11 microfilms, I assume, and you jump in here and
12 correct me if I am wrong, but any bioassay
13 data that was found was incorporated in MJW's
14 databases, the PORECON and PURECON.

15 MS. BRACKETT: Yes, and in fact, I
16 meant to point this out earlier, too. In one
17 of these white papers, I think it might have
18 been the quality assurance one rather than
19 this, but there is mention, there is quotes
20 from MJW saying that there were gaps in the
21 log books that we didn't find. But in fact,
22 there is no gaps in the data. So the log

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 books weren't the only source of bioassay
2 data. The were cards also. The log books
3 were used only to verify data that were
4 already there.

5 So when we found log books, we
6 verified data that we had, and I guess there
7 are some instances where we found data that
8 had been missing. But anything, in that case,
9 would have been entered into the database.

10 MEMBER ZIEMER: Well, we can
11 discuss that further. I just wanted to make
12 that observation. Could I make a couple of
13 other comments at this point?

14 CHAIR BEACH: You bet.

15 MEMBER ZIEMER: Yes, one is more of
16 a question. Josie, did you distribute six
17 documents? I have only located three, and I
18 think the ones that we have discussed I don't
19 have.

20 CHAIR BEACH: You were actually, I
21 sent you three that were cleared documents.

22 MEMBER ZIEMER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: And there were others
2 that were supposed to come out this morning
3 that I have not seen.

4 MEMBER ZIEMER: Oh, okay.

5 MR. KATZ: But Paul, they weren't
6 sent out in cleared version, you know, privacy
7 and reviewed version.

8 CHAIR BEACH: Thank you.

9 MR. KATZ: But they were sent out
10 previously in the protected version.

11 CHAIR BEACH: Yes.

12 MR. KATZ: So you would have
13 received them all much earlier than that.

14 MEMBER ZIEMER: How much earlier?

15 MR. FITZGERALD: April time frame.

16 MEMBER ZIEMER: Oh, okay. Then,
17 and I will go back in my other files and see
18 if I can pick those up but I only have the
19 three here with me.

20 The other comment, and this deals
21 with cesium and strontium and I think those
22 are minor players in reality. But I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to point out that for the time periods
2 of the 50s and the 60s, virtually everybody in
3 the country had body burdens of cesium and
4 strontium that were detectible and, in fact, I
5 know when we did whole body counting through
6 the '60s of non-nuclear people from anywhere,
7 we always had interference of cesium from
8 atmospheric weapons testing, even though the
9 testing in the atmosphere ended in the late
10 '50s, you still detected it in people's bodies
11 on through the '60s. So, if in fact, I don't
12 know if anyone is using gross beta for those
13 urinalyses, but it would be very surprising if
14 you didn't see cesium anyway. It certainly
15 was easily detected with whole body counters.

16 I don't know how readily you would see it
17 with gross beta urinalysis. But it certainly
18 was there. And the same is true of strontium.

19 In fact, you might recall the
20 concerns about strontium in children's teeth
21 through that period, just as a comment on the
22 fact that there was a background body burden

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to start with.

2 MR. STEWART: Yes, and that is
3 taken into account in our TBDs, we use NCRP
4 guidance to look at those background levels so
5 when we see cesium-137, we will usually
6 subtract it out.

7 MEMBER ZIEMER: Use that baseline.

8 MR. STEWART: Yes.

9 MEMBER ZIEMER: Okay, very good.

10 MR. STEWART: Yes, and just to
11 clarify one point that seems to be coming up
12 regularly at these meetings, we don't use
13 gross beta at Mound.

14 MEMBER ZIEMER: I didn't think so.
15 Someone was talking about it earlier.

16 MR. STEWART: We had an
17 unfortunate, I think, slip in the ER where it
18 showed up, possibly there. But in fact, we do
19 not use gross beta at Mound.

20 MEMBER ZIEMER: Right. Very good.

21 DR. ULSH: So what is the status on
22 the microfilm?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: You tell us. Are you
2 going to look for it?

3 DR. NETON: I feel like I'm missing
4 something. Let's say we did find it. What
5 would be the use? What would be done with it?

6 DR. ULSH: Well considering all
7 that -- considering my take on it, the
8 question that I asked Liz that the useful
9 bioassay data was already pulled out and
10 included in PORECON and PURECON.

11 DR. NETON: Right. So it would
12 essentially be a verification that MJW did an
13 adequate job pulling out the bioassay data.
14 Is that correct?

15 MR. FITZGERALD: Well, it would
16 also be a validation. I think what Liz was
17 saying earlier is that what they retrieved
18 from the Los Alamos records were in fact put
19 on the microfilm and she was there when that
20 processing was done and the comment was, you
21 know, clearly, there is a record. There is a
22 microfilm record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I will defer to the workers. I
2 mean, at this stage, it is a question and I
3 don't disagree with Brant, it is a degree, a
4 validation and if the work group is satisfied,
5 it has sufficient validation based on the MJW
6 record, plus Liz's comments. I mean, that is,
7 you know, I think this is a judgment call as
8 to how much validation does a work group need.

9 CHAIR BEACH: And do you have a
10 comment, Kathy?

11 MS. ROBERTSON-DeMERS: Well, there
12 is two sets of microfilm that we are talking
13 about here. One of them is the microfilm that
14 was sent to or that was created from documents
15 that were sent to Nevada for burial. And I
16 think one of the suggestions was to just go
17 through that data because it is classified and
18 see if there is any relevant monitoring data
19 in it.

20 DR. NETON: Wait a minute. Wait a
21 minute.

22 Where are these micro -- these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 records are then.

2 MS. ROBERTSON-DeMERS: These are
3 the records that we referred to as the DOE and
4 I will refer to it --

5 DR. NETON: That is not --

6 MS. ROBERTSON-DeMERS: -- with
7 respect to --

8 DR. NETON: -- a new record search,
9 though. Those records exist and they can be
10 looked at.

11 MS. ROBERTSON-DeMERS: And I think
12 what we were recommending is that you go
13 through the inventory and make sure that there
14 is nothing --

15 DR. NETON: Well that is fine.

16 MS. ROBERTSON-DeMERS: -- nothing
17 there.

18 DR. NETON: But what I was
19 questioning is the value of going and trying
20 to find microfilm records that Liz evaluated
21 already.

22 MS. ROBERTSON-DeMERS: Okay, well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is a second set of microfilm.

2 DR. NETON: Okay, that is what I am
3 talking about.

4 MS. ROBERTSON-DeMERS: Okay, that
5 set of microfilm is available at OSTI.

6 DR. NETON: Are you certain of
7 that?

8 MS. ROBERTSON-DeMERS: That is what
9 the records manager at Mound documented as far
10 as how they progressed through the process.

11 DR. NETON: Okay and so if they are
12 available at OSTI, what value would they
13 provide if we went to get them.

14 MS. ROBERTSON-DeMERS: Now, these
15 are classified again. Okay? And it might be
16 beneficial to do a spot check on some of the
17 personnel monitoring data to make sure that
18 all of that classified data was captured.

19 DR. ULSH: But didn't MJW do that?

20 DR. NETON: MJW already did that.
21 This would be QC check on MJW's work. That is
22 what I am hearing. And we have a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 projects going on here and I am just trying to
2 save resources where it is appropriate.

3 MR. ELLIOTT: If I may? This is
4 Larry Elliott.

5 NIOSH has stated its position and
6 we are going to stand by that. We don't have
7 any reason to question the report from MJW.
8 If the Working Group feels that it something
9 they want to pursue, then I suggest you take
10 it up with OSTI and pursue it in that regard.

11 Brant, you don't have any need to
12 go back and look and validate further in this
13 regard? Yes or no?

14 DR. ULSH: No, no.

15 MR. ELLIOTT: I mean, if you want
16 to go there. But I am just saying, otherwise,
17 we are done with that.

18 CHAIR BEACH: Okay, well I think at
19 this time what I would like, just speaking for
20 myself and the Working Group, is that we will
21 wait for NIOSH's response to the white paper
22 and go from there, if the rest of the working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group is in agreement. I mean, speak for
2 yourselves.

3 MEMBER ZIEMER: That is why to get
4 the official response from NIOSH. I already
5 stated what I felt about --

6 CHAIR BEACH: Yes.

7 MEMBER ZIEMER: -- the MJW report.
8 I think there is no reason not to accept
9 that. I don't think that report is suspect at
10 all.

11 MS. BRACKETT: This is Liz
12 Brackett. I just wanted to say, you know, you
13 are saying that you are accepting our review
14 but I just wanted to make sure that everybody
15 understands. You know, we were only looking
16 for internal bioassay data.

17 MEMBER ZIEMER: Right.

18 MS. BRACKETT: So you know, we are
19 not speaking as to the complete universe of
20 what might have been included in those
21 records. So that was clear.

22 CHAIR BEACH: That is a good point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thanks, Liz.

2 DR. NETON: I think -- good point.
3 We can respond and put our position on the
4 table when we evaluate the report.

5 CHAIR BEACH: Okay. And at this
6 time, if there is nothing else, it is lunch
7 time and we will reconvene, what do I have,
8 about 20 to 1:00 or 20 to 2:00?

9 MR. KATZ: It is about 20 to 1:00
10 right now.

11 CHAIR BEACH: So, 20 to 2:00.

12 MR. KATZ: One hour, about 20 to
13 2:00. Thank you everyone on the phone.

14 MEMBER ZIEMER: Josie or Ted?

15 MR. KATZ: Yes.

16 MEMBER ZIEMER: Ziemer here. I may
17 not be with you at that time. If not, I will
18 certainly be back in the morning.

19 MR. KATZ: Okay. Thanks, Paul.

20 MEMBER ZIEMER: Okay. Bye-bye.

21 (Whereupon, at 12:38 p.m., a lunch recess was
22 taken.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

2

3

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

4

(1:41 p.m.)

5

6

7

MR. KATZ: This is Ted Katz with
the Mound Working Group. We are reconvening
after lunch. Welcome back.

8

9

10

Let me check on the phone to see
whether we have Dr. Ziemer. Paul are you with
us?

11

12

MS. ADAMS: Yes, Ted, we can hear
you.

13

14

MR. KATZ: That is Nancy. I was
just checking to see if Paul was with us.

15

16

CHAIR BEACH: He thought he
wouldn't be with us.

17

18

MR. KATZ: Oh, that's right.
That's right. This afternoon. That's right.

19

Exactly.

20

21

Okay and by any chance, Bob, have
you joined us? Bob Presley?

22

CHAIR BEACH: He said he would not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be with us.

2 MR. KATZ: Okay, he said that he,
3 he sent me an email saying he might call in
4 from time to time.

5 Okay, then otherwise, just to
6 remind the folks on the phone, please keep
7 your phones on mute, *6, if you don't have a
8 mute button. And Josie?

9 CHAIR BEACH: Okay, we are going to
10 shift gears just slightly and go into adequacy
11 and completeness of external dose. And Joe,
12 did you have a brief history on this also?

13 MR. FITZGERALD: Yes, just a little
14 history on this thing.

15 This is the sort of the sister
16 question of reliability to the internal dose
17 discussion we had this morning. And again, I
18 think it has been one of the charges that the
19 Work Group and the Board has had and has asked
20 SC&A to look at as reliability.

21 In terms of external dose, we
22 certainly indicated that there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conclusion that the external dose was adequate
2 and sufficient for dose reconstruction. So
3 what we proceeded to do was a limited
4 sampling, as we did with the internal. In
5 this case, we felt that based on the
6 interviews we had with the workers and the
7 dose records, we felt that quite frankly, it
8 was a fairly complete database on external but
9 wanted to do the sampling just to again
10 compare the electronic database with the
11 originating documents that were on the file in
12 terms of external exposure.

13 So, we did 22 cases and Ron
14 Buchanan, who I think has spoke to the Work
15 Group and you have heard from him last year on
16 some of these same issues, I think what we can
17 do is recap. We have already kind of briefed
18 out this issue. But just to bring everybody
19 up to speed and make sure we put the Work
20 Group in a position to come to a conclusion of
21 some sort, we are going to go ahead and walk
22 through that one more time. So perhaps you an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talk about the sampling we did and some of the
2 results. And also, I guess, there is a
3 corollary issue on integrity. There are two
4 issues pending now.

5 MR. BUCHANAN: Okay, this is Ron
6 Buchanan and I followed up last year on some
7 of the questions on the completeness and
8 integrity of what the external dose data was.

9 And what I found was that there was original
10 handwritten data sheets which is what I wanted
11 to go back to and compare them to the latest
12 MESH database that the dose re-structor
13 actually uses. And the thing was if there was
14 a limited amount of handwritten datasheets,
15 there was only handwritten datasheets for the
16 '50s and '60s and then there were some
17 handwritten summaries through '68.

18 And so what I did, I have compared
19 the original cards, handwritten summaries and
20 the latest MESH database for 22 cases that I
21 selected out of 698 claims. There was a total
22 of 698 claims on Mound at the time; 447 of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those claims had starting dates of January of
2 1950 or later, in the '50s and '60s where I
3 could find some original data sheets.

4 And 22 of these claims I selected,
5 20 were workers, process workers or whatever,
6 technicians that could have had some dose. I
7 did select two that were secretaries and
8 something else that probably shouldn't have
9 had any records. I wanted to check and see if
10 the badging policy was consistent with what we
11 thought it was. And so I went through about
12 4,000 pages of DOE records for these 22
13 claims. I found that 19 of them did have the
14 summary data sheets, so there was three
15 benchmarks that you could compare. There was
16 about 530 years worth of total work history.

17 The result was that -- now this is
18 a small sampling of claims but we just wanted
19 to see if there was a problem or not and so
20 that is the reason we did that, a small
21 number. This represents five percent of the
22 total claims that had data after 1950 and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 found that 100 percent of the claims had MESH
2 data sheets in their DOE records. They had
3 the original cards, photographs of original
4 cards, photographs of the summary. And then
5 also in the MESH database files were in their
6 records sent them, to NIOSH by DOE.

7 We found that 100 percent of them
8 had DOE records. Even the two that didn't
9 weren't monitored. We found that 99.6 percent
10 of the photon dose was correctly transferred
11 from the cards to the MESH database and there
12 was only one 20 millirem dose that was left
13 off of the very early records. All the other
14 records matched.

15 We found that 100 percent of the
16 neutron dose was correctly transferred from
17 the original cards to the MESH database. Now
18 we did find the only area that we noticed a
19 difference in was that while the original
20 cards would have dashes or blanks in the MESH
21 database, apparently goes in and puts in a
22 zero if there is a dash or a blank in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 original card. And so there is a lot of zeros
2 contained in the MESH database, which aren't
3 on the original cards.

4 And so this might give the dose
5 re-constructor the idea that the person was
6 monitored when they weren't and the actual
7 result was zero. And so that was the only
8 real discrepancy we found.

9 As far as actual positive dose, the
10 zeros, the recorded zero and the recorded
11 positive dose were 100 percent accurate but
12 there was zeros in the MESH database. Really,
13 they weren't monitored during that period.
14 And what this could lead to would be if the
15 worker should have been assigned coworker dose
16 or something, he was in a radiation area, if
17 the dose re-constructor uses zero, he would be
18 assigned a lower dose than if he would be
19 usually assigned a coworker dose.

20 And also it could make the coworker
21 database, if the coworker database was taken
22 from the MESH database, it would make it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 towards the low side, including the zeros.

2 So our conclusion was and realized
3 we only did this, we only had to do this
4 comparison for the '50s and '60s because there
5 was no handwritten data after '68. And so the
6 '70s and '80s we couldn't compare to see if it
7 was transferred.

8 And you had your original
9 handwritten datasheets. You had your
10 handwritten summaries and then you had
11 electronic systems up until you ended up with
12 a MESH data system, which was the latest.

13 But this was kind of a snapshot, a
14 spot check of the 40, 50 years worth of data
15 transfer. And we did not see anything that
16 would indicate a problem.

17 MR. FITZGERALD: I think the notion
18 was if we had seen something that was pretty
19 pronounced, we would have gone back to the
20 workgroup and said, you know, we need to
21 perhaps do additional sampling. But since the
22 sampling came out pretty consistently solid, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that is where we are. That is where we
2 have been on that issue.

3 CHAIR BEACH: I guess the only
4 question I would raise is back on those zeros
5 and would ask NIOSH to explain if that is in
6 fact a concern.

7 DR. ULSH: I can take a crack at
8 that.

9 I understand what you are saying,
10 Ron, about this might lead a dose
11 re-constructor to conclude that someone was
12 monitored when in fact they were not
13 monitored. And you said in that situation,
14 that might lead the dose re-constructor to
15 assign missed dose instead of coworker dose.
16 Well, you are right. I mean, that is the way
17 that we tend to do things. But a Mound, you
18 have to understand we don't have an external
19 coworker model because it is our position that
20 if you had external exposure potential, you
21 were monitored with one exception. And that
22 is, for neutrons, people who went into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facilities on what were called visitor badges,
2 visitor meaning not -- it doesn't mean that
3 someone wasn't a Mound worker. It means that
4 they were not permanently assigned to that
5 building.

6 For instance, in the early days in
7 SM Building, we know that they did this. If
8 you were a plumber or a pipe fitter, you know,
9 called up to the SM building, for instance, it
10 wasn't your permanent assigned building. You
11 would be assigned, you could be assigned a
12 visitor badge. So you would have a photon and
13 a neutron visitor badge. And if, unless the
14 photon badge gave above a certain reading, and
15 I don't recall exactly what that was, they
16 wouldn't read the neutron badge.

17 So that is one situation where you
18 might have an unmonitored dose, and we are
19 proposing, and as you know, from our
20 conference call earlier, you know, like in the
21 past month here, for our neutron dose
22 reconstruction methodology, in that case, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are proposing for the early years, a neutron
2 coworker model to handle exactly that
3 situation.

4 But that is not built from MESH
5 data. That is built from the health physics
6 progress reports. So you wouldn't have that
7 kind of initiative.

8 For the other situations where you
9 have a zero, for instance, at Mound what would
10 happen is we would typically assign, because
11 of our position, and this goes back to what
12 workers have told us and what Meyer has said
13 in his history of dosimetry that if you had
14 the potential for external radiation
15 exposures, you were monitored.

16 So, conversely, if you didn't have
17 monitoring, that would indicate that you did
18 not go into the radiation areas and we would
19 assign environmental dose. So, what you are
20 talking about is assigning a missed dose,
21 instead of an environmental dose. And I would
22 present to you that that is claimant favorable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because the missed dose that we assign is
2 always far higher than the environmental dose,
3 environmental external.

4 Don do you have any? Since you do
5 the dose reconstruction --

6 MR. STEWART: That is right there.

7 That is correct. The draft TBD that will be
8 turned in soon for ADC review, actually brings
9 out that exclusive point, that some of these
10 zeros are artifacts of the record keeping
11 system rather than actual zero dose results or
12 less than LOD results.

13 We typically just leave those alone
14 because we don't have any way to say that they
15 are not actual instances of monitoring.

16 DR. ULSH: And it is claimant
17 favorable to assign a missed dose.

18 MR. STEWART: And it is claimant
19 favorable. We have discovered some years
20 where we can recover doses by dosimeter, using
21 the HP number and the TBD will include those
22 years. Typically, we won't do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Typically, we will say he has got
2 zeros in 1968, therefore, we will assume he
3 was monitored for the whole year. Whereas, if
4 that one item made that case compensable, we
5 could go back and reconstruct the dose for
6 1968 and find out how many zero dosimeter
7 results there were, if in fact there were any
8 for that. You would have complete records for
9 '68, '69 and a couple of years in '70s prior
10 to the time when we have complete records in
11 MESH.

12 But yes, we have one provision, one
13 TIB that we can go back and in some cases, we
14 cannot assign missed neutron dose. And that is
15 TIB-23. This is a complex-wide TIB that is
16 used. It was used to get rid of some of the,
17 or to rule out, neutron doses in the face of
18 many negative photon results because we were
19 just assigning very high doses. Because Mound
20 is not the only site that will have artifact
21 zeros.

22 In fact, we don't apply that very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much at Mound because I mean, if you have got
2 some instance of a positive neutron dose in
3 the worker's history, you can't just simply
4 say oh, well, he must not have been monitored
5 all except for that one year. But typically,
6 we don't apply that at Mound. It is possible
7 to use that, if all the conditions are met
8 from the TIB.

9 And that's it.

10 CHAIR BEACH: Anybody else have
11 anything?

12 MEMBER CLAWSON: I was just trying
13 to follow through. You said that you did this
14 sampling up until the '60s. It was through
15 the '50s?

16 MR. BUCHANAN: Yes, there was only
17 the original ones that I could compare to
18 during the '50s and '60s. The handwritten
19 cards and summaries ended in '68. And so,
20 from '69 forward there was no handwritten
21 cards to compare them to.

22 MEMBER CLAWSON: So then you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just going to the MESH?

2 MR. BUCHANAN: Well, I couldn't do
3 any comparison. I could only compare '50s and
4 '60s to the present MESH. I could not compare
5 any data from say what was the '70s to MESH or
6 '80s to MESH.

7 And I guess my contention was,
8 first of all, that is all I had to compare it
9 with. I couldn't do any other comparison.
10 And if it did trace back faithfully to the
11 1950s, then it made it through all of the
12 electronic database switches up through MESH.

13 You know, if a person in 1952 was
14 assigned 100 millirem, then it was taken from
15 the handwritten cards to the handwritten
16 summary to the handwritten summary through the
17 various electronic databases and correctly
18 entered into MESH, then that would indicate
19 that something over 40 or 50 years was
20 correctly carried forward. But I did not have
21 anything to validate that what was originally
22 read in the '70s or '80s was correctly carried

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to MESH. Because that was all entered in
2 electronic form and then into MESH when that
3 came about in what '88 or something like that.

4 MEMBER CLAWSON: Well, then we have
5 a couple of electronic databases in there that
6 this went to or did we --

7 MR. BUCHANAN: In between. In
8 between '68, the last handwritten and MESH,
9 there were several, I think at least one or
10 two, in between the handwritten and MESH.

11 So when a person was read in 1970
12 and entered into that electronic database,
13 then that had to be transferred to the next
14 one in and on into MESH. But I have no way to
15 even look at that because I don't have any
16 original records, handwritten records from
17 that era.

18 MEMBER CLAWSON: Didn't we take
19 care of this a little bit earlier, just a spot
20 check to make sure what was switched over?

21 MR. FITZGERALD: Well, we looked at
22 the systems but this was sort of proof of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 principle in going back and actually taking
2 actual dosimetry records for individuals and
3 just establishing that you could, in fact,
4 confirm that they were complete, the
5 transcription was correct.

6 And of course, this was a first
7 order review and the premise was we would use
8 this sort of as reconnaissance to see if
9 anything would surface. Now, this was on top
10 of having interviewed 40 some workers, of
11 which I think you were on some of these
12 interviews.

13 MEMBER CLAWSON: Right, I was.

14 MR. FITZGERALD: This was a
15 straight forward questions. We asked every
16 one of them in terms of the external badging
17 and the completeness of that and how rigorous
18 it was in RAD areas. And we got a pretty
19 informed answer: it was pretty rigorous. And
20 so this was to validate that the records, in
21 fact, were likewise complete.

22 Could we do more? We certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could do more but based on this sampling, you
2 know, that is, we feel that that pretty much
3 gives us a confirmation, at least from that
4 standpoint that that is complete.

5 MEMBER CLAWSON: Okay.

6 CHAIR BEACH: I guess as a Work
7 Group, point of view, I would suggest that we
8 close this item, officially close this item.
9 And that is up for debate, discussion or
10 anybody disagree with that.

11 DR. ULSH: So that is issue 18 and
12 19?

13 CHAIR BEACH: Eighteen and
14 nineteen, external dosimetry records. So is
15 that the path forward then? Does everybody
16 agree with closing this?

17 MR. FITZGERALD: That doesn't
18 include neutrons.

19 CHAIR BEACH: No. No, it does not
20 include neutrons. Just this report.

21 MEMBER CLAWSON: But you are
22 talking about that you were using the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 environmental versus coworker because you
2 didn't have coworker model. Right?

3 DR. ULSH: Right, we have not
4 developed a coworker model.

5 MEMBER CLAWSON: Okay, so we are
6 using the environmental for the workers?

7 MR. STEWART: For unmonitored
8 individuals.

9 MEMBER CLAWSON: Unmonitored, okay.

10 DR. ULSH: And that hinges, Brad,
11 that hinges on the position that if you went
12 into a radiation area, you wore a dosimeter.
13 So conversely, if you didn't wear a dosimeter,
14 you were not in the radiation areas that we
15 assigned as environmental.

16 MEMBER CLAWSON: Yes, and the
17 reason I was bringing this up because the same
18 with those interviews that we had that there
19 was a lot of different talk about the
20 environmental out there of different problems
21 that they had out there.

22 MR. FITZGERALD: Yes, we raised

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that in the environmental issue --

2 CHAIR BEACH: Twenty.

3 MR. FITZGERALD: -- which is later
4 on this afternoon. And we in fact did convey
5 not only the worker input but our own concerns
6 to what extent those contamination events or
7 instances, or whatever were considered in the
8 environmental, occupational environmental
9 dose.

10 MEMBER CLAWSON: Okay. That is all
11 I wanted, to make sure we weren't losing focus
12 on that. Thanks.

13 DR. ULSH: If I could ask, just for
14 a point of clarification when we are excluding
15 neutrons, I assume what we are talking about
16 here is not necessarily the integrity of our
17 neutron records but rather the methodology --

18 MR. FITZGERALD: Sorry, yes.
19 Right. I'm glad you raised that because the
20 context of how we carved out the neutron issue
21 is exactly, that is not the integrity of the
22 measurement so much as how the dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconstruction or estimation would be done,
2 based on the neutron dosimetry. Yes.

3 CHAIR BEACH: Well, now, I don't
4 want to, I want to make sure that we are clear
5 on what we are actually closing and the
6 original matrix item was 18, adequacy of the
7 external dose records, and 19, integrity and
8 completeness of external dose records.

9 MR. FITZGERALD: Right.

10 CHAIR BEACH: And that is it, at
11 this point.

12 MR. FITZGERALD: Yes. The context,
13 again, is this is something that is standard
14 for the Board to review and investigate
15 estimate support. And this was the approach
16 that we brought to the Work Group which
17 approved the approach when we did the sampling
18 and these are the results. Now, the Work
19 Group has the discretion to ask us to do more
20 but this is as far as we have gone.

21 CHAIR BEACH: Well, barring any
22 other discussion, I guess we will consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that closed. Brad, are you comfortable with
2 that?

3 MEMBER CLAWSON: Yes, I just wanted
4 to make --

5 CHAIR BEACH: I know we don't have
6 Bob and Paul to --

7 MEMBER CLAWSON: I just wanted to
8 make sure that the environmental, --

9 CHAIR BEACH: Yes, we will get to
10 that one.

11 MEMBER CLAWSON: -- that will be
12 coming out later on.

13 CHAIR BEACH: Yes. Okay, silence.

14 Then that moves us to the next item
15 for discussion and that would be item six,
16 stable tritium compounds.

17 MR. ELLIOTT: But before you go
18 there.

19 CHAIR BEACH: Yes?

20 MR. ELLIOTT: This is Larry. Could
21 you state for the record how you close that
22 out for me? How is it closed? Is it closed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because NIOSH did something. Is it closed
2 because SC&A concedes the point or what?

3 CHAIR BEACH: I guess it is, in my
4 terminology, and I don't know how to
5 officially do that, it is closed because what
6 we asked SC&A to do from a Work Group
7 standpoint was to look at the work that NIOSH
8 did and they concluded that there was no
9 evidence that there was a problem with the
10 external dose reconstruction. That is from my
11 view.

12 MR. ELLIOTT: Thanks. That helps
13 me understand how you see it closed.

14 MR. BUCHANAN: The external dose
15 records.

16 CHAIR BEACH: Records. Thank you.
17 Let me finish that comment. And we all agree
18 with that so --

19 MR. FITZGERALD: Well, for
20 clarification sake, it wasn't a contention so
21 much because it is something that I think the
22 Board has always asked SC&A to do is look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the reliability of the data.

2 CHAIR BEACH: Correct.

3 MR. FITZGERALD: In this case, the
4 sampling was the approach to do that. And
5 that is it. You know, it wasn't disagreement
6 on that.

7 CHAIR BEACH: Yes, and the only
8 other thing we could do is ask you to do 20
9 more and see how that turns out.

10 MR. FITZGERALD: Well, I mean,
11 there is different ways to skin a cat. But
12 you know, given the feedback from the workers
13 and given the review of the databases, I think
14 we felt confident that with this sampling that
15 we could come to this conclusion of an
16 external database.

17 So, --

18 CHAIR BEACH: I agree. All right.
19 Now, are we ready to move on?

20 MR. FITZGERALD: Yes.

21 CHAIR BEACH: All right. So, we
22 are moving into issue six, stable tritium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compounds. And I believe, Joe, you are going
2 to lead that discussion?

3 MR. FITZGERALD: Yes and carefully.

4 I have basically extracted pretty
5 much the status from existing documents. I
6 think, you know, we haven't really had a
7 chance to articulate this issue in Work Group
8 discussions before. So I want to go ahead at
9 least for the benefit of everybody here and on
10 the phone, just kind of walk through where we
11 have been and where we are now and set it up
12 from there.

13 So, I ask your forbearance. I am
14 going to do a reading to make sure I don't get
15 off track here.

16 "In its original evaluation report,
17 NIOSH assumed that most of the tritium
18 exposure at Mound was related to the uptake of
19 tritiated water, HTO, which was effectively
20 monitored with reliable dose assessments
21 starting in 1957." This comes from the SC&A
22 statement in the issues matrix.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "The prevailing internal dose TBD
2 applies a correction factor to doses from
3 MESH, so they will reflect the current NIOSH
4 tritium dose assessment model. The ER states
5 that the quantity and quality of available
6 tritium urinalysis results are sufficient for
7 estimating maximum dose or to alternatively,
8 precisely estimate doses."

9 SC&A, in the SEC issues matrix,
10 this was a response from last February, and
11 this is our statement, the ER assumes tritium
12 uptakes are from tritiated water and does not
13 include a discussion on the potential for
14 exposure to other tritium compounds. And what
15 we are talking about essentially is tritides
16 and organic tritium, two key examples. It
17 further observes that there are no bioassay
18 data from 1947 to '56, although tritium was
19 handled during that period. So right from
20 matrix.

21 Okay, in its July 5, 2008 response
22 to the matrix, NIOSH indicates that as long as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 records are available for tritium bioassay,
2 doses can be bounded, regardless of the form
3 of material, whether it is tritides, HTO,
4 whatever.

5 It further notes that various Mound
6 databases contain over 200,000 individual
7 tritium bioassay records. And it also quotes
8 Meyer. This is from the Meyer document, "That
9 the program, the longest longevity at Mound is
10 the tritium program."

11 Now, with respect to STCs, stable
12 tritium compounds, NIOSH indicates that the
13 technical basis document will be revised to
14 include conditions for applying the stable
15 tritium compound technical information
16 bulletin, i.e., OTIB-0066, which applies OTIB-
17 11.

18 A Working Group meeting held on
19 July 14th of last year did not address this
20 matrix issue but it was acknowledged in other
21 discussions at how OTIB-0066 is to be applied.

22 It is not to keep the not yet clearly defined

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and this is going back a year. So that may
2 have changed but that was kind of where we
3 were at that point. And that "case-specific
4 information suggesting potential exposure is
5 not common."

6 A special technical meeting was
7 held on this issue in a secure location on
8 July 15, 2008 to address this issue. It was
9 agreed by the Work Group members present, I
10 think it was Brad, you were there, and Bob
11 Presley, NIOSH and SC&A that a further roadmap
12 review of STCs or stable tritium compounds was
13 warranted, as well as a NIOSH demonstration of
14 how dose estimation would actually be
15 accomplished on an individual worker basis.
16 And this would be based in part on a list of
17 implementation questions that we provided at
18 that meeting to Brant and the other
19 participants.

20 And frankly, these stand as the, on
21 this particular issue, as the outstanding Work
22 Group requested actions on the issue. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this dates back to that meeting.

2 Although STCs were not discussed at
3 the Work Group's October 24th meeting, this
4 was the last meeting, SC&A provided the
5 advisory board at about the same time, a
6 review of OTIB-0066. This is part of the
7 procedures review and that was provided on
8 November 25, 2008 that makes a series of
9 findings and, as provided in excerpts, just a
10 few bullets that were included in that review
11 of OTIB-0066.

12 One comment was the types of STCs,
13 the quantities handled, time periods of
14 potential exposures, and the physical behavior
15 of the tritium compounds in the environment
16 must be known to effectively develop and apply
17 OTIB-0066. A second comment was OTIB-0066
18 does not ensure that resultant doses are based
19 on adequate monitoring data.

20 Although urinalysis, the basis for
21 application of the models outlined in OTIB-
22 0066, there is no guidance provided on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interpretation of urinalysis results and its
2 technical shortfalls. Effective methods for
3 personnel and workplace monitoring were not
4 implemented for STCs during Mound operations.

5 And a final bullet, again, from our
6 comments in OTIB-0066, OTIB-0066 provides no
7 guidance on how to distinguish between intakes
8 of STCs, elemental tritium, and/or tritiated
9 water, which occur simultaneously or overlap
10 at Mound. In other words, you do have an
11 environment where you do have all the above
12 available for exposure and that is something
13 that we feel is a limitation to what we
14 understand is the implementation of OTIB-0066.

15 Among SC&A's recommendations was
16 that characterization of the potential tritium
17 exposure at a facility including SECs is
18 critical to the application of models in OTIB-
19 0066 and must be documented more fully.
20 Claimant favorable assumptions can't be made
21 in the absence of this information.

22 April of last month we issued a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 white paper entitled response to modeling of
2 intakes for special tritium compounds that
3 more or less conveyed a lot of these same
4 findings that were provided in the review of
5 OTIB-0066 but perhaps was more specific to the
6 Mound circumstances.

7 And additional considerations
8 provided in that review include reference to a
9 statement that individuals exposed to STCs can
10 be identified through individual rosters and
11 employees working in tritide areas at Mound.
12 So, we did understand that, as Brandt has
13 already indicated, that there is rosters of
14 employees, I guess, 12 is the number you
15 mentioned that apparently are available at
16 Mound. So we did understand that and included
17 it.

18 In terms of our bottom line at this
19 point in time, and again, this is with the
20 white paper submitted and everything, we think
21 there is two critical SEC related questions
22 that remain for the Work Group. One is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether a boundary model can be developed with
2 the compounds handled at Mound. And again, we
3 have the issues that we stated for OTIB-0066.

4 And two, if the necessary site-specific data
5 are available to apply the model development.

6 If a bounding conceptual model can
7 be developed for the first issue, then it
8 would still be necessary for "proof of
9 principle purposes" for NIOSH to demonstrate
10 that its model can be applied to Mound workers
11 with sufficient accuracy by indicating one,
12 and this is in the white paper, to whom the
13 model will be applied. And I think it sounds
14 like there is some progress on that front.
15 Two, how NIOSH will recognize exposures to
16 special tritium compounds. And there is a
17 number of compounds that certainly exist at
18 Mound. It is one of the more complex sites.
19 Three, how results below the minimum
20 detectable concentrations will be handled for
21 these compounds. Four, how they will
22 differentiate bioassay results with tritiated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 water versus organically bound tritium and
2 special metal tritides. Five, what critical
3 organs will be assumed for the different STCs
4 that I just mentioned. Six, how NIOSH would
5 be identifying exposures to stable tritium
6 compounds in the absence of tritium bioassay
7 data.

8 In this case, we are talking MESH
9 has only tritium dose based on HTO prior to, I
10 believe, September of '81. So the question is
11 how would you certainly do this before then.

12 And then finally, what assumptions
13 will be made in the absence of critical
14 modeling data and parameters. And maybe one
15 of the key ones, of course, is solubility of
16 specific compounds, but there is other
17 parameters as well. Certainly, one of them is
18 particle size. I think we discussed that.
19 That is one of the issues we discussed.

20 Finally, these, and we are calling
21 these proof of principle issues, these proof
22 of principle issues are not merely site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 profile issues because, in our view, the
2 validity of a proposed model as a dose
3 reconstruction approach stands not only on the
4 technical merits of its conceptual basis but
5 also on the feasibility of how it is applied
6 to site-specific circumstances.

7 On that basis, you know, we still
8 don't view OTIB-0066 at this point as having
9 been demonstrated as defining an upper bound
10 with tritide doses at Mound. That is not to
11 say it cannot be demonstrated but hasn't been
12 demonstrated at this point, in our view.

13 So that is pretty much where we are
14 and where we have been, well, I guess the last
15 seven or eight months.

16 CHAIR BEACH: Are you ready?

17 DR. ULSH: I'm ready.

18 CHAIR BEACH: Thanks, Joe.

19 DR. ULSH: Well, not surprisingly,
20 I guess, I do have a couple of thoughts on
21 this. With regard to the special tritium
22 compounds at Mound, you have to differentiate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 based on the behavior in the body. I mean,
2 obviously, we are all familiar with tritiated
3 water, which tends to leave the body rather
4 quickly and deliver pretty low doses.

5 Some of these special tritium
6 compounds, in particular, the metal tritides,
7 are less soluble than tritiated water, which
8 means that they tend to stay in the lungs
9 longer or the respiratory tract longer. And
10 the most insoluble of these tritides can
11 exhibit type S or even lower solubility but it
12 is not infinite.

13 And we are certainly aware of what
14 tritides are in use at Mound. We have
15 compiled that information. As Joe said, we
16 have to talk about this carefully because some
17 of this information is sensitive. And I think
18 it is also important to point out that a metal
19 tritide is not necessarily a metal tritide, is
20 not necessarily a metal tritide. In other
21 words, there is differences between different
22 types of metal tritides.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For the least soluble of the metal
2 tritides, and this is where, I guess, my first
3 point of difference with SC&A, in terms of I
4 don't think it is necessarily critical to
5 identify which metal tritides we are talking
6 about. What is important is to identify the
7 solubility behavior. I mean, if we want to
8 call it tritide X, tritide Y, and tritide Z,
9 as long as we are all understanding what the
10 solubility that goes along with that, that is
11 the important point for dose reconstruction.

12 Now, can we go to an appropriate
13 location and talk about the exact identities?

14 Sure, we can. And I suspect that some of you
15 already know what they are anyway. But with
16 regard to the least soluble of these tritides,
17 it was a very small program. We have
18 interviewed former workers who were directly
19 involved with this issue at Mound and they
20 have given us the list of names. So, Joe, it
21 wasn't exactly a roster of people but it was
22 the names of the people that were involved in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the program as provided to us by former
2 workers. So, it is a list of the people who
3 could have been exposed to this particular
4 least soluble tritide.

5 And for those people, we proposed
6 to do just like we do in any other situation
7 where there are a number of possible
8 solubility classes, and that is to add them to
9 the mix. So let's say one of these -- I will
10 just throw a dozen out there, although I can't
11 swear that it is 12. It might be 13 or 10. I
12 don't remember exactly.

13 If one of these people comes in as
14 a claimant. And if they have a cancer in a
15 respiratory tract or a lung, then we are going
16 to apply tritides that would probably give you
17 the most claimant favorable organ dose. For
18 any other organ, it would not be the claimant
19 favorable choice. And then there are a
20 separate class of tritides that are less
21 soluble than tritiated water, certainly, but
22 they don't approach the insolubility of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular one.

2 For those, you know, of
3 intermediate solubility, they had more wide-
4 spread use. More widespread exposure
5 potential, and we propose to do that, just
6 like we do at any other site. That would be
7 among the universe of potential forms of
8 tritium that they could have been exposed to.

9 And it is important to note that,
10 well, it is our position that if you could
11 have been exposed to tritides, you were on
12 tritium bioassay.

13 So, you might want to talk about
14 that a little more but that is our position.
15 So, if you have tritium bioassay, you can just
16 model it with any of the applicable solubility
17 classes.

18 Now with regard to that highly, the
19 least soluble of the compounds, it is not like
20 a situation where like, Brad, that you had
21 talked about earlier where the crafts people
22 could have jut wandered through the area and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been exposed. This was confirmed to us by
2 several former workers. This program was very
3 tightly controlled. It was performed in
4 particular locations that were access
5 controlled. You had to have a clearance to
6 even get in the door. People did not just
7 wander into this room. And it was cleaned up
8 by the researchers who were actually doing the
9 program. So for that particular compound, I
10 don't think it is an issue that you could have
11 just had roving people or anyone wandering by.

12 That just did not happen. There was at least
13 one incident of contamination but we know
14 about that. We know who was involved with it
15 and the exposures potentials are pretty low.

16 So, with regard to the seven
17 questions that Joe laid out--and I think this
18 is going to be the toughest nut for us all to
19 crack here--it is our position that if we get
20 to a point where a model can be developed and
21 we could contend that a model has been
22 developed in this OTIB-0066, then the question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 becomes how do you implement it. And as I
2 understand SC&A's position that under the
3 sufficient accuracy guidelines, we would have
4 to answer these seven questions. To whom a
5 model would be applied, how you recognize
6 exposure to special tritium compounds, et
7 cetera.

8 Well, I would say that those are
9 not SEC questions. Those are TBD questions.
10 To whom the model will be applied? Well, that
11 is an implementation question. And
12 furthermore, I just stated how we are going to
13 apply that model. For the intermediate
14 solubility tritides, it is going to be
15 everyone onsite could have been exposed to
16 that material. So, that is the answer to that
17 question.

18 MR. ELLIOTT: Everyone who was on
19 a tritium bioassay program.

20 DR. ULSH: Correct. Correct.
21 Sorry. Everyone who was on a tritium bioassay
22 program, might have had that as a potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 form that they could have been exposed.

2 How we will handle the results
3 below the minimum detectible concentration?
4 The same way we handle it for every other
5 bioassay result. There is nothing different
6 here.

7 How we will differentiate bioassay
8 results for tritiated water versus organically
9 bound tritium special metal tritides, we
10 won't. We will just treat that as one of the
11 possibilities that they could have been
12 exposed to.

13 What critical organs for different
14 STCs? Well the critical organ is the lung and
15 respiratory tract. Because that is why. They
16 are less soluble than tritiated water, which
17 means they don't leave the lungs as fast. For
18 other organs, they deliver less dose than if
19 it was tritiated water.

20 How we are going to identify
21 exposures to STCs in the absence of tritium
22 bioassay data. I would contend that you don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have exposure to STCs in the absence of
2 tritium bioassay data at Mound.

3 And what assumptions will be made
4 in the absence of critical modeling data such
5 as solubility? I am not aware of a situation
6 like that. We know how to model with the
7 least soluble compound. We know how to model
8 the other compounds with standard ICRP models.

9 So, I don't see an SEC issue here.

10 If we want to get into the individual
11 compounds and where and when it was performed,
12 we can do that in the appropriate setting,
13 which is not today or here.

14 MS. ROBERTSON-DeMERS: Can I ask
15 one question?

16 DR. MAURO: Brant, this is John.
17 Is it okay for me to ask a couple of questions
18 right now?

19 CHAIR BEACH: Sure, John, go ahead.

20 DR. MAURO: Yes, what I heard is
21 something that I wasn't aware of is that
22 tritiated water is the limiting form of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tritium for all organs except the lung.

2 DR. ULSH: Well, the lung and the
3 respiratory tract.

4 DR. MAURO: Well, right. That is
5 what I meant.

6 DR. ULSH: I would be careful there
7 John. Basically, I am saying that those other
8 organs in the body would be higher if you
9 assumed tritiated water than if you assumed
10 one of these metal tritides, for any organ
11 except the lung and respiratory tract.

12 DR. MAURO: And that is true for
13 organically bound tritium also?

14 DR. ULSH: I'm not sure.

15 DR. MAURO: Well no, the reason I
16 raise the question is because if that is the
17 case, it does -- I understand where you are
18 going. Basically what you are saying is for
19 all workers that you are going to do dose
20 reconstructions for that have a cancer other
21 than a respiratory tract cancer, you will
22 simply assume the bioassay results that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are looking at are based on exposure to
2 inhaled tritiated water. But it sounds like
3 maybe it is a little more complicated when you
4 are dealing with organically bound tritium.
5 It may not be that straight forward.

6 DR. NETON: But John, this is Jim,
7 it doesn't really matter. I mean, we could
8 run all possible scenarios and pick the
9 highest dose. I mean, that is no different
10 than we do for any S, W, S -- F, or Y type
11 analysis.

12 DR. MAURO: I understand. Now,
13 this case, the second question I have is if
14 you were to, because of lack of knowledge or
15 because you don't want to enter the world of
16 classified information, you go with the most
17 bounding assumptions. And let's assume that
18 right now OTIB-0066 provides for that. That
19 is, you know, in other words, whatever the
20 limiting form is, you could assume that and
21 place an upper bound on the dose to the
22 respiratory tract or whatever organ it might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be of concern.

2 Now, it is my understanding that
3 there is only a very, very small number amount
4 of the tritium handled at these other
5 facilities that were tritides or perhaps
6 organically bound. The vast majority is the
7 tritium handled at any of these facilities was
8 tritium gas or tritiated water.

9 Now that brings me to my question.

10 Is there a plausibility issue here. That is,
11 would you be assigning to large numbers of
12 workers doses that were not plausible, if you
13 were to take that tact? That is, we will just
14 default to the worst possible form of a
15 tritide and assign that to all of the people
16 where we have some question or there might be
17 some question as to when and where and how
18 much tritides you might have been exposed to.

19 Is that an issue that needs to be
20 discussed -- a plausibility?

21 DR. ULSH: Well, I have some
22 thoughts on that, John. First of all, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we are talking about large numbers of
2 workers, because tritides would only turn out
3 -- or organically bound, would only turn out
4 to be an issue when you are talking about lung
5 and respiratory tract cancers. So that it is
6 a smaller subset of the claimants.

7 And furthermore, it would have to
8 be of those people with lung and respiratory
9 tract cancers, it would have to be someone who
10 is not already compensated. And as you
11 probably know, we already compensate three-
12 quarters of those anyway.

13 DR. MAURO: Okay.

14 DR. ULSH: And then finally, for
15 that remaining 25 percent of those particular
16 types of cancers, would the doses be so high
17 that it is implausible? I don't think so.
18 They are going to be large, sure, but I don't
19 think it is going to be implausibly large.

20 DR. NETON: And John, this is Jim,
21 I agree with what Brant said. I also point
22 out, you know, we do this all the time with M

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and S.

2 DR. MAURO: Well, you know, with M
3 and S, we very often are in a circumstance
4 where there is a very real possibility that
5 that is what you are dealing with. In other
6 words, the uranium, I thought you were still
7 talking uranium. Very often, you are not
8 quite sure what the best form is and it is
9 plausible that everyone might have been
10 exposed to M or S.

11 In this circumstance, we know that
12 everyone was not exposed to tritides. And
13 that is where my question --

14 DR. NETON: I would disagree. If
15 you take a uranium foundry and we are applying
16 M and S in a foundry, chances are it is all S.

17 But we will default to M to get the dose
18 higher because it is possible that person was
19 exposed.

20 DR. MAURO: Oh, okay. I guess I
21 was under the -- you know, I am so used to the
22 U-308 issue, it is sort of ambiguous.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Right but think about
2 it. I mean, we will default to M, even in a
3 uranium foundry with oxides because it is
4 possible that the person could have been
5 working some other job location, some other
6 situation. And I would argue that if it is
7 implausible, we would assign a different dose.

8 DR. MAURO: No, I hear what you are
9 saying. So you are saying -- well, you are
10 saying that you do assign perhaps
11 unrealistically high doses at uranium
12 foundries by assuming M and S. You know,
13 whatever is limiting. You have been doing the
14 same thing here.

15 DR. NETON: I think if there is two
16 plausible exposure scenarios and we can't pick
17 between one because we lack information, we
18 will pick the one that gives the higher dose.

19 DR. MAURO: So when you lack
20 information about whether the person -- see, I
21 guess in the situation like the metal foundry,
22 you are saying that you will assign, even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 though you are likely dealing with type S, you
2 will assign an M if the person has a cancer
3 that would give you a higher dose there.

4 DR. NETON: Absolutely.

5 DR. MAURO: Now, you would argue
6 that the reason you are doing that is just to
7 make sure that you don't underestimate the
8 person's dose.

9 DR. NETON: Right.

10 DR. MAURO: But you know, someone
11 could argue that well, is it possible that
12 that person was in fact exposed to type M,
13 even though it was a foundry. Were there
14 activities going on there where there might
15 have been M. I guess we haven't had this
16 discussion before but if it is not plausible
17 that the person was exposed, you know, we
18 really, that is an issue, something that I
19 think should be on the table as part of this
20 discussion.

21 When the conservative assumptions
22 that are applied get to the point where you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't meet the plausibility requirements of
2 Part 83, and I think that goes to the heart of
3 well certainly not only the tritium tritide
4 issue but even the matter you brought up
5 before on the foundry.

6 DR. ULSH: Okay. John, I think if
7 we were to come to you and say this least
8 soluble of tritium compounds, we are going to
9 apply that to everybody, even though it was
10 only maybe a dozen people, we may have more of
11 an issue to talk about here. But there are,
12 as you know, there are a variety of tritides
13 that were handled at Mound, other than that
14 one. And by the way, that is why we are
15 saying only that particular group of people
16 has that entered as a possibility but for
17 these intermediate solubility tritides, those
18 were more widespread and I think there is more
19 of a potential, especially during the D&D
20 years, for instance, to be exposed to that.

21 Was the vast majority of the
22 tritium handled at Mound HTO? Yes, sure. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think there is a reasonable possibility that
2 people might have been exposed to these
3 intermediate solubility tritides.

4 DR. MAURO: So you are saying that
5 the approach you are taking may not be that
6 implausible. That is, at any given time, the
7 way Dr. Ziemer mentioned is that if you have a
8 person and you are asking yourself the
9 question, granted that collectively the
10 exposures were to tritiated water but for any
11 given person at a given point in time, you
12 really don't know. Then it is plausible that
13 he might have been exposed to one of the let's
14 say the more insoluble tritiums.

15 Basically, I am taking your
16 position right now. I am trying to find the
17 virtue of your positioning. So what I am
18 hearing you saying is that yes, even though
19 collectively the total number of curies that I
20 might have moved through as tritides is an
21 extremely small fraction of the total amount
22 of tritium that went through as tritiated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 water. For any given person at any given
2 point in time, one could argue that well, it
3 is possible at that point in time for that
4 particular sample, that is a result of an
5 exposure to one of the less soluble forms of
6 tritium and, therefore, it becomes plausible.

7 I just sort of like worked my
8 through it to convince myself that your
9 position is reasonable.

10 MR. ELLIOTT: John, this is Larry
11 Elliott. If I were to answer your question I
12 would say that we would be implausibly high if
13 we were going to apply the highest insoluble
14 tritide to everybody that was in the tritium
15 bioassay program. That would be implausible
16 because we know from the interviews and from
17 the records that only 12 or so people were
18 involved. That would be implausible to apply
19 that to everybody in the bioassay.

20 DR. MAURO: Okay.

21 MR. ELLIOTT: But what we are
22 saying here, we think it is plausible to apply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this dose for the immediate insoluble tritides
2 because we feel that they were widespread and
3 we can't place people in proximity to them.

4 DR. MAURO: That helps a lot. I
5 didn't realize you were making almost like a
6 three-tiered distinction.

7 Regarding the first tier, these 12
8 individuals, for those individuals is this
9 something that goes behind the sensitive
10 information window? In other words, when you
11 picked those people and the reasons you picked
12 those people, this is something that, is that
13 information that has to be held as sensitive?

14 DR. ULSH: The reason that we
15 picked them? Is that what you asked?

16 DR. MAURO: No, when you picked
17 them. I guess you just picked them and said
18 these are the people but the rationale for
19 picking them and not someone else to be
20 included in that group. I guess the way you
21 get there, though, is true knowledge of
22 sensitive information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: The rationale is the
2 interviews that we conducted with former
3 workers, that which has been provided to the
4 Working Group.

5 DR. MAURO: So all of this can be
6 discussed in an open setting. That is where I
7 am headed.

8 DR. ULSH: Depending on what you
9 mean by all of this, yes.

10 DR. MAURO: Well, I mean how you
11 picked the 12 people and that yes, your
12 justification for these 12 people being
13 treated in one way as opposed to these other
14 people being treated another way and the
15 justification for making that distinction.

16 MR. FITZGERALD: The framework.

17 DR. ULSH: Yes. Yes, the
18 supporting documentation has been provided.
19 That is our interview notes. Of course there
20 are Privacy Act considerations.

21 DR. MAURO: Oh, well, yes. When I
22 meant sensitive I meant more by way of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 classified information.

2 DR. ULSH: Yes, these were people
3 who were involved in assessing doses from
4 these particular compounds and, the people
5 that we interviewed, and they provided a list
6 of workers who were directly involved with
7 this work. So that is --

8 MR. CHEW: You want me to talk a
9 little bit about the program?

10 CHAIR BEACH: I think Kathy has
11 been waiting to say something.

12 MR. FITZGERALD: Yes, we can switch
13 gears and let Kathy and Bob comment as well.

14 MS. ROBERTSON-DeMERS: Okay, can I
15 clarify something for you? The absence of
16 tritium bioassay data, what we meant was the
17 fact that only the dose data was available
18 prior to September '81.

19 MR. SHARFI: Both the dose -- back
20 to the actual urinalysis.

21 MS. ROBERTSON-DeMERS: Is that what
22 you guys are doing?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SHARFI: I'm sorry?

2 MS. ROBERTSON-DeMERS: Is that what
3 you guys are doing, is taking that dose and
4 converting it back to the urinalysis --

5 MR. STEWART: The dose
6 re-constructors, no. We are simply assuming a
7 very large MDA assigned missed dose.

8 DR. ULSH: Well that might be a PER
9 that is coming. Keep in mind, Kathy, that the
10 Mound TBD was one of the first ones that we
11 did. And it predates OTIB-0066. So, that
12 might be part of the reevaluation that we do
13 when we go back and look at it.

14 MS. ROBERTSON-DeMERS: I have got a
15 couple of other questions.

16 MR. FITZGERALD: Go ahead.

17 MS. ROBERTSON-DeMERS: How do you
18 know that you have identified the most soluble
19 form of tritide when there is such limited
20 studies out there on solubility of these
21 compounds?

22 MR. CHEW: It's insoluble.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROBERTSON-DeMERS: The
2 insoluble. Sorry.

3 DR. ULSH: There have been direct
4 studies of the particular compounds that were
5 present at Mound and the solubility of those
6 compounds.

7 MS. ROBERTSON-DeMERS: All 35?

8 MR. CHEW: Kathy, can I speak a
9 little bit for the program, and recognizing a
10 sensitivity, Kathy, I will just go over a
11 little bit about the program itself.

12 There was a directive from the
13 Department of Energy that asked Mound
14 Laboratory to go ahead and try to find some
15 metals that would be for tritides there would
16 be an ability to store long-term for tritium.

17 That was a real, we know that. Okay?

18 And so with this, Mound took that
19 directive and there was quite a large fund to
20 try everything. That is why the news came up
21 and said well gee, it looks like as many as 40
22 or 50. Yes, they tried. Actually, they made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very small gram samples of those particular
2 tritides. All right?

3 It really boils down to about 10 or
4 20 that we really focused in on. All this was
5 done in a glove box operation. Let me just
6 describe to you the process itself.

7 Actually, tritide is a small sample
8 of this particular metal in a case like this
9 would be and put it in a jar and basically had
10 lines tied to it. And at a normal frequency,
11 the basically open the stop cock to determine
12 what the outgassing is. And if outgassing was
13 high, then obviously it was not going to be
14 good enough for the long-term storage. That
15 was the term.

16 Well, believe it or not, a majority
17 of the metal tritides are fairly soluble.
18 They outgas very quickly. And the reason for
19 that is that there is a radiation damage,
20 believe it or not, from the data, in some of
21 the metal itself. It just broke up the
22 crystal structure. I actually had the chance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to even see that first-hand. We applied and
2 we had a particular metal, we tritized it. It
3 turned black. It turned black because of
4 radiation energy immediately out there. So
5 obviously, that is not good.

6 So from that standpoint, that is
7 what the program is all about. Now, bear in
8 mind when we come back to the story, these
9 particular, especially the ones that were
10 stable tritide, they were handled like
11 particulars. Mound has a very good history of
12 understanding how to handle particular glove
13 boxes. This was a glove box operation. These
14 were handling glove boxes.

15 So therefore, number one, the
16 potential exposure was only due to the very
17 fact that either a procedure or something went
18 wrong with that particular experiment when
19 they were doing it. And that is why it was
20 limited to only a very few people handling the
21 stable or the real stable tritides.

22 The stability of those tritides and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those particular compounds are still sensitive
2 information because they would be of valuable
3 information to the program itself. So bear in
4 mind, that several times when there was a
5 small particular incident of losing a few
6 small amount of particulates of it, the swipe
7 samples were taken if there was a particular
8 incident and they were always asked the
9 question about the person potentially walking
10 into the room here. Brant has already
11 expressed it, these were classified projects
12 and those rooms were locked. They were type
13 red, I think that is what the distinction was.

14 MR. SHARFI: Yes, they were key in
15 lock. You can't just walk in there.

16 MR. CHEW: And because of the
17 sensitivity.

18 So, I think to answer the question
19 and John, for you, I think we can pretty much
20 fully identify. We have the information from
21 both the classified appendices to the
22 document. We know which tritium compounds

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were handled and in what particular room. And
2 they have identified a particular period. And
3 during the basis of the interview, we were
4 able to clearly identify who specifically was
5 handling of -- I think one specific stable
6 isotope that was of primary concern.

7 DR. MAURO: Mel, this is John
8 again.

9 MR. CHEW: Yes, sir?

10 DR. MAURO: The OTIB-0066 -- is
11 Joyce Lipsztein still on the line? If she is
12 not, we reviewed OTIB-0066 favorably. We only
13 had one minor comment on a dose conversion
14 factor for organically bound tritium. But
15 Kathy has raised a question that I think goes
16 to really to Joyce and that is, you know,
17 based on our review and Joyce is pretty close
18 to this issue working with ICRP, her finding
19 when she reviewed the models developed, the
20 generic models presented in OTIB-0066 for
21 tritides, were favorable. That is, she felt
22 that you know, given you had a measurement in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 urine and given you knew what the person
2 inhaled, what form he inhaled of the tritium,
3 you could reconstruct the intake from the
4 bioassay results.

5 However, Kathy, I think you raise
6 an important question that maybe goes back to
7 OTIB-0066. That is, are there, in other
8 words, is OTIB-0066, when it was developed,
9 did it capture, the, I would say, the most
10 stable versions? If that is the case, then I
11 think we are okay. I mean, we are okay with
12 OTIB-0066, in terms of the model. But it
13 sounds like there is some question and that is
14 why I asked if Joyce was still on the line.
15 There may be some forms that are even more
16 stable than those that have been treated in
17 OTIB-0066.

18 DR. BISTLINE: This is Bob
19 Bistline. I would like to get into the
20 discussion here.

21 DR. MAURO: Okay.

22 DR. BISTLINE: We had some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion with another site that also handled
2 tritides and handled five different forms of
3 tritides. And they tried to do some
4 solubility studies and also some absorption
5 studies and so forth. And the project got
6 canceled and they said they couldn't. And
7 these people are authorities on tritides. And
8 they said that there is really no good
9 information or very little information
10 available on solubility and absorption
11 capabilities. And they have handled and they
12 handle it right now. And the question I
13 raised was, was with regard to the absorption
14 and the diffusion and reactivity of tritium
15 with metals that they come in contact with and
16 especially for long storage.

17 DR. NETON: Well, keep in mind
18 tritium has a fairly short half-life.

19 DR. BISTLINE: Tritium has a short
20 half-life.

21 DR. NETON: And so its solubility,
22 it can be limited by its radiological half-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 life.

2 DR. BISTLINE: It can. That's
3 right.

4 DR. NETON: Well, it is.

5 DR. BISTLINE: It is. It is.

6 DR. NETON: It does not have a
7 solubility class that is longer than it's what
8 ten-year half-life?

9 DM. CHEW: 12.226 years.

10 DR. NETON: So therefore by
11 definition, the worst case solubility of
12 tritium is 12 years.

13 DR. MAURO: Yes, that is true. Is
14 that what you want, by the way, approximately,
15 when you are at your upper bound for --

16 DR. NETON: I don't know. I would
17 guess if you looked at S, class S, it probably
18 is somewhere -- I don't know.

19 DR. MAURO: Okay, but I hear where
20 you are going with that.

21 DR. NETON: Where I am going is
22 there is a practical limits of the dose that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tritides can deliver.

2 DR. BISTLINE: Yes, I agree on
3 that. But you know, we had a tritium issue at
4 Rocky.

5 DR. NETON: Yes, it is somewhere
6 between 12 years and a lot less than 12 years.
7 But it can be bound.

8 DR. BISTLINE: But if you bound it
9 with 12 years, then I can agree.

10 CHAIR BEACH: Well back to John's
11 comment on OTIB-0066, I thought there were six
12 findings and I guess I am not clear on where
13 John is saying there wasn't any findings or
14 issues with OTIB-0066. So, --

15 MR. FITZGERALD: Well there were
16 findings on the application of OTIB-0066.

17 DR. MAURO: You know what? I am
18 sorry. I didn't want to mislead anyone. Our
19 generic findings on OTIB-0066 were that it was
20 favorable.

21 MR. FITZGERALD: The conceptual
22 model was favorable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: -- we did comment.

2 CHAIR BEACH: It said the model was
3 but there were still issues with how --

4 DR. MAURO: Exactly, there were
5 issues with implementation.

6 CHAIR BEACH: How it was going to
7 be applied.

8 DR. MAURO: Exactly. Exactly.

9 MEMBER ZIEMER: This is Ziemer.
10 Can I make a comment?

11 CHAIR BEACH: Sure, Paul.

12 MEMBER ZIEMER: I think I mentioned
13 this before but perhaps worth repeating. Many
14 of these things that we call tritium compounds
15 are not true compounds. They are tritium
16 absorbed on something. Tritium tritide is an
17 example. It is mainly absorbed in the metal
18 matrix. So the tritium outgasses is almost
19 constantly at any temperature, certainly more
20 at elevated temperatures, but the solubility
21 issue, in my mind, becomes a little bit
22 confused when we are thinking about it as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solubility of a metal compounds.

2 I don't know if John Mauro if any
3 of your folks can clarify. Are you talking in
4 terms of the metal compounds as a compound?
5 Solubility of a metal?

6 DR. MAURO: When we reviewed OTIB-
7 0066, there were certain biokinetics of the
8 clearance from the lung. And there was an
9 upper bound value for the most soluble form,
10 the least soluble form of tritium. And as I
11 recall, it had to do with the breakdown of the
12 particle itself in the lung. Eventually, the
13 particle begins to break down and the tritium
14 leaves for the most stable form of his
15 tritides. Now, there is probably, I believe
16 there was a continuum though of the kind of
17 thing you just described. There are other
18 forms where the tritium more readily leaves
19 the metal matrix. But the most --

20 MEMBER ZIEMER: Yes, using a matrix
21 is not really bound like a Q compound, I
22 think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, I tell you I did
2 not look into it from that respect; in other
3 words, what was the chemistry of the way in
4 which the tritium was bound. I think it was
5 more of an empirical issue. That is, the ICRP
6 folks looked at it and so did Joyce. It
7 really had to do with the clearance, the rate
8 at which it was cleared.

9 MEMBER ZIEMER: Right. Regardless
10 of how it came off. Right.

11 DR. MAURO: Yes, but how it --
12 exactly.

13 MEMBER ZIEMER: Yes, okay, I am
14 with you.

15 MR. CHEW: Paul, this is Mel. You
16 are absolutely correct. When you actually
17 have to make a metal to have the tritium
18 pulled onto, you need to have to do it in like
19 a vacuum deposition. Okay? That is an
20 example of how you would actually make a
21 tritium target for an accelerator. That is
22 for the example where it really holds on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But you are right, these are the
2 tritium compounds which will continue to
3 outgas. As a matter of fact, when we
4 discussed it with the workers, they said when
5 you actually saw it exposed to any moisture or
6 any air, even some of the more stable ones
7 that they thought would have an initial
8 outgas.

9 MEMBER ZIEMER: Yes, in fact, I
10 think the tritium continuously exchanges with
11 the hydrogen in the air in some of these.

12 MR. CHEW: That is correct.

13 MEMBER ZIEMER: Yes.

14 MR. KATZ: Kathy?

15 MS. ROBERTSON-DeMERS: I just have
16 one comment and that is that Joyce was not
17 privy to all of the information, obviously, on
18 tritides when she did that analysis. And that
19 is why we followed up with a Mound specific
20 report.

21 DR. ULSH: Well, okay but to answer
22 your earlier question Kathy, how do we know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the solubility of all of the metal tritides --

2 Go ahead, Mel. You probably would
3 do a better job than I would.

4 MR. SHARFI: When we wrote the
5 Mound STP TBD, we specifically had inherent
6 knowledge of what they worked with and what
7 were the metals that disassociated their
8 tritium sloped. So, we were I guess privy to
9 information to specifically target our
10 modeling based on the material we worked with
11 at Mound.

12 Now, I mean, if you get outside
13 Mound I'm sure other sites may have done other
14 work but our target for developing the Mound
15 metal tritide TBD was specific for the
16 material and inside knowledge of what we
17 worked with. And I probably can't go too much
18 more into that knowledge but so we had a very
19 clear knowledge of which metals would result
20 in some of the longer tritium retention and
21 that is where we specified our work into.

22 MS. ROBERTSON-DeMERS: And how did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know that?

2 MR. SHARFI: That would be -- that
3 is getting into that classified -- the people
4 that actually worked that material, it was
5 designed for particular needs. And those
6 people who designed that material would know
7 which holds tritium longer or shorter.

8 DR. ULSH: But I think you are
9 talking past each other. You are saying you
10 know what tritides were present at Mound. And
11 Kathy is asking how do you know that there is
12 not one of those tritides that is less soluble
13 than the one we are talking about?

14 MR. SHARFI: Well, they made them.
15 I mean, there is a purpose to them. It is
16 not that they just randomly had these --

17 MR. FITZGERALD: Well, there is two
18 issues, too. I think Mel explained earlier
19 that you had lab or bench top concentration or
20 activity levels -- and you had maybe a dozen,
21 ten that might have been in a greater
22 quantity. So you have two gradations. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, one is the solubility question and one
2 is whether it was a tiny lab amount versus
3 something that was being pursued further. So
4 you know, there is different variables in
5 there.

6 One thing that concerned me early
7 on in this thing and again, I think this
8 wasn't addressed in the ER. So just in terms
9 of context, we just raised it as an obvious
10 issue which you are familiar with but it
11 wasn't treated for good reasons. But OTIB-
12 0066, as of last year, we asked Stu Hinnefeld
13 this question, you know. What is the history
14 of its application at the time? He said it
15 never had been applied. It hadn't been used
16 in dose reconstruction. I was wondering if
17 you know that has changed or not.

18 DR. NETON: I don't think so. I
19 think it has not been applied, to my
20 knowledge. Mound would certainly be the place
21 for it to be applied. There is other sites.

22 MR. SHARFI: -- I don't much about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Mound --

2 MR. FITZGERALD: Yes, well, this
3 first came up at Savannah River as a question,
4 tritides and at that time, I think OTIB-0066
5 was still in the wings in all discussion and
6 everything.

7 MR. ELLIOTT: But we have been
8 consistent in saying that it is a site profile
9 implementation issue and their site profiles
10 have to be revised to address that.

11 MR. ELLIOTT: We haven't done that
12 yet.

13 MR. FITZGERALD: Yes, I am not even
14 going there. I am just saying OTIB-0066 as a
15 means to model this.

16 DR. NETON: Yes, I am not sure why
17 that is important, though. I mean, is OTIB-
18 0066 a valid means of assessing tritide dose.
19 That is, I think, the question. Whether we
20 apply it or not is kind of --

21 MR. FITZGERALD: Well, I guess the
22 question it goes to though is --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: -- it's not irrelevant,
2 but --

3 MR. FITZGERALD: -- whether, you
4 know, again, we raised the implementation
5 issues in both the comments on 0066 as well as
6 in this context is, you know, there is just no
7 application that one can look at as a proof of
8 principle. And I think that --

9 DR. NETON: Well, wait, wait. This
10 is a very simple TIB. And basically, I am
11 looking at it right now.

12 MR. FITZGERALD: Right.

13 DR. NETON: It assigns the least
14 soluble tritide compound to Type S. It says
15 it is no slower or it does not go any faster
16 than S.

17 MR. FITZGERALD: But Jim, the
18 question that has driven this from last year
19 is whether or not the specific data and you
20 know, certainly what we are hearing today is
21 the first time that, although we have had some
22 hints, I guess earlier. But you know, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first time we are actually hearing, okay,
2 certain parameters who, you know, the three
3 tiers, the who part might be answered. You
4 can actually identify. That wasn't clear last
5 year.

6 DR. NETON: Okay.

7 MR. FITZGERALD: The compounds in
8 terms of being able to envelope the important
9 compounds and of course, the question of
10 importance is something that I think is being,
11 I think has been sorted out to some extent.

12 DR. NETON: Right.

13 MR. FITZGERALD: And how one is
14 going to, as an approach, distinguish between
15 the different forms of STCs, I think I have
16 heard that, too.

17 These are all parametric questions
18 about whether or not OTIB-0066 would have the
19 key ingredients to demonstrate. And when I
20 raised the question last year saying, you
21 know, these are very basic parameters. But if
22 there is just no implementation of the model

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and no guidance as to what the pieces are
2 going to be, there was no --

3 DR. NETON: I don't see this any
4 different than Super S at Rocky Flats, to be
5 honest with you. We developed a model that
6 was defined, it was examined by SC&A and it
7 clearly said it will bound intakes for Super S
8 material. And that is what we proposed here.

9 CHAIR BEACH: Doesn't the Work
10 Group need to validate site specific data with
11 sufficient accuracy using --

12 DR. NETON: Which site specific
13 data are we talking about?

14 CHAIR BEACH: -- using OTIB-006?

15 DR. NETON: But the site specific
16 data is we would apply either very insoluble
17 or moderately soluble. We picked the most
18 claimant favorable solubility class.

19 MR. FITZGERALD: But let me walk
20 back because I think the analogy is a useful
21 one, because we did get into OTIB-0049 and the
22 validation, I think Joyce did a lot of work on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, really focused on the autopsy data and
2 showing that 0049 in fact was an upper bound
3 for real cases. I mean, they are real cases
4 pulled from various sites.

5 In fact, I think as I recall, there
6 was a fairly upper bound case from Rocky,
7 upper bound case with Hanford, and this whole
8 thing, I think, came down to hinging on the
9 fact that when you looked at the curve, there
10 is no question that that factor of four, I
11 think it was, was going to envelope the
12 highest cases.

13 And I think that was the proof of
14 principle in the sense that not only was there
15 a good model but that model was validated
16 against real data. I think in this case what
17 we are saying is that we are in the same place
18 of saying, okay, the equivalent of the OTIB-
19 0049 model is OTIB-0066. There is a model.

20 DR. NETON: Right.

21 MR. FITZGERALD: And we have looked
22 at the model and conceptually, I think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually Joyce has spent some time with it and
2 it, too, looks pretty good. But the second
3 step that she took on OTIB-0049 which would be
4 the equivalent here, is saying okay, you know,
5 when you actually apply it to real cases, is
6 it going to demonstrate an upper bound or not.

7 And I think when I asked the
8 question last year has anyone used it,
9 frankly, I wanted to cut to the quick and say
10 well if you have applied it, then we can at
11 least look at how the data was, the Mound-
12 specific information was applied and we gained
13 some confidence that not only did the concept
14 hold true, technically, but the application of
15 that concept to Mound data.

16 Now, if some of that data, if you
17 could not have found -- of the workers who
18 were throwing their hands up and saying, you
19 know, we don't know who those people are. I
20 mean, you know, we could take a guess but we
21 really don't know that. And I would say that
22 is kind of a challenge to the model because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you might have a model but you know, I mean,
2 there is just the parameters that feed that
3 model may not be available.

4 And so the proof of principle is
5 can you actually identify the workers,
6 identify the compounds, you know, so forth and
7 so on.

8 DR. ULSH: So let me ask you this.

9 If we came to the Working Group and SC&A and
10 laid sample dose reconstructions that used
11 OTIB-0066, would that address your concerns?

12 MR. FITZGERALD: Well, I think that
13 would be the proof of principle. Again, we
14 don't have anything other than the concept,
15 the model that seems to be conservative. What
16 you are saying is encouraging because I think
17 the pieces that would go into that model are
18 available but you know, we haven't actually
19 seen it demonstrated.

20 CHAIR BEACH: We would have to see
21 it demonstrated.

22 MR. FITZGERALD: And the difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with OTIB-0049 I think that really made the
2 difference is not just the concept but when we
3 actually saw the curve over the autopsy cases,
4 that was the, to me that was the final word.
5 I mean, there was no question that even in the
6 worst cases, the estimation was going to be
7 much more conservative. An upper bound was
8 demonstrated on those.

9 DR. NETON: Well, we didn't make
10 these numbers up, Joe. I mean, this is based
11 on literature searches. I mean, the
12 references are in here.

13 But I think you are right. We can
14 certainly produce the --

15 MR. FITZGERALD: But what is the
16 equivalent? I mean, I don't disagree.

17 DR. NETON: Well, I think we found
18 the equivalent.

19 MR. FITZGERALD: What is the
20 equivalent for OTIB-0049?

21 DR. NETON: We don't have autopsy
22 data for --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Well, I know. I
2 know but what would be the equivalency, the
3 analogy to being able to show that this model
4 would constitute an upper bound if you had the
5 sufficient data?

6 DR. NETON: Well, I think the
7 equivalency is to demonstrate that these
8 compounds, the compounds that we have applied
9 here that are based on documented literature
10 search information adequately bound. And
11 apparently, SC&A originally agreed that that
12 was okay because this model was not -- this
13 was a generic model to apply to special
14 compounds of tritium. And we heard nothing
15 back from SC&A saying that these weren't
16 sufficiently bound.

17 DR. MAURO: Jim, that is true but I
18 guess the information we are hearing now is
19 that there might be --

20 DR. NETON: Well, but see now --

21 DR. MAURO: -- maybe the chemical
22 forms or the forms of the tritium --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: We raised these
2 same issues on our comments on 0066. I am not
3 quite sure that --

4 DR. MAURO: -- work toward our
5 knowledge of tritiated compounds based on our
6 knowledge and from working with ICRP
7 investigation into this matter. It sounds
8 like you folks got a lot more sophisticated.
9 There are a lot more forms of tritium that are
10 in play here and there may be forms that are
11 more limiting.

12 But Jim, you had mentioned that in
13 the end, if you go with just the radiological
14 half-life and the clearance rate for the
15 lungs, that places an upper bound certainly on
16 the lung dose. And as I understand it, for
17 any other organ, the limiting form would
18 always be tritiated water.

19 MR. FITZGERALD: John? John, can I
20 intercede?

21 DR. MAURO: Yes, sure.

22 MR. FITZGERALD: We have, all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 along, since Savannah River, in fact when we
2 first raised this issue as a part of the site
3 profile review at Savannah River, had raised
4 these very same issues and recognized that it
5 is a generic issue, have expressed the same
6 concerns every time tritides have come up.

7 DR. NETON: But that was before
8 OTIB-0066 was written, Joe. Savannah
9 River site profile --

10 MR. FITZGERALD: But we provided
11 comments on OTIB-0066 last year.

12 DR. NETON: I don't recall OTIB-
13 0066 saying it was not sufficiently
14 conservative. I don't recall that comment at
15 all.

16 MS. ROBERTSON-DeMERS: There were
17 several questions in OTIB -- several findings
18 in OTIB-0066 related to the orientation of the
19 --

20 CHAIR BEACH: I guess that is one
21 of the things that I was going to bring up.

22 DR. NETON: Okay, well, so is this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an --

2 MR. FITZGERALD: Well, I don't want
3 make it an OTIB-0066 meeting but we did
4 comment on these issues.

5 DR. NETON: Yes, is this an OTIB-
6 0066 issue or is this a Mound issue, I guess?

7 CHAIR BEACH: Well, both of them
8 come to play in my mind because OTIB-0066 --

9 MR. FITZGERALD: I don't think you
10 can separate it. I think it is a generic and
11 a site specific. I think there is an overlap,
12 quite frankly.

13 DR. NETON: Sure.

14 CHAIR BEACH: OTIB-0066 is being
15 used here but we had a -- or SC&A's comments
16 have never been talked about or reviewed by
17 NIOSH. So that was part of one of my comments
18 is to request NIOSH to address SC&A's comments
19 to OTIB-0066.

20 MR. FITZGERALD: Yes, actually when
21 we were asking for any data on, or any results
22 from the application of OTIB-0066, we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talking about DOE-wide or complex-wide, mainly
2 because I think we were just interested in how
3 the model would be applied, not even Mound
4 specific.

5 CHAIR BEACH: Right.

6 MR. FITZGERALD: And so I think
7 there is an overlap here.

8 DR. BISTLINE: Okay. So, there are
9 site returns, people have worked for the site
10 returns had potential.

11 MS. ROBERTSON-DeMERS: In addition,
12 HT and HTO diffuse into material, which is
13 where you get the problems in D&D.

14 DR. ULSH: Right and that is why we
15 are going to apply those intermediate
16 solubility tritides to pretty much everybody
17 to handle those kinds of situations.

18 So given the answers that I have
19 provided today to these seven questions and if
20 we commit to providing you with sample dose
21 reconstruction that implements OTIB-0066,
22 providing that those things are done, are we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done on this?

2 CHAIR BEACH: What about addressing
3 the review of OTIB-0066 to start with?
4 Because that has never been addressed. And I
5 would assume this would be the right format to
6 do that.

7 DR. ULSH: As I understand it, the
8 review of OTIB-0066, the comments that SC&A
9 had dealt with implementation. Correct? And
10 --

11 DR. MAURO: Brant, that is my
12 understanding, too. The last time I read the
13 review and the issues resolution process that
14 we went through at the procedures Work Group,
15 there was a clear boundary between
16 implementation issues and what we would call
17 biokinetic modeling issues which are generic.

18 DR. ULSH: Okay. And the only
19 biokinetic modeling issue, as I recall, that
20 we had a concern with had to do with
21 organically bound tritium and the clearance
22 rate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And you folks agreed that there
2 needed to be a correction made. And so we
3 closed out all the issues and transferred
4 basically the issues that were site specific
5 regarding implementation. They were
6 important. Don't get me wrong. But from the
7 procedures Work Group perspective, those
8 matters were appropriately dealt with on a
9 site-by-site basis on how you were going to
10 implement it at that site.

11 So, OTIB-066 -- my understanding is
12 OTIB-066 issues from the Procedures Work Group
13 perspective in the biokinetic realm, have all
14 been resolved. And the only issues remaining
15 is how are you going to implement it at each
16 of the different sites. And this is an
17 important discussion we are having because I
18 think the strategy that you just described,
19 Brad, does address the implementation issues.

20 And the question is, does it address it to
21 the satisfaction of the Work Group?

22 But I don't think it bounces back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the Procedures Work Group. I think those
2 issues have been resolved.

3 CHAIR BEACH: And I think Kathy has
4 a comment.

5 MS. ROBERTSON-DeMERS: I would
6 recommend that you have them respond to our
7 white paper on tritium compounds --

8 CHAIR BEACH: Yes, I haven't gotten
9 there yet.

10 MS. ROBERTSON-DeMERS: -- instead
11 of OTIB-0066.

12 DR. NETON: Well, wait a second.

13 DR. MAURO: I agree.

14 CHAIR BEACH: Well, we were just
15 talking about OTIB-0066. I hadn't asked about
16 this yet. I agree with you, Kathy.

17 DR. NETON: Well, let me see if I
18 understand because I think we are talking
19 about the same things in different
20 terminology.

21 I mean, what I am hearing is that
22 the solubility of the tritide compounds has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not been called into question that has been
2 used in OTIB-0066. There were some issues of
3 organically bound tritium but the
4 implementation doesn't mean are there more
5 insoluble types of tritides than S out there
6 in the complex.

7 Because if that is an issue, then
8 we have got --

9 MR. FITZGERALD: I think that
10 discussion has to be somewhere else. I think
11 there are, you know, I think that is something
12 we had planned for a while but we haven't had
13 it yet.

14 MS. ROBERTSON-DeMERS: And please
15 be aware that the person doing the analysis on
16 the models was not cleared and did not have --

17 DR. MAURO: That is correct, Kathy.
18 You know, I think that you just hit the nail
19 on the head. The issue on is it possible that
20 there were some chemical forms at particular
21 sites which are not captured, are not bounding
22 by the upper bound assumptions used in OTIB-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 0066. And whether you want to call that a
2 generic issue or a site-specific issue, I
3 mean, that is certainly something that needs
4 to be addressed. And I don't know, you know,
5 and this is a question I think is before NIOSH
6 and the Work Group. And I think NIOSH needs
7 to address that.

8 That is, when you pick this
9 bounding approach for these 12 individuals or
10 13, are you saying that you are going to use
11 the limiting exposure pathway that is adopted
12 in OTIB-0066 or perhaps something more
13 limiting that goes beyond -- more conservative
14 than OTIB-0066?

15 DR. ULSH: So is SC&A saying that
16 there is something less soluble than that one
17 particular compound that we know of?

18 MR. FITZGERALD: No. What we are
19 basically saying is that, and I purposely
20 identified the discussion we had last year,
21 because we have unfinished business which, you
22 know, Mel, I think you were part of this, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were going to come back and just kind of
2 confirm some of the questions I think Mutty
3 started getting into it a little bit that
4 would give us confidence that in fact that is
5 the case.

6 So we are not saying we have
7 specific evidence. We are saying we have
8 never, I think closed out that question that
9 was raised in our meeting last summer, which
10 was to have this second session which would
11 put this to bed. And I think we still need to
12 have that but we have already talked about
13 this. But again, that is where I think it
14 stands right now.

15 CHAIR BEACH: And does anybody mind
16 if we just go ahead and take a break?

17 We are into an hour and a half and
18 then we will resume this conversation at 3:15,
19 unless you guys want to keep going.

20 Do you think you will lose the
21 thread?

22 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: I don't think we will
2 lose it. I just think it is break time.

3 MR. KATZ: 3:15.

4 MR. CHEW: I don't know if we can
5 close it right now, Brant. I think in the
6 discussion that we are going to probably have,
7 you know, we obviously have identified all, we
8 have identified all of the compounds and the
9 metal tritides here. So you want us to look
10 at that making sure that --

11 MR. FITZGERALD: Yes, that was the
12 action, was to go and --

13 MR. CHEW: -- the one that we --
14 there was none more that we identified that
15 was more stable than the one that --

16 MR. FITZGERALD: That was the
17 question from last year and you were going to
18 go off and do that and come back and we just
19 never came back.

20 MS. ROBERTSON-DeMERS: Right. And
21 provide a reference on how we determine that
22 stability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CHEW: It would be classified.

2 MR. KATZ: And that with that, we
3 are going to take a ten minute break.

4 MEMBER ZIEMER: Ted, I will be gone
5 after the break.

6 MR. KATZ: Okay. Thanks, Paul.

7 (Whereupon, the foregoing meeting when off the
8 record at 3:05 p.m. and resumed at
9 3:19 p.m.)

10 MR. KATZ: Okay, so this is the
11 Mound Working Group and we have just, we are
12 just coming off of a break. And we had lots
13 of discussion about tritium compounds and I am
14 not sure if we are moving forward to the next
15 issue.

16 CHAIR BEACH: No, we are still on
17 tritium. Is there any more discussion before
18 I kind of summarize where I think we are?

19 MR. FITZGERALD: Let's hear the
20 summary first.

21 (Laughter.)

22 CHAIR BEACH: Well, I am just going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to give a real small summary. Other than what
2 I have already asked for, I would like to see
3 a written response to SC&A's white paper from
4 NIOSH. And I would also like to move that we
5 schedule a secure meeting to discuss a couple
6 of the issues that were brought up at the last
7 secure meeting that have never been dealt
8 with. I believe Joe touched on them earlier.
9 The roadmap, and then demonstrate how some of
10 this is going to all be accomplished.

11 MR. KATZ: I think we need more
12 discussion about that. In terms of there was
13 some discussion about proof of principle or
14 whatever. I don't think that needs to be done
15 in a secure setting. Is that right, Brant? I
16 mean, you can do some dose reconstructions as
17 examples without --

18 DR. ULSH: Well, it depends on how
19 you define proof of principle. We can
20 certainly provide sample dose reconstructions.

21 CHAIR BEACH: But I think we would
22 like to have SC&A provide some --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Well, I think we
2 need discussion. I feel uneasy about having
3 an open discussion about designing a proof of
4 principle. Because I think, for example, some
5 of the 12 individuals I would like to know
6 what they did, who they are, and maybe they
7 would be part of this ER. But you know, that
8 would have to be done, I think, in a secure
9 location.

10 I am just saying, I think we need
11 to know at least what the functions, what work
12 they did, and maybe choose one of those as a
13 sample dose reconstruction.

14 MR. FITZGERALD: Joe, I would like
15 to add when you do discuss this matter, I
16 would be very interested in knowing whether
17 the limiting path exposure that is in OTIB-
18 0066 is in fact bounding for all forms of the
19 stable tritides that are at Mound. Or do you
20 believe that you are going to have to with the
21 more limiting?

22 MR. FITZGERALD: Well, I think has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to go toward sort of demonstrating upper
2 bound, which --

3 DR. MAURO: Yes.

4 MR. FITZGERALD: -- at this point,
5 money has raised some interest on my part to
6 understand better what was done at Mound when
7 he was there. And I think the basis for that
8 information and also some better knowledge of
9 the 12 individuals and maybe from that design
10 what this test, I don't know if you would call
11 it a test, proof of principle looked like.

12 I think it should be aimed toward
13 an upper bound. Not simply just dropping
14 numbers into an OTIB-066 model because I think
15 what we are trying to get to is, yes, as with
16 OTIB-0049, you know, we can take some of the
17 least soluble and design it so that it gives
18 you pretty good assurance that that would
19 represent an upper bound.

20 DR. MAURO: The only reason I say
21 that is if it turns out that OTIB-0066 does in
22 fact, in its limiting case, bound all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 circumstances for Mound, well, that would mean
2 that you could just, you know, default OTIB-
3 0066 as being the limiting pathway or, if it
4 turns out no, there were circumstances that
5 were unique at Mound and OTIB-0066 really
6 doesn't place an upper bound, we need to know
7 that.

8 DR. ULSH: I can already answer
9 that.

10 DR. MAURO: You can?

11 DR. ULSH: Yes. The tritides that
12 were present at Mound are covered by OTIB-
13 0066. They are listed by name in there.

14 DR. MAURO: Okay and the most
15 limiting form in OTIB-0066 does bound Mound?

16 DR. ULSH: The most limiting form
17 listed in OTIB-0066 is hafnium tritide and
18 there is nothing at Mound that is less soluble
19 than that.

20 DR. MAURO: You have answered my
21 question. Thank you.

22 DR. ULSH: You're welcome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ELLIOTT: We will further
2 answer this question in the documentation we
3 provide in response to the white paper. And
4 we will give you example dose reconstructions
5 but we are not going to give names. We are
6 not going -- of the 12 people, we are not
7 going to identify particulars about those 12
8 people.

9 The sensitivity about their work,
10 if you want to understand that, you can do
11 that in a secure briefing situation but we are
12 not going to come to the table with a proof of
13 principle that looks like one of the claimants
14 from that 12.

15 MR. FITZGERALD: Not but we can
16 certainly pick parameters or characteristics
17 that would be realistic but not traceable to
18 an individual, which would be helpful.
19 Because we don't know, you know, the
20 information I think you have garnered in terms
21 of this roster is something we have not seen.
22 I think it would be useful to see it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: I think you have seen
2 it. It was in the interview notes that we did
3 with the three workers, I won't say the names
4 but --

5 MR. FITZGERALD: We will take a
6 review but I would suspect those have been
7 screened.

8 Well, I think we can discuss this
9 further. We don't have to settle it at the
10 table but I think we need to --

11 MR. KATZ: Well, I just wanted to
12 be clear about the secure meeting. If you
13 want to go to a secure meeting to confirm
14 that, for example, that their model is
15 capturing the least soluble factor, for
16 example, that sort of thing, to make this kind
17 of confirmations, I think that is fine. There
18 is no problem with that. I just don't want
19 this closed meeting to be a debate about
20 methods itself because those should happen in
21 public here.

22 CHAIR BEACH: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes, I don't think
2 we are talking methods. I think we are
3 talking about confirming.

4 MR. KATZ: Right but for confirming
5 things, I think that is fine. That is what I
6 just want to make clear.

7 MR. FITZGERALD: And as I would
8 think input to perhaps settling on a couple of
9 scenarios to test out using OTIB-0066, I think
10 that would be the only other purpose I could
11 think of.

12 CHAIR BEACH: Well and then to take
13 a look at the addendum to the roadmap.

14 MR. FITZGERALD: Well, the roadmap.
15 The addendum to the roadmap.

16 CHAIR BEACH: Yes.

17 MR. FITZGERALD: Right. Those
18 would be sort of three nexuses.

19 CHAIR BEACH: Okay? You just have
20 that look, Brant.

21 DR. ULSH: You are in charge.

22 CHAIR BEACH: No, that is all I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have. And then I guess we can discuss that
2 time period at some other point.

3 MR. FITZGERALD: Well, yes, I think
4 that has been an open discussion as far as
5 having that next session.

6 CHAIR BEACH: Anybody else, any
7 comments or --

8 MR. LaBONE: This is Tom LaBone.

9 CHAIR BEACH: Hi, Tom.

10 MR. LaBONE: How are you doing?

11 CHAIR BEACH: Good.

12 MR. LaBONE: Could I make a few
13 points about OTIB-0066?

14 CHAIR BEACH: Sure.

15 MR. LaBONE: Do we have enough time
16 to do that?

17 CHAIR BEACH: Sure.

18 MR. LaBONE: Okay because I think
19 it would help focus any further discussion
20 that you have.

21 I wrote OTIB-0066 and in it there
22 are three models for tritium compounds. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are the tritides, organically bound tritium,
2 and the tritiated water. And there are
3 standard ICRP models for those three types of
4 compounds and that is what OTIB-0066 uses.
5 There is no OTIB-0066 model in the same sense
6 that there is an OTIB-0049 model, kind of
7 thing.

8 And really all OTIB-0066 tried to
9 show was that for those compounds, if you take
10 a look at what comes out in the urine that you
11 could use in existing OTIB, an existing
12 methodology, which is OTIB-0011 to analyze the
13 urine data and come up with a reasonable dose.

14 And I think that if you go through
15 and you know -- SC&A had some comments that I
16 need to address -- but in principle if you are
17 calculating a dose to a systemic organ like a
18 prostate or a liver and you have enough urine
19 samples, say you have a urine sample every
20 week, I don't need to know what the material
21 was, if it is one of those three compounds.

22 So, the whole discussion about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solubility is moot if it is a cancer of a
2 systemic organ. Where it becomes really
3 important what the solubility is, is if you
4 are talking about a respiratory tract cancer
5 or possibly GI tract. I don't know. Just
6 something where it is not in the systemic
7 body.

8 MR. FITZGERALD: Didn't you say,
9 though, that would be, by and large, the
10 greater number? I don't know. Is that
11 something that was mentioned, that the
12 respiratory tract would be more implicated
13 greater than systemic?

14 DR. NETON: Yes, the more
15 insoluble, the higher the respiratory tract
16 dose.

17 MR. LaBONE: Well, it is just if
18 you are working on a dose reconstruction that
19 involves a systemic organ --

20 MR. FITZGERALD: Right.

21 MR. LaBONE: -- then, the
22 solubility, I don't know need to know any.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes.

2 MR. LaBONE: And the person had an
3 adequate number of urine samples, I don't need
4 to go hunting for what was the material and
5 what was its solubility.

6 MR. FITZGERALD: Right.

7 MR. LaBONE: And that is all I was
8 trying to point out. So when you sit down to
9 discuss, it is a, it kind of focuses the issue
10 in on it is of importance for respiratory
11 tract cancers. It is not a broad, across-the-
12 board issue for every dose reconstruction.

13 And I don't think there is any
14 vigorous disagreement with that. But again,
15 just listening to the discussion, it helps to
16 narrow it down. It is a smaller problem or a
17 smaller issue than if it applied to everybody.

18 So that is really all I wanted to make the
19 comment on then.

20 MR. KATZ: Thank you, Tom.

21 CHAIR BEACH: Okay, if we are
22 finished with the tritides, we will move on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The next agenda item is Price-
2 Anderson related bioassay issues number 21.
3 And I believe, Joe, you were going to take the
4 lead on this one.

5 MR. FITZGERALD: Yes, this is one
6 we have been working iteratively, I think
7 going back and forth with NIOSH doing reviews
8 as well as we. And it involved a concern that
9 was raised about actinium-227 urine bioassay
10 samples that were collected. You know, in
11 1991, this is the Price-Anderson Act
12 enforcement issue that I think certainly most
13 people at Mound were familiar with. And it
14 raised a number of questions about the
15 validity of bioassay and whether or not the
16 way that was handled was reflective of how the
17 bioassay program was being implemented at
18 Mound, just you know, at that time frame as
19 well as historically.

20 And back in July, NIOSH presented a
21 white paper on the subject that pretty much
22 itemized a brief description of each of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three DOE enforcement actions relevant to
2 dosimetry details. Mound's response to the
3 enforcement action is what they did and any
4 SEC implications and provided a pretty
5 detailed chronology of actinium-227 problems
6 as well.

7 So, in terms of the NIOSH and SC&A
8 actions, we focused on essentially the 20 RWPs
9 that the Work Group wanted us to disposition
10 from the standpoint of what the implications
11 were for the adequacy of bioassay and whether
12 or not that would surface an SEC issue, in
13 terms of the validity of bioassays.

14 And without going through a
15 detailed chronology, I know the time is going
16 to get a little tight, where we came out, each
17 of the 24 relevant Price-Anderson related RWP
18 issues were dispositioned by both NIOSH and
19 SC&A. And the only exception, we had five
20 items from our listing, and this is in the
21 white paper that we provided NIOSH and the
22 Work Group back last month. We only had five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 items left and they essentially went back to
2 this question of data adequacy which we
3 address in Issue 11.

4 So some of these issues get back to
5 the adequacy of urinalyses for actinium,
6 rather than other implications. So, we have
7 kind of handed it off as part of the overall,
8 and it is mentioned in the Issue 11 white
9 paper. But we ended up feeling that there
10 weren't any SEC issues that came out of that
11 detailed dispositioning of the RWP issues.

12 We did have three questions,
13 really, that remained beyond the question of
14 the SEC implications we felt were important
15 ones to address. The first is how will dose
16 reconstruction be completed for individuals
17 who entered under RWPs without appropriate
18 tritium bioassay and did not submit a post-job
19 tritium bioassay sample in a timely manner.

20 And the second one was, and these
21 are all hows, how will dose reconstruction be
22 completed for individuals who entered under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 RWPs without appropriate Pu, thorium, uranium,
2 radium, actinium, or americium bioassay
3 samples that did not have a follow-up sample
4 to those discovered in '95.

5 And finally, the third question
6 that was pretty much what was left from this
7 review, how will dose reconstruction be
8 completed for the 11 individuals who submitted
9 actinium bioassay samples that did not have a
10 follow-up sample to those discovered in 95.

11 So really those are clarifying
12 questions. You know, again, I think we agree
13 with the conclusions that NIOSH reached on its
14 review of the RWPs. And we agree that we
15 don't see any obvious SEC implications from
16 those RWPs but we do have those three
17 questions.

18 And that is pretty much where we
19 ended up and that is kind of abbreviated.
20 There was a long history on this one and we
21 spent a lot of time, as did NIOSH, going
22 through all these RWPs and looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 history of the enforcement actions. So, it is
2 a pretty detailed analysis.

3 DR. ULSH: All right. Gene Potter,
4 are you on the line?

5 MR. POTTER: Yes, Gene Potter, ORAU
6 Team. No conflicts with Mound.

7 DR. ULSH: All right. Gene is the
8 guy on our team who pretty much handled the
9 investigation of these Price-Anderson Act
10 issues. So Gene, do you want to respond?

11 MR. POTTER: You know, I don't
12 think that I can, you know -- since we haven't
13 coordinated on this, I don't think I can
14 respond directly to how dose reconstructions
15 can be done but I have looked up some
16 additional data, if you would like me to
17 present that.

18 DR. ULSH: Sure.

19 MR. POTTER: On the first question
20 which related to no tritium bioassay follow-
21 ups by some people within 30 days of their
22 last entry on the RWP, there were seven RWPs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involving tritium. They were called out in
2 the follow-up to the Price-Anderson.

3 And if you look at, and SC&A
4 observed that there were 38 to 67 percent of
5 the individuals who did not submit a sample
6 within 30 days. If you look at the individual
7 RWPs, you find that for the first one -- three
8 people submitted within 30 days out of three
9 workers. The second one with 64 out of 105;
10 24 out of 46; eight out of 13; two out of
11 four; one out of three; three out of eight.
12 For the total number of entries, worker
13 entries was 105 within 30 days out of 182
14 total worker entries. So we are literally
15 dealing with a glass half full, glass half
16 empty type argument.

17 And of course, NIOSH does not do
18 DRs RWP by RWP. But if I were an internal
19 dosimetrist and looking at data, I would say I
20 certainly could bound the doses for those
21 individual RWPs.

22 MR. FITZGERALD: That would be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coworker, basically?

2 MR. POTTER: Well, no. I am not
3 suggesting that this actually be done, unless
4 you know, Liz wants to choke me or something.

5 I am just saying that there are lots of, you
6 know, you can look at this as there is lots of
7 tritium data missing or you can look at it as
8 there is lots of tritium here that was
9 collected.

10 In addition, the picture might
11 actually be a little bit better than what this
12 data shows and others that, you know, I don't
13 want to put anyone on the spot, but others
14 there may be able to make a comment on this,
15 that in the end, in the modern era at Mound,
16 how the RWP program worked was that workers
17 were only sampled on a period basis, not at
18 necessarily after every entry on the RWP.

19 So for instance, a person may be
20 going from tritium RWP to tritium RWP and only
21 receiving a tritium sample at some point when
22 the database was queried. And so this would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fall more into a chronic-like scenario that
2 NIOSH is likely to use in reconstructing these
3 doses. I should, you know, comment that I am
4 not a qualified dose reconstructor on Mound
5 but that is my assumption.

6 If we looked at the second
7 question, which basically involved people not
8 getting follow-up bioassays on plutonium,
9 thorium, uranium, radium, and actinium in some
10 cases, and americium, there were 14 RWPs that
11 involved plutonium. Let's just take a look at
12 plutonium, for example, instead of running
13 through all of those which would be quite
14 time-consuming.

15 I did a similar sort of thing only
16 I looked at bioassays for plutonium submitted
17 within 90 days, which is probably a more
18 reasonable thing since plutonium is more
19 insoluble. And if I looked at the first four,
20 I won't run through all of the numbers for
21 you, but if I looked at the first four, where
22 we were successful or the data showed that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mound was successful, three out of three times
2 for the first RWP; seven out of nine times;
3 eight out of 11 times; and one out three times
4 for 90 days but it would be two out of three
5 if you fudged it to 91 days.

6 Overall, there were 77 samples
7 successfully submitted within 90 days out of a
8 possible 101 last entries. So, I should
9 mention that the average for submitting
10 samples was somewhat over 50 days, depending
11 on how you calculate it.

12 In addition to the comments I made
13 earlier about whether a person could have
14 possibly been sampled on a periodic basis and
15 not necessarily when they made the last entry
16 to a specific RWP, this analysis that I did
17 and was presented in the data before cutoff at
18 the end of 1997, so we extended the picture
19 into 1998 for plutonium, which as I mentioned
20 is more insoluble, the picture would probably
21 be better than stated.

22 The third question was the actinium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 samples for 11 individuals. And this is from
2 the 2001 Price-Anderson enforcement action for
3 the August of 2000 discovery of 15 unanalyzed
4 samples. Later it was determined four of the
5 15 had submitted samples. So that leaves you
6 with 11. We were unsuccessful in finding any
7 record of who the 11 people were by name but
8 by doing database queries, we found a list of
9 14 individuals who met the specific criteria.

10 So I personally, I should say, I don't speak
11 for NIOSH, but I personally feel that the list
12 of 11 is likely to be included in this 14 and
13 therefore, this should not be an SEC issue.
14 We know who these folks are.

15 And again, I don't want to comment
16 on how specific dose reconstructions may be
17 done but that is the results of the additional
18 data search that I did.

19 MR. FITZGERALD: Okay. And what
20 did you search on? What were your search
21 parameters? I mean, how did you find these
22 14?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. POTTER: Oh.

2 MR. FITZGERALD: Time frame and
3 location?

4 MR. POTTER: I think it is
5 described in the paper that you reviewed. Let
6 me see if I can find it quickly so I don't
7 make an error relying on my memory here.

8 I believe it is described on page
9 10 of 16.

10 MR. FITZGERALD: Okay. Well, don't
11 take the time. I mean, we will go back but I
12 am just curious.

13 MR. POTTER: Basically if I could
14 describe it in generalities, folks were not
15 sampled within a certain time period and had
16 not submitted a follow-up sample. So you
17 know, that is sort of the way I did it.

18 DR. ULSH: So kind of like the
19 other issues that we have discussed that SC&A
20 has issued white papers on, I would propose
21 that we respond to their white papers.

22 CHAIR BEACH: That is what I wrote

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 down.

2 DR. ULSH: I thought you probably
3 did.

4 MS. ROBERTSON-DeMERS: This is
5 Kathy. Can I make a clarification?

6 CHAIR BEACH: Sure.

7 MS. ROBERTSON-DeMERS: I think the
8 bigger question on number two is if the
9 individual went in on an RWP and then never
10 submitted the sample after he made that entry,
11 versus submitting it within 90 days. So maybe
12 he left Mound before submitting a follow-up.

13 DR. ULSH: So are you saying then
14 that we might have used --

15 MS. ROBERTSON-DeMERS: Now, the
16 question is directed at that type of a person.

17 DR. ULSH: No, I understand.

18 MR. FITZGERALD: Well, if that
19 person existed.

20 DR. ULSH: Sure. We used the
21 criteria or Gene used the criteria of 90 days
22 but I guess maybe it was 100 days or 180 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That guy is probably not of concern. It is
2 the guy that never gave one that you are
3 worried about.

4 MS. ROBERTSON-DeMERS: Right.

5 DR. ULSH: Okay.

6 Now, I don't think that that is a
7 Mound-specific issue. It is an issue anywhere
8 that, I mean, I think that the sites did the
9 best that they could to get exit bioassay
10 samples from people. But there is always a
11 chance that someone could --

12 MR. SHARFI: They thought that they
13 won't give a termination sample, there is
14 nothing a site can do.

15 CHAIR BEACH: That comes up in some
16 of our D&D time period also. Yes, okay.
17 Anything else on 21? Any comments from the
18 Work Group?

19 Are we ready to move on to -- I
20 didn't ask. What is the end time for people?

21 I mean I don't want to hold people past when
22 they normally stay at work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: About 9:00.

2 (Laughter.)

3 CHAIR BEACH: Oh, so we can clearly
4 get through the next couple of items? Is that
5 agreeable? Yes? I want to know at least what
6 time they want to leave.

7 MR. KATZ: Let's give it a shot.

8 CHAIR BEACH: Give it a shot. Okay.

9 So, let's move on to shallow dose
10 issue number 16, once again led by SC&A. And
11 I think Joe is also handling that one.

12 MR. FITZGERALD: That one I am
13 going to defer to Ron. We had a technical
14 call a couple, two or three weeks ago and I
15 don't know if we talked about shallow but did
16 we? Okay, so this -- why don't you just give
17 a summary of where this came from.

18 MR. LEWIS: Joe, I don't mean to
19 interrupt but this is Greg Lewis. I just
20 wanted to let you know that DOE is on the
21 call. We joined a little late but I just
22 wanted to make sure everyone knows we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available, maybe not for direct questions but
2 if there are things come up on this call that
3 you need addressed, we can make sure to get
4 the answers for you and let you know. Thanks,
5 everybody.

6 MR. FITZGERALD: Thanks, Greg.

7 CHAIR BEACH: Great.

8 MR. BUCHANAN: Okay, this is Ron
9 Buchanan with SC&A. And the shallow dose was
10 brought up several times and we wanted to kind
11 of bring this up to date and what needs to be
12 done with this, at this point.

13 Shallow dose, of course, just a
14 quick review, is defined as a non-penetrating
15 dose, which is the low energy gammas, betas
16 that would come from a low-energy emitter.
17 And at Mound, one of the complicating issues
18 was that initially they had beta radiation,
19 and then they didn't have any for quite a
20 while, and then they had some later on.

21 And so that led to two problems
22 when we analyzed the records from Mound was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that 1) that led to a large gap in beta
2 dosimetry period just because they didn't
3 effect any. So there wasn't any reading of
4 the open window. In the dosimetry if they
5 took the open window minus the shielded
6 window, that was the shallow dose but that
7 wasn't done a lot of the times because they
8 didn't expect any dose. There was kind of an
9 informal directive that if they had seen some
10 darkening of the window, they would read it.
11 There wasn't any real hard and fast policy
12 though.

13 And since they did not expect much
14 shallow dose, they did not calibrate for it.
15 And so there was no real calibration for
16 shallow dose until the 1979 area. And then it
17 really didn't get DOE Lab accredited until
18 about 89, 88-89 period. And so this was after
19 TLDs had been in for ten years or so.

20 And so what SC&A did was bring up
21 the fact that whether this is really an SEC
22 issue that has not been identified but we did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to bring up this issue and see where it
2 stood. And so we presented these three
3 factors, the lack of monitoring, the lack of
4 calibration, and three, DOE accreditation.

5 And so this led to two action items
6 from NIOSH. Last fall, they provided us with
7 a list of 108 shallow dose cases that I went
8 through and will present a short summary on
9 and then they also brought it in March of 09,
10 a review of the Mound shallow dose prior to
11 1991.

12 And so I would like to summarize
13 where we are at on those. And so last fall
14 from these 108 cases, I went through an
15 analyzed 18 of these cases that were shallow-
16 dose cases and determined how many of them had
17 any recorded shallow dose. And of the 18 that
18 I selected, randomly from the workers
19 description, they might have them. I didn't
20 select like secretaries or administrators, but
21 technicians and stuff that might have some
22 shallow dose. I found four of the 18 had some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 record of shallow dose and that these were
2 years without any adjustment factors when the
3 dose reconstruction was done. And usually it
4 was the number right out of the dose of
5 record. So there was no adjustment made to
6 them.

7 And so, then I went and reviewed
8 the NIOSH's white paper in response to these
9 issues and found that essentially what NIOSH
10 proposed was recognizing that there was no
11 monitoring and perhaps sometimes should have
12 been monitoring for shallow dose. They came
13 up with essentially a summary table on page 10
14 of their white paper, table four, which they
15 went back and looked back at the workers that
16 might be exposed, had the potential for
17 exposure to shallow dose and have to assign a
18 shallow dose and what they did was used a
19 shallow dose to photon dose ratio. So, if the
20 worker was monitored for photon dose, then
21 they would assign a factor of anywhere from
22 one to four as a shallow dose. And this was,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 depending on the year and location that the
2 worker worked.

3 And so I went through and analyzed
4 this and SC&A does not have any SEC issues
5 with their proposed white paper but where we
6 do stand on it is we felt two issues. Number
7 one, we felt that it was incomplete for the
8 latter years because they started calibration
9 in 79 and started using TLDs in the 70s. And
10 but they didn't get lab accreditation, no lab
11 accreditation until 88-89. And so we would
12 like to see what adjustments need to be made
13 to the dose of record for shallow dose or for
14 shallow dose as a function of photon dose
15 during the TLD area of 10 DOE accreditation.
16 We felt that the white paper was incomplete in
17 that area. That is number one.

18 Number two is that we feel that
19 although the correction factors given for the
20 previous time frame are agreeable, we don't
21 know it is complete until we see it actually
22 implemented in the TBD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STEWART: Just to update you on
2 that, the external TBD for the Mound site is
3 currently under review. And right now, it has
4 a due date to ADC review of June 6th. So, and
5 I am writing it and I also wrote this. So,
6 this is in there.

7 MR. BUCHANAN: The dose charts, the
8 tables and such are in there.

9 MR. STEWART: Yes. Yes, this will
10 go in there and not as a piece of whole cloth,
11 but where appropriate, sections are broken out
12 in this table.

13 CHAIR BEACH: So do we put your
14 name on the action item then, Don?

15 MR. FITZGERALD: It's going to go
16 there anyway.

17 CHAIR BEACH: Just kidding. Okay.

18 MR. KATZ: Thanks for volunteering.

19 MR. STEWART: Actually, probably
20 the best way to measure implementation is
21 simply to review the draft when it comes out.

22 CHAIR BEACH: So SC&A to review the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 draft.

2 MR. STEWART: Once approved. Once
3 we reach the right level of approval, then
4 turn it to SC&A.

5 CHAIR BEACH: Right, right. And
6 then the only other question from the Work
7 Group is that NIOSH would answer SC&A's
8 concerns in their white paper, responding to
9 your earlier white paper.

10 MR. FITZGERALD: If he is going to
11 do a white paper, I mean we gave the original
12 feedback.

13 MR. BUCHANAN: Yes, we gave the
14 feedback to the white paper.

15 MR. FITZGERALD: Yes.

16 CHAIR BEACH: Back in April, yes,
17 but we have never had an answer to it, I don't
18 believe. Did we? Or is that --

19 MR. KATZ: It sounds like this is
20 the answer.

21 CHAIR BEACH: This is the answer to
22 it. Oh, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BUCHANAN: Well, there has not
2 been a direct answer to our --

3 MR. STEWART: In terms of your
4 comments one and two, no. I can address
5 comment two because we are implementing this
6 program in the TBD. That was -- we are doing
7 that.

8 CHAIR BEACH: Right.

9 MR. STEWART: I haven't addressed
10 comment one yet.

11 MR. BUCHANAN: Okay. And there are
12 site profile issues addressed in our reply
13 that wouldn't be SEC issues.

14 MR. FITZGERALD: They are
15 identified as such. So you know, we label
16 them that way and understood that, you know,
17 the SEC review process as site profile issues
18 are identified, we hand them off but we don't
19 pursue them until the next edition of the site
20 profile.

21 CHAIR BEACH: Right.

22 DR. ULSH: So really there is only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one outstanding question and that is what
2 adjustments need to be made to the dose of
3 record between 79 and 89.

4 CHAIR BEACH: Yes.

5 DR. ULSH: So, I will address that
6 question.

7 CHAIR BEACH: Okay. Are we ready
8 to move on, then? Yes, okay. The last to
9 pick today is the environmental issue number
10 20 and SC&A is going to lead that.

11 MR. FITZGERALD: Yes, this was a
12 secondary issue that we included in the site
13 profile. And what I mean by secondary is that
14 with the Price-Anderson, we had some
15 clarifying questions we wanted to raise but
16 certainly didn't feel there was a complete
17 basis to identify it as a full SEC issue. So,
18 it was on the cusp. And the reason we raised
19 it was a comment or a statement in the ER that
20 Mound did not generally experience significant
21 site-wide ambient contamination and there was
22 less concern about the potential for internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dose related to ambient working conditions.

2 And this, I think, Brad, you were
3 raising some questions about site
4 contamination, historic site contamination
5 events. And we had a number of workers that
6 raised issues like that. And so our question
7 was, you know, you are going to apply an
8 ambient value. To what extent does the
9 ambient value reflect this history,
10 relatively rich history? We have offered some
11 examples but the response was I think you all
12 felt these were more examples of localized
13 contamination that would not impinge on this
14 ambient value that would be applied for the
15 site, site-wide. And we sort of got into this
16 discussion about the statement itself. I
17 think there was even an offer at some point
18 during the discussion just to consider
19 removing the statement.

20 I think our concern is just the
21 statement more than anything else, that there
22 were sources of contamination at Mound and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wasn't clear how that would be included in the
2 ambient calculation. I think the response was
3 there would be a maximum ambient value
4 applied. And I thought that was a reasonable
5 clarification but, Brad, you may want to --
6 this was the issue you raised earlier that we
7 raised in that context.

8 MEMBER CLAWSON: Well, you know, I
9 was just trying to see where you were going
10 with the outside because I guess from some of
11 the interviews that we have had and so forth
12 like that, they have brought up concerns with
13 that.

14 I guess one of the issues that they
15 had brought up was that it was totally there
16 was a coal-fired plant that was down the road
17 that was supposedly spreading all of this
18 contamination at the site and that it had been
19 taken away, that actually it was coming from
20 the site.

21 Then getting back to, I know we
22 don't want to talk about the microfiche but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thought also too on that microfiche that we
2 should have talked about it earlier. Part of
3 the thing that came out on that was that they
4 actually had stacked results were coming out
5 of that and that they had ambient information
6 of what had actually gone out of the stack and
7 so forth like that.

8 And I was just wondering, how are
9 we going to do the ambient exposure, I guess
10 you could say, or the outside exposure. And
11 this covers all areas of it because it covers
12 the D&D era and then it also covered the
13 earlier years of what people were getting,
14 especially out of the stacks and so forth like
15 that. And I just had some questions of how we
16 were going to do that.

17 DR. ULSH: Well, keep in mind that
18 Mound, like other sites, issued environmental
19 monitoring reports that talked about -- I
20 mean, they had a variety of samplers around
21 the site. And I think that we would propose
22 to use that data the same way we do at other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sites for ambient environmental. And that
2 typically includes taking the maximum possible
3 exposure at the site based on the monitoring
4 results and applying that, certainly, to
5 unmonitored individuals. Especially at Mound,
6 in light of what I said earlier, where the
7 position is that if you were not monitored,
8 that is where we would assign an ambient
9 environmental dose.

10 Jim was correct. We got into a bit
11 of a discussion about that statement from the
12 ER. I recall that discussion, too. I
13 couldn't find it in the record anywhere but I
14 know we had it because I remember it just like
15 we did. And SC&A took some exception to our
16 statement that they didn't have site-wide
17 ambient contamination widespread. I think
18 some of that may be a bit of semantics. When
19 we talk about site-wide, what do we really
20 mean?

21 Mound certainly had contamination
22 incidents, one of which I think was cited by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 SC&A and that was the contamination at the
2 canal near Mound by the plutonium spill. And
3 our response was that well that contaminated
4 the sediments in a canal and workers were
5 mucking around in that periodically. And when
6 we are talking about ambient site-wide
7 contamination, this is walking-around dose.
8 This is what you get just walking from
9 building to building or from your car to the
10 gatehouse.

11 And there were a few other examples
12 I think that you all presented. Contaminated
13 equipment turning up where it wasn't supposed
14 to be, that kind of thing. And I think we
15 took issue with that. We said that those
16 really aren't examples of ambient site-wide
17 contaminations but this, I think, is more of
18 semantic discussion. We would propose to do
19 environmental doses at Mound, just like we
20 would do anywhere else.

21 MR. FITZGERALD: Yes, the bottom
22 line is you would take the maximum monitoring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 value for a given year -- you do it by year,
2 right?

3 DR. ULSH: Yes.

4 MR. FITZGERALD: And you would
5 assign that as the ambient, the maximum
6 ambient, which I think is where we came out,
7 finally. I mean, that is one reason it was
8 listed as a secondary because we had a
9 question about the statement and wanted to
10 clarify exactly what that meant. We
11 interpreted it perhaps the wrong way and I
12 think what we heard was this was how it was
13 going to be done. And I think that was fine
14 but it took a lot to get there. It was fine
15 by the time we did figure out what that
16 statement meant.

17 DR. ULSH: Well, given that we had
18 the same recollection on that --

19 MR. FITZGERALD: Yes.

20 DR. ULSH: -- what is the opinion
21 about whether or not this is an open SEC
22 issue?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Kathy has got her
2 hand up real quick.

3 MS. ROBERTSON-DeMERS: Can I ask a
4 question?

5 MR. STEWART: Yes.

6 MS. ROBERTSON-DeMERS: How far back
7 do you have the environmental reports?

8 MR. STEWART: Raw data-wise, they
9 started reporting soil and water measurements
10 in the very early days, in the 40s.

11 DR. ULSH: I think the actual
12 official ambient -- I'm sorry. The official
13 environmental monitoring reports, the 70s or
14 80s, it seems. That was, I think, the
15 reporting vehicle for those.

16 MS. ROBERTSON-DeMERS: And a
17 different question. How far back do you have
18 the reports, the environmental reports
19 available to you?

20 DR. ULSH: I would have to look
21 back in the record for that, Kathy. I don't
22 really know exactly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STEWART: I don't know either.
2 I did not write in the TBD.

3 DR. NETON: But the TBD is covered,
4 I am quite sure in some way.

5 DR. ULSH: Yes.

6 DR. NETON: There is a whole
7 section on ambient environmental.

8 MR. STEWART: Yes, have you looked
9 at the references in the TBD?

10 MS. ROBERTSON-DeMERS: That was --
11 not yet.

12 MR. STEWART: Okay.

13 DR. ULSH: Well, that TBD was
14 written a number of years ago but I would
15 encourage you to not just assume that, if it
16 is not listed in the TBD, we don't have it
17 because there has been a lot of data capture
18 between then and now.

19 MR. FITZGERALD: Going back to
20 Brant's original comment, that was lifted into
21 this sphere as a question but you know was
22 sort of listed as a demarcation after 19. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 somehow the demarcation kind of got lost in
2 the shuffle. But certainly 20 and 21 were
3 considered clarifying issues that were
4 included to get a response, as opposed to
5 helping them as big SEC issues. And somehow
6 they got lost in the shuffle but I think we
7 are satisfied on the environmental side.

8 CHAIR BEACH: So I am hearing that
9 SC&A is satisfied as long as NIOSH agrees to
10 remove that statement or change that
11 statement. How did that go?

12 MR. FITZGERALD: Well, I think we
13 understand what the statement means. We
14 didn't at the time when we saw it in the ER.

15 CHAIR BEACH: But it doesn't
16 necessarily have to be removed.

17 MR. FITZGERALD: I don't think so.
18 I think we understand what the meaning of the
19 sentence is, or the statement is.

20 DR. ULSH: Yes, we are on record
21 with this discussion.

22 MR. FITZGERALD: Yes, I didn't mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for it to balloon.

2 CHAIR BEACH: That was an April
3 discussion, I believe.

4 MR. FITZGERALD: Right. Right,,
5 right. We have had this discussion but I
6 think that would take care of it. I would
7 propose that the Working Group close it.

8 CHAIR BEACH: Okay and I understand
9 that. How does the Working Group feel about
10 closing that item?

11 MEMBER CLAWSON: I don't really see
12 a problem but I do have one question and it
13 keeps coming back to this. So we are actually
14 redoing the whole TBD or we are reevaluating
15 the TBD?

16 MR. STEWART: Currently under
17 revision is the external TBD and that will be
18 done soon. And that is one of the six
19 sections.

20 We will also need to necessarily
21 review the revised internal section,
22 incorporate the proposed for tritium and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other radionuclides that we talked about
2 today.

3 MEMBER CLAWSON: And the reason I
4 am bringing this up is because we evaluated
5 this earlier TBD and now we are seeing things
6 that are changed. Well, we don't know what is
7 changed.

8 DR. ULSH: Yes, you are right,
9 Brad. I mean, this was one of the earliest
10 site profiles that we wrote. It was, I think,
11 one of the earliest ones that SC&A reviewed
12 and they issued comments on it.

13 MEMBER CLAWSON: Right.

14 DR. ULSH: We are picking that TBD
15 up again. We are going to be considering a
16 number of things; SC&A comments on the first
17 TBD, the additional information and discussion
18 that has come up as part of this SEC process.

19 So, I am trying to capture all of that into a
20 sensible revision TBD.

21 MEMBER CLAWSON: Okay and my
22 question was Joe, are we going to follow up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the changes that are made to those?

2 MR. FITZGERALD: Well, yes.

3 MEMBER CLAWSON: You know, we are
4 closing these issues but I just wanted to see
5 how they play out into the TBD.

6 MR. FITZGERALD: Well, there is two
7 avenues post-SEC. One of course is that the
8 Advisory Board can always task us with redoing
9 the next revision. And that has already
10 happened in a couple of locations where a new
11 edition of the site profile comes out and the
12 Advisory Board tasks us with reviewing and
13 seeing what has changed and providing
14 comments.

15 The other is something I think Mark
16 Griffon has raised in the past, which is sort
17 of these site profile issues that come out of
18 an SEC discussion, but there isn't either a
19 work group or there isn't a revision of the
20 site profile. And that is a little tougher
21 issue.

22 MEMBER CLAWSON: Okay, and that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of --

2 MR. FITZGERALD: That is not the
3 case here.

4 MEMBER CLAWSON: Right.

5 MR. FITZGERALD: It sounds like we
6 are actually going to have this picked up in a
7 new edition.

8 MEMBER CLAWSON: And this is why I
9 was bringing this up because I have been
10 working with Mark on some of these issues and
11 so forth.

12 MR. FITZGERALD: Right.

13 MEMBER CLAWSON: I just wanted to
14 make sure that by closing these that, you
15 know, we just see how they are reincorporated.

16 MR. FITZGERALD: This works but I
17 do agree that the other venue is a little
18 harder because we don't have that easy ability
19 to tackle it.

20 MEMBER CLAWSON: I appreciate that.

21 DR. MAURO: This is John.
22 Procedurally, if the Work Group is an SEC/Site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Profile Work Group the way I believe Hanford
2 and Fernald is, though you have resolved,
3 let's say, certain issues in terms of, oh,
4 this is not an SEC issue, it is a site profile
5 issue, then and that work group is active,
6 well that just continues and we continue to
7 meet and work on those issues.

8 However, we have had circumstances
9 where we have commented on the site profile
10 and the site profile has been reissued and
11 let's say a year passes, we would not
12 automatically review that document unless the
13 Work Group asked us to review it. In other
14 words, once we have submitted our comments on
15 a site profile and then the site profile is
16 reissued, perhaps it does and perhaps it does
17 not, we would not automatically take any
18 action unless the Board or the Work Group asks
19 us to do that.

20 MR. KATZ: Thanks, John. And this
21 Work Group, I mean, the Board can task, too.
22 It doesn't have to be a work group. The Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can task a re-review of a site profile that
2 has been substantially changed. This Work
3 Group is specific to the SEC.

4 CHAIR BEACH: That's right.

5 MR. KATZ: It is not a site profile
6 work group. And of course, the Board can also
7 convert this work group to a site profile work
8 group, if it so chooses.

9 MEMBER CLAWSON: Well, and I think
10 we have kind of learned some things through
11 the years. We really don't close out the site
12 profile until after the SEC --

13 DR. NETON: Well, yes, the matrix
14 would remain. You know, all of the site
15 profile issues will remain open. And then Ted
16 is right. The Board could reconvene this
17 working group or form another working group to
18 close out the site profile.

19 MEMBER CLAWSON: Okay, I just
20 wanted to make sure.

21 CHAIR BEACH: Well, we were just
22 wondering if that was more of a formality than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anything else.

2 DR. NETON: What had happened
3 before was occasionally, there would be
4 working groups that were already site profile
5 working groups and then an SEC petition would
6 come onboard and then it would convert, but
7 that is not the case here.

8 CHAIR BEACH: Okay, so at the
9 recommendation of SC&A, the Work Group
10 recommends that we close Issue 20, which was
11 never actually viewed as an SEC issue, I
12 believe it was a secondary, with acceptance of
13 NIOSH's practice of a maximum value being
14 derived for Mound's occupational environmental
15 ambient dose.

16 Does that cover it? Is everybody
17 comfortable with that? Do we close two today?

18 DR. NETON: Just for everybody's
19 information, I pulled up the Mound
20 environmental site profile and it turns out
21 that I think the official environmental
22 reports only go back to 71. And what we did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was use, I think, effluent data when we had
2 stack effluent emissions the delta chi over q
3 values and picked the highest receptor point
4 on site for those earlier years going all the
5 way back. The official environmental reports
6 were not available in the very early years.

7 MR. CHEW: Can we get a late start
8 on day two?

9 CHAIR BEACH: No.

10 MR. CHEW: I'm teasing. I'm only
11 teasing.

12 CHAIR BEACH: No. No, no, no.

13 I guess that would conclude our
14 work for today, if everybody is in agreement.

15 MR. KATZ: Thanks, everybody, for
16 all of the hard work.

17 CHAIR BEACH: Yes, thank you.

18 MR. KATZ: And folks on the line,
19 thanks.

20 (Whereupon, the above-entitled matter was
21 concluded at 4:08 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701