

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL AND PREVENTION

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH
ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON MOUND

+ + + + +

MEETING

+ + + + +

THURSDAY, MAY 28, 2009

+ + + + +

The Work Group convened at 9:30
a.m., in the Zurich Room of the Cincinnati
Airport Marriott Hotel, Josie M. Beach, Work
Group Chair, presiding.

MEMBERS PRESENT:

JOSIE BEACH, Chair
BRAD CLAWSON
PHILLIP SCHOFIELD
PAUL L. ZIEMER*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

MEMBERS ABSENT:

ROBERT PRESLEY

ALSO PRESENT:

THEODORE M. KATZ, Acting Designated
Federal Official

BOB BISTLINE, SC&A
ELIZABETH BRACKETT*, ORAU Team
RON BUCHANAN, SC&A
MELTON CHEW, ORAU Team
LARRY ELLIOTT, NIOSH
LEO FAUST*, ORAU Team
JOE FITZGERALD, SC&A
EMILY HOWELL, HHS
KARIN JESSEN, ORAU Team
TOM LaBONE*, ORAU Team
JOYCE LIPSZTEIN*, SC&A
ROBERT MORRIS, ORAU Team
JAMES NETON*, NIOSH
GENE POTTER, ORAU Team
BRYCE RICH*, ORAU Team
KATHY ROBERTSON-DeMERS, SC&A
MUTTY SHARFI, ORAU Team
DON STEWART, ORAU Team
BRANT ULSH, NIOSH

*Present via teleconference.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

Page	
	Welcome..... 8
	NIOSH - Neutron dose reconstruction..... 8 issue 14&15
	NIOSH - High-Fired PU-238 issue 9..... 130
	SC&A - Radon issue 2..... 162
	NIOSH - exposure to non rad buildings..... 194 issue 17
	NIOSH - "Road Map" Integrated issues..... 259 1,3,4,7 & 8
	NIOSH - D&D years..... 236
	WG - Assigned Action Items, future..... 264 meeting plans

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 9:33 a.m.

3 MR. KATZ: Good morning, everyone
4 in the room and on the line. This is Ted
5 Katz. I am the acting designated federal
6 official for the Advisory Board of Radiation
7 Worker Health. And this is the second of the
8 two-day meeting of the Mound Working Group.
9 And we are ready to begin.

10 And we will begin with roll call,
11 starting with Board members in the room.
12 Please, everyone who responds to the roll call
13 except people in the public, of course, speak
14 to your conflict of interest as well status.
15 Thanks.

16 Beginning in the room?

17 CHAIR BEACH: Josie Beach, Working
18 Group Chair. No conflicts.

19 MEMBER CLAWSON: Brad Clawson,
20 Working Group Member. No conflict.

21 MEMBER SCHOFIELD: Phillip
22 Schofield, Working Group Member. No conflict.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: And on the line? Do we
2 have any Board members on the line?

3 MEMBER ZIEMER: Paul Ziemer. No
4 conflicts.

5 MR. KATZ: Welcome, Paul.

6 Okay. And then in the room, the
7 NIOSH ORAU team?

8 MR. ELLIOTT: Larry Elliott,
9 Director of the Office of Compensation
10 Analysis and Support. No conflict.

11 MS. JESSEN: Karin Jessen, ORAU
12 team. No conflicts.

13 MR. CHEW: Mel Chew, ORAU team. No
14 conflict.

15 MR. MORRIS: Robert Morris, ORAU
16 team. No conflict.

17 MR. STEWART: Don Stewart, ORAU
18 team. No conflict.

19 MR. SHARFI: Mutty Sharfi, ORAU
20 team, conflicted.

21 DR. ULSH: Brant Ulsh from NIOSH.
22 No conflict.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: On the line, NIOSH/ORAU
2 team?

3 DR. NETON: This is Jim Neton,
4 NIOSH. No conflicts.

5 MS. BRACKETT: Elizabeth Brackett,
6 ORAU team. I do have a conflict.

7 MR. KATZ: This is Bryce Rich, ORAU
8 team. I'm conflicted.

9 MR. FAUST: Leo Faust, ORAU team.
10 No conflicts.

11 MR. KATZ: Any more NIOSH or ORAU
12 team on the line?

13 MR. LaBONE: Yes, yes. This is Tom
14 LaBone. I am conflicted.

15 MR. KATZ: Okay. And then so that
16 takes care -- now, HHS or other federal
17 officials or contract staff in the room?

18 MS. HOWELL: Emily Howell, HHS. No
19 conflicts.

20 MR. KATZ: And on the line? Any
21 federal officials or contract staff?

22 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay. And then members
2 of the public? Oh, no. SC&A in the room?

3 MR. FITZGERALD: Yes. Joe
4 Fitzgerald, SC&A. No conflict.

5 MR. BUCHANAN: Ron Buchanan, SC&A.
6 No conflict.

7 DR. BISTLINE: Bob Bistline, SC&A.
8 No conflict.

9 MS. ROBERTSON-DeMERS: Kathy
10 Robertson-DeMers, SC&A. Conflicted.

11 MR. KATZ: And SC&A on the line?
12 Any members of SC&A on the line?

13 (No response.)

14 MR. KATZ: Okay. And then any
15 members of the public who want to identify
16 themselves on the line or staff of
17 congressional offices?

18 (No response.)

19 MR. KATZ: Okay. Then just let me
20 remind everyone on the line to please keep
21 your phones on mute except when you're
22 speaking to the group here. And if you don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a mute button, *6 will work. And to take
2 yourself off mute, just hit *6 again.

3 Thank you. Josie?

4 CHAIR BEACH: Okay. Good morning.

5 And welcome to Mound's fourth Working Group
6 meeting, second day. We are going to start
7 with neutron dose reconstruction issues number
8 14 and 15. And NIOSH is going to kick this
9 off this morning. And I'll turn it to Brant.

10 DR. ULSH: Okay. Thanks.

11 Just to let you know what is
12 coming, I have asked Bob Morris to give a
13 PowerPoint presentation. He first gave this
14 presentation at a worker outreach meeting that
15 we held in the first week of April.

16 Basically kind of the history of
17 the neutron issue, it probably goes back even
18 earlier than I am about to say, but some of
19 the former workers that we have been
20 consulting and dealing with discussing the
21 Mound issues with right from the early days of
22 the SEC petition brought up the way that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutron doses were handled in the Mound TBD
2 and brought up some issues that they thought
3 we might want to consider on that. So we have
4 been looking at it at least since then.

5 And, finally, we decided to -- I
6 asked Bob and his team to take a look at the
7 neutron, the way we did it in the TBD and to
8 come up with some improvements. We discussed
9 a lot of issues in the context of this SEC
10 Working Group as well.

11 We have come up with a revised
12 approach. It is going to be kind of a hybrid
13 approach depending on the data that we have
14 available for different time periods. Bob
15 presented this. He gave his PowerPoint
16 presentation at the meeting that we had with
17 the workers in the first week of April.

18 The purpose of that meeting was
19 basically to lay out our new approach and to
20 solicit any comments or thoughts or insights
21 from these about 20 or so workers.

22 These workers were chosen not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really by need but by some of the former
2 workers who have expertise in neutron
3 dosimetry.

4 They included health physicists in
5 charge of the SM building and PP building, T
6 building, also just a variety of workers,
7 including even the two ladies who actually sat
8 down and read the NTA films. So we really
9 tried to get a broad cross-section.

10 So we gave that presentation. It
11 was very well-received. They made a few
12 comments and suggestions that we might want to
13 consider. And I know Bob was in the process
14 of considering them.

15 The next development that occurred
16 on the neutron issue, we issued a white paper.

17 SC&A has looked at that, and we had a
18 conference call with SC&A on April 28th. Ron
19 Buchanan offered a few comments that we
20 thought were very productive. So we are in
21 the process of taking a look at those as well.

22 So this will just give you kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a snapshot of where we are with this issue.
2 And, with that, I will turn it over to Bob.

3 MR. MORRIS: Thank you. I
4 appreciate your moving the schedule to
5 accommodate my --

6 CHAIR BEACH: No problem.

7 MR. MORRIS: -- sixth grader's
8 commencement into seventh grade. So it is
9 important.

10 So I want to introduce the
11 colleagues who helped me with this. I think
12 both are on the telephone line. At least I
13 think Leo is. Leo Faust is a key person in
14 doing the research on this topic and Billy
15 Smith. Billy, have you joined us yet?

16 (No response.)

17 MR. MORRIS: Billy Smith is a
18 coauthor on this also.

19 DR. ULSH: If I could interrupt you
20 for just a second? We're going to turn down
21 the lights.

22 MR. MORRIS: And, just for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 background, Billy ran the NTS dosimetry
2 program for many years, external *9:41:52
3 program, actually kicked off the use of their
4 first TLD system at NTS. So he's got a broad
5 background in that. And Leo has got a long
6 resume in dosimetry.

7 Next slide, please. I wanted to
8 give a little bit of background. As Brant
9 mentioned, we have presented this similar
10 presentation to a group of Mound workers in
11 April. That was actually very well-received.

12 And they appreciated the presentation.

13 You didn't mention that there
14 actually were technicians who had made some of
15 the measurements beyond reading the NTA forms
16 but also actually carried the meters into the
17 field to make some of the measurements that we
18 used to consider the neutron/photon ratio
19 data.

20 Also, there was a gentleman there
21 who had made many of the original neutron
22 spectra measurements that are available for us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in published literature. And so he actually
2 was a pioneer in the development of neutron
3 spectra measurements and was there and
4 provided some very constructive criticism to
5 us in our presentation.

6 We have had the benefit of SC&A
7 having reviewed our white paper at this point
8 and got very constructive comments. We are in
9 the process of updating those and
10 incorporating them.

11 The issues fundamentally are
12 limited to the era prior to use of TLD
13 dosimetry technology. And so that is what
14 most of this focused on. That is not to say
15 that we don't have a method for the TLD. You
16 know, it's the same as defined in our
17 technical basis document.

18 The doses are designed as we have
19 reconstructed them here, to be
20 claimant-favorable and accurate enough to make
21 an appropriate compensation decision. But we
22 don't want to represent that these are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perfectly accurate numbers. When there are
2 uncertainties, we have moved the choice of
3 correction factors to the high side.

4 Neutron dose estimation is
5 necessary for our compensation decision. Not
6 all workers were monitored for neutron dose,
7 especially in the earliest years of the
8 operations. And so dose must be inferred from
9 other information.

10 This goes to a problem that we have
11 seen on many other sites, use of NTA film.
12 NTA stands for neutron type-A film, generally
13 from Kodak in the early days. But it's
14 generally that acronym has superseded the
15 definition. NTA is how we would think of this
16 kind of technology.

17 It was a highly resolved grain
18 film. And in order to read it as a neutron
19 event, there would have to be a sequence of
20 grains that actually developed in the
21 development process. We see them as a track
22 at least three grains long. In some cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more grains would be in a row.

2 And those would be easier to spot
3 as a track. That is what the people who look
4 through the microscopes are there, to read
5 neutron tracks, were doing this. They were
6 taking a tiny field on a film and then blowing
7 it up into a visible size and counting with a
8 scoring device, like an umpire might use, the
9 number of tracks that were in that area.

10 And so it to some extent was
11 subjective in that you just had to say, "Oh, I
12 recognize these three grains or more in a row
13 that is being tracked." And once it is
14 recognized, then you can actually tally it.

15 As you can imagine, people get
16 better with that technology as they continue
17 to do it. And so that is why you see very
18 little turnover in these groups of people that
19 tend to read the films. And they would be the
20 same people that read the calibration films
21 would also be reading the actual exposure
22 films that would monitor the workers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One of the frailties of the NTA
2 system is that it takes a certain amount of
3 energy to create three grains developed on the
4 form in a row. And that is effectively the
5 threshold of detection of the neutron detector
6 for this system.

7 So it's widely accepted that at
8 about half a MeV, a neutron with an energy
9 carrying more than .5 MeV, mega-electron
10 volts, is capable of creating that three-track
11 in a row pattern. Some people say it's a
12 little lower. Some people say it's a little
13 higher. But for a rule of thumb, we assume --
14 and this is part of our OCAS literature that's
15 been approved.

16 We assume that the film is
17 responsive to the neutrons that carry more
18 than half an MeV of energy. And, for
19 practical purposes, although there is an
20 exception here of a pure thermal neutron
21 field, for practical purposes, we assume that
22 the dosimeter, the NTA dosimeter, is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 responsive to neutrons that occur less than a
2 half an MeV.

3 So we have got this problem of if
4 the exposure was predominantly on the lower
5 energy side of the neutron spectrum, then
6 there is a larger correction that has to be
7 made for the lack of registry that was made.

8 Another problem with NTA film is
9 that it is not as sensitive as our modern
10 techniques that we have become accustomed to
11 with CR-39 and the TLD methods.

12 So there was some potential for
13 misdose on every readout cycle, then. This
14 wouldn't have been registered as a different
15 than background fogging on the film. So those
16 are the kinds of things that we have got to
17 deal with in dealing with NTA as a dosimetry
18 device.

19 Unmonitored workers or workers with
20 a zero in the readout cycle present
21 interpretations, problems for how to interpret
22 the data or lack of data and then infer it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into a dose calculation. Unmonitored workers
2 are assigned a dose similar to the monitored
3 workers in the same period. That's the very
4 familiar coworker model that you guys have
5 seen.

6 Now, the question is, where do we
7 get the data for the coworker model? And how
8 do we put it into a distribution? And what
9 are the values that we choose for that? That
10 is the kind of detail that gets resolved with
11 SC&A's help.

12 The other kind of problem we get
13 when we are interesting this is the people who
14 actually wore a dosimeter, wore an NTA film
15 dosimeter. But then their dose is recorded as
16 zero by the person who read the film.

17 In fact, as I mentioned, there is a
18 sensitivity cutoff on this. So the dose may
19 not really be zero but some fraction of the
20 detection limit. In fact, it's possible the
21 dose really is zero, but we can't prove that
22 one way or another.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, the very familiar methods that
2 have been developed at other sites and with a
3 lot of precedent to correct the misdose
4 problem get applied.

5 So when we address this misdose
6 concept, it is generally a sign that is
7 one-half of the reporting limit. So if, for
8 example, if the reporting limit for a two-week
9 NTA readout cycle is 50-millirem, then each
10 monitored worker had a zero recorded on their
11 dosimeter would be a sign of half of that
12 reporting limit. And for that two-week cycle
13 then would be given in our dose reconstruction
14 method 25-millirem, when the record, the dose
15 of record, was actually zero.

16 So there are ways to infer neutron
17 dose to help monitor the workers. We can use,
18 as I said before, coworker model or we can
19 choose to use a concept of the neutron to
20 photon dose ratio.

21 We have seen the neutron/photon
22 ratio used in other places. I think it's in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conversation right now at Hanford and other
2 sites.

3 So the concept here is that the
4 workers wore photon dosimeters, a film badge
5 that was capable of measuring X-rays or gamma
6 rays.

7 And when we take that number, if we
8 can find a consistent ratio between neutron
9 exposure and gamma ray or photon exposure in
10 the facility, then we can take that number and
11 multiply it by the ratio and get some kind of
12 proportionality so that if you had -- let's
13 say we would hope to find a ratio of perhaps
14 two millirem of neutron for every one millirem
15 of photon dose you would get and then we knew
16 that the worker wore a photon dosimeter that
17 measured 100, we would then assign 200
18 millirem for neutrons that would be added in
19 as external dose. So we approached that
20 problem.

21 There are two sources of data that
22 could be useful for establishing that neutron

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to photon ratio. We could potentially get it
2 from paired instrument measurements, where a
3 monitor would have taken a radiation detector
4 capable of measuring neutrons into the field,
5 making a dose rate measurement and then at the
6 same location and coincidentally in time
7 making a gamma ray measurement. So we looked
8 at a lot of data that would have potentially
9 given us that information.

10 The other approach to getting
11 neutron to photon ratios is to take actual
12 data sets from fully monitored workers, those
13 people who wore neutron NTA film and wore
14 their gamma ray badge, gamma ray dosimeter,
15 and see if we can come up with a ratio between
16 those two things.

17 So, again, this harkens back to the
18 outreach meeting we had, where we actually go
19 into some explanation of what the coworker
20 model is. And at risk of boring you, I will
21 go through that quickly because you folks all
22 know that, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The dose data from all monitored
2 workers is corrected for misdose in the
3 coworker model. And then the other factors,
4 the correction factors, are applied. And then
5 the data are grouped by time.

6 So it depends on how much data
7 you've got. It could be annualized or you
8 might choose a two-year time cycle or a
9 quarterly time cycle. Really, it depends on
10 how strong the data set is.

11 The data are fit to a log-normal
12 distribution. There is an assumption that
13 there is a log-normal distribution underlying
14 all this. Fiftieth and 95th percentiles of
15 the distribution are determined.

16 And then a value can be assigned to
17 the unmonitored worker from that coworker
18 model. Most workers are getting the 50th
19 percentile value while some workers with high
20 exposure potential are assigned to the 95th
21 percentile.

22 Now, in all cases since OTIB-0052,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the construction worker dose considerations
2 technical information bulletin was published a
3 couple of years ago. We now have a 1.4
4 multiplier on external dose for unmonitored
5 construction workers when a coworker model is
6 applied.

7 So we take 140 percent of whatever
8 those values, the 50th or 95th percentile,
9 would be if your job description defines you
10 as a construction trade worker; for example,
11 pipe-fitters. A lot of maintenance people
12 fall into this category. It's not just new
13 construction-type work or retrofit
14 construction. So it's a pretty broad brush
15 that we define construction workers with.

16 So the point about this in this
17 bullet is the construction workers get a 40
18 percent premium of dose assigned to them under
19 this assumption.

20 Now, why would we assume a
21 log-normal distribution in the coworker model?

22 In some levels, it becomes an article of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 faith. The data fit something. And over and
2 over again, we have found that log-normal
3 distribution tends to be a very good one.
4 It's got a few characteristics that make it
5 appropriate.

6 We know that many environmental
7 exposures are well-described by it. The model
8 is constrained so that the number can never go
9 below zero. And it allows for a relatively
10 large portion of the values to be biased to
11 the low side, at the same time accommodating a
12 small fraction of outliers as a normal
13 expectation. So a number of higher exposures
14 do occur. It turns out that this has been a
15 pretty successful assumption set that we just
16 begin with.

17 Now I want to look at the paired
18 instrument data that we had access to. As I
19 mentioned earlier, a neutron rem-meter and a
20 gamma ion chamber, dose rate measurements were
21 taken at the same location.

22 This was a practice that continued

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over and over at Mound for many years. And
2 the monitor would take these instruments to
3 specific places, make the pair of instruments,
4 and write down the record.

5 We found approximately 46,000 pairs
6 of instrument data from the R, T, SM, and PP
7 facilities. A sample, which I have to admit
8 is a really remarkably large sample, of that
9 46,000 was actually entered into a
10 spreadsheet, X/Y pairs.

11 And we then sorted that data,
12 hoping to find some statistical significance
13 in the numbers and more or less arbitrarily
14 but based on our occupational experience in
15 controlling and measuring radiation fields,
16 especially neutron fields.

17 We set an arbitrary threshold to
18 look at data that was only in excess of two
19 and a half millirem per hour for both kinds of
20 measurements.

21 The reasoning behind that is that
22 when you look at the number of counts per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minute per millirem per hour that have to be
2 registered in a neutron meter in order to get
3 a millirem of dose, you find that statistical
4 significance is pretty high for the numbers
5 below a couple or three millirem per hour.

6 And so we chose to censor our data
7 set that way in order to hopefully not get
8 bogged down with the statistical fluctuations
9 of the lower doses. That resulted with 5,162
10 paired measurements that were in excess of two
11 and a half millirem per hour.

12 And this is kind of what our data
13 set looked like. If you look, notice that it
14 is a logarithmic scale on both the x and the
15 y-axis. And notice that it is essentially a
16 shotgun pattern that goes from high to low, no
17 obvious -- I actually told the joke at one
18 point that, of course, we fed a straight line
19 through this data set and it matches
20 perfectly, which, of course, isn't true.

21 It's hard to find a strong
22 correlation in any degree at all. We actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 did the statistical correlation tests on these
2 data. And they approach zero correlation.

3 So that hope that we had had of
4 finding this ratio of neutron to photon dose
5 rates that could then be applied to the
6 measured photon numbers seems to have fallen
7 on fallow ground here.

8 We don't see a real pattern. It
9 may be possible that we could look at this
10 again, try to separate it out more by year,
11 and potentially separate it out more by
12 facility, but the big picture is we just
13 didn't find anything that gave us a clue that
14 there was going to be a strong correlation,
15 strong enough that we could make an argument
16 on top of it.

17 Now I want to talk about our NTA
18 film. We had a good set of data, very
19 well-documented program on NTA film. There
20 was a lot of history about what was done, when
21 it was done, when changes occurred, how
22 calibrations were made. And this comes from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an extensive 2,000-page document of the
2 history of the external dosimetry program.

3 So it turns out that in my
4 estimation, that people who were in charge of
5 that program or the dosimetry program at Mound
6 were chronic note keepers, and they just wrote
7 a lot of information about what they did.

8 So we were able to find a lot of
9 original source material that was
10 contemporaneous and provided you a reason to
11 believe that this is how they ran their
12 program.

13 As I mentioned before, we needed to
14 correct for neutron energy response less than
15 .5 mega- electron volt energies. We needed to
16 consider the calibration source that was used
17 in the test irradiations of the time. The
18 calibration source changed over time.

19 We also needed to consider the
20 geometric factors. The calibration of the NTA
21 film was done in a perpendicular plane to the
22 beam coming out of the neutron source.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But unless you are exclusively a
2 glove box worker, which, you know, a lot of
3 people are glove box workers, but, then, there
4 are other people who are not.

5 You are actually more oftentimes in
6 a rotational geometry, not just that
7 interior/posterior geometry. So we considered
8 to some extent correction factors for rotating
9 geometry in the workplace.

10 And then another factor I didn't
11 mention in my preliminary conversation was
12 this idea of track fading. As I said before,
13 it takes three grades in a row to score a
14 track.

15 But after those are developed, they
16 actually have a tendency to fade with time.
17 And so depending on when you read the film
18 after it is developed, you might get a
19 different answer depending on whether you read
20 promptly or if you read more later.

21 So there is this well-established
22 problem called track fading. And track fading

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was considered contemporaneously. And we
2 needed to make sure that the appropriate
3 factors were applied for track fading.

4 We started with a lot of
5 information. Neutron spectra are available
6 from a lot of sources. The graph you see here
7 on the screen was actually published in
8 peer-reviewed literature. The measurement was
9 made by a Mound scientist at Mound. And the
10 date on this is 1967. This is pretty early
11 for making these kinds of measurements.

12 Now, you can find exactly the same
13 spectra in modern published literature. You
14 can actually run a program that will from
15 first principles calculate what this kind of
16 spectrum would look like.

17 If you say, "I've got a plutonium
18 oxide source" or "I've got a plutonium
19 beryllium source," there are programs
20 available that will effectively calculate this
21 and print it out.

22 What we find is that there is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very self-consistent and pre-extensive number
2 of neutron spectra measured at Mound in the
3 facility. If you notice, the low-energy
4 component is not registered below a half MeV
5 on that graph. And that's because in 1967,
6 the way you measured neutron spectra only had
7 one data point down at the thermal range. It
8 was missing some data points between the
9 lowest energy and the half MeV numbers.

10 So that's what the more modern
11 publications of neutron spectra can give to
12 us, is that missing information in that
13 low-energy data. Nevertheless, we had neutron
14 spectra to start with from many different
15 sources. And they all seem to be
16 self-consistent.

17 One of the problems, then, about
18 getting to the point of how much of the
19 information would be missed by the threshold
20 effect of energy is figuring out how much
21 dose-equivalent is delivered by neutron in
22 specific energy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The NCRP in publication 38 many
2 years ago, more than 25 years ago, published
3 this graph, which is a neutron flux to
4 dose-equivalent rate conversion factor. This
5 is data for neutrons.

6 The interesting thing about this
7 graph you'll see is that the numbers don't
8 really matter that much except the shape of
9 the curve matters. You will see that below
10 one MeV the amount of dose that any individual
11 neutron delivers falls off precipitously, and
12 above one MeV, it's more or less a straight
13 horizontal line.

14 So high-energy neutrons carry in
15 that context energies above one MeV, carry
16 more or less the same dose per neutron while
17 lower-energy neutrons, the ones that the NTA
18 film doesn't see very well, really don't carry
19 much individual dose per interaction. So
20 that's in the favor of the dosimeter there.

21 So even if you are missing some
22 dose, it's missing registering some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutrons that hit the film. The dose per
2 neutron that hits the film is relatively low.

3 Okay. Go ahead, please. And so
4 this is one slide that summarizes a lot of
5 work, essentially. It just gives you a
6 snapshot of what we did.

7 We used the computer program called
8 MCNP. It's a program that is widely used in
9 nuclear science fields. And it is currently
10 supported at Los Alamos.

11 I understand the last time I heard
12 there were more than 500 Ph.D. years invested
13 in the development of MCNP. It's highly
14 reliable now if you model the geometry
15 correctly and apply and use the right data
16 libraries.

17 So what we did is we started with
18 that neutron spectrum that I described to you
19 earlier. And we put that in as the input
20 energies for the neutrons. The Monte Carlo
21 code, then, MCNP, that's what's called a
22 random walk. And it lets a neutron start at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this location, move through space, and
2 randomly interact with some molecule or some
3 atom that's in its path.

4 It randomly then scatters according
5 to the cross-sections. And say the
6 probability of a neutron getting this way and
7 then scattering this way is X. It does this
8 for thousands and thousands and thousands of
9 interactions until the uncertainty of the
10 number of neutrons crossing a plane in space
11 as you set the problem up becomes very small.

12 I think we stop this problem at about one
13 percent uncertainty.

14 So effectively what you see now is
15 I have expanded this scale down, this
16 logarithmic scale, on the x-axis. And we
17 applied the NCRP weighting factor. And you
18 can see that almost none of the dose below
19 100, or .1 MeV, is available. It's all up
20 under -- the area under the curve is,
21 proportional to the dose from the spectrum.

22 So if you integrate the area under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the curve from 0 to 500 KeV or .5 MeV and you
2 integrate the area under the curve from .5 MeV
3 to the top of the curve, you get effectively a
4 ratio of measurable dose to unmeasurable dose
5 by the NTA film.

6 We did this for several different
7 scenarios with several different materials to
8 start with, plutonium oxide -- I have to go
9 back to my chart here to figure it out.

10 Yes. You can go to the next one.
11 And we did it for plutonium fluoride,
12 plutonium oxide, and polonium beryllium. We
13 did it with various thicknesses of water
14 shielding surrounding the source.

15 And we find that the thicker the
16 water shield, the slower the neutrons are, the
17 lower-energy they are. And so the more
18 misdose you have.

19 Now, it is interesting to see how
20 this graph works is that for unshielded
21 neutron sources, we potentially miss 15 to 25
22 percent of the dose with the NTA film.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So you could say if you knew you
2 had an unshielded source and you were missing
3 25 percent of the dose, you could take the
4 measured dose and divide it by that value and
5 actually come to a reasonable correction
6 factor.

7 The challenge then becomes picking
8 the scenario to model with. And it is some
9 place between zero and where we think of being
10 16 centimeters, which is 15 centimeters is 6
11 inches of water.

12 So at some point in here it is a
13 reasonable scenario for how much dose was not
14 detectable using the NTA film, what fraction
15 of the dosimetry results should be corrected.

16 We also looked at the Benelex
17 shielding and found that it had no effective
18 difference compared to water, as you would
19 expect. And the point of all of this is now
20 one can select a value and from that develop a
21 correction factor, making the NTA film useful
22 as a measurement device that can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effectively used to make dose reconstruction
2 measurements.

3 So the NTA data needs to be
4 corrected and the misdoses then applied. Now,
5 there is this database called MESH, which is
6 where all of the external dosimetry data was
7 rolled up into. And, unfortunately, we had a
8 problem with using it because it was rolled up
9 on an annualized basis.

10 We don't have the raw data back on
11 the two-week cycle with readouts. So we end
12 up with lots of misdose driving this problem.

13 If you assign to people who
14 actually got zero dose every two weeks, if you
15 assign them 25 millirem, you are going to see
16 that once you do that 26 times a year, all of
17 a sudden, that has turned into a pretty big
18 number.

19 So our MESH, our neutron data as
20 it's reported in MESH, tends to bound the
21 problem that certainly appears to overestimate
22 the actuals that we can see by any other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indication.

2 So we'll go through the next one.
3 We took the NTA data that was reported in
4 MESH. And we applied a set of correction
5 factors. This is just a few years out of a
6 long table that is in our report. And some of
7 these factors are changing based on some of
8 the comments that SC&A has provided to us.

9 The bottom line is that we think
10 that we are able to take the data in MESH,
11 apply correction factors to it, and come up
12 with a bounding value for the data as it is
13 tabulated in MESH.

14 In some cases we found reports in
15 this journal of the dosimetry work over the
16 years that said, "We recommend that
17 dosimeters, the data of record from NTA film
18 between 1970 and 1977 be multiplied by a
19 factor of 2 retrospectively to create the dose
20 of record." So that is not showing there, but
21 in the years 1970 to '77, that value would
22 have been a factor of 2.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We tried to back that out so that
2 arbitrarily applied correction factors could
3 be removed. And then we could go in and
4 individually apply the correction factors that
5 account for energy threshold, for calibration
6 mismatch, for track fading, for angular
7 response. And so we could systematically look
8 at each one of these corrections.

9 The bottom line as you look at many
10 of these is that when you tally up all the
11 correction factors and apply them, it turns
12 out that the correction factor in general sums
13 up to be about 1.8 or 2 or a little bit more
14 than 2 in some cases.

15 But the reality is that we think we
16 could take the MESH data and multiply it by
17 the type of correction factor and come up with
18 a reasonable number that way.

19 We did try to look at this data
20 set, then, after we applied the correction
21 factors and found that they varied widely by
22 year. So this is taking the people that had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutron data of record and photon data of
2 record and getting the ratio of this too on an
3 annualized basis.

4 And you'll see that those ratios
5 range from close to zero up to 25. And that
6 they tend to be in the few, the three to seven
7 range of neutron to photon ratios, based on
8 the MESH data.

9 But, as I said earlier, the MESH
10 data is probably driven by misdose in most
11 cases. Since we don't have the cycle-by-cycle
12 readout, it is hard for us to understand that
13 exactly. All we can do is say it is obviously
14 boundable by this kind of approach.

15 Let's go to the next one. And I'll
16 show you how it changed by year. We actually
17 looked at these neutron-photon ratios from
18 MESH by year.

19 And these probably represent some
20 changes in processes, changes in materials,
21 changes in facilities that were going on. The
22 big picture is that there are ratios that can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be developed by these methods.

2 So our opinion at this point is
3 that using these ratios from the MESH data,
4 although they can be bounding, they tend to be
5 overestimating to the point that we are not
6 very comfortable with that.

7 And so we have looked for another
8 approach for years where there was other data
9 available. So it turns out that in the
10 earlier days of the program at Mound, there
11 were NTA data reported in the monthly or
12 quarterly health physics progress reports.
13 These were written routinely and with the same
14 format month after month. Same topics were
15 covered. And some changes are obviously
16 trackable.

17 If you look in there near the
18 bottom of that screen, you will see that the
19 neutron, number of neutron films processed in
20 this example period, -- this was a quarterly
21 period -- the films were worn over a two-week
22 period. And in the quarter, they processed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 818 NTA films. Seven hundred ninety-eight of
2 those read less than 100 millirem.

3 Now, this is contemporaneous. The
4 correction factors that we have discussed were
5 not applied. But, nevertheless, the people
6 who were counting the grades in a row came to
7 the conclusion that only 20 out of the 818
8 films that they saw had doses recorded on them
9 in excess of 100 millirem.

10 So what that really does tell us is
11 that most of the doses were substantially low,
12 even after correction factors were made. It's
13 possible to take this data and actually
14 force-fed into a log-normal distribution.

15 And, you know, granted, we don't
16 have the kind of number resolution that you
17 would get from real number data. In this case
18 we've got what you might describe more as
19 categorical data as bin data, this low bin,
20 this middle bin, and this high bin.

21 But, nevertheless, that is still a
22 lot of information. Seven hundred

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ninety-eight out of 818 were below this
2 threshold of dose.

3 And so when you take that kind of
4 information, it's possible to fit it with a
5 significant uncertainty. When you do that,
6 it's possible then to -- one more slide,
7 please -- calculate the geometric mean of
8 standard deviation and actually come up with
9 that 50th and 95th percentile number value
10 after corrections and misdoses are applied.

11 And you'll see just by a quick look
12 of this monthly data from 1951 and '52 that
13 the numbers are going to be about 400 or 200
14 depending on the time changed and the
15 practices of calibration change.

16 So we can come up with a 95th
17 percentile dose for these 10 or so years, 10
18 or 12 years, that we've got quarterly report
19 data for.

20 So the big picture is this is our
21 approach. We've got, as Brad said, to start
22 with, a hybrid model. Some periods we've got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more refined data. Some periods we've got
2 data out of the MESH database. And in some
3 cases we've got real data for real individuals
4 from the NTA film.

5 So we will apply some combination
6 of that. And I think that we can make a case
7 at this point that we bound in dose for a
8 neutron. (**PART 2, 10:22:06**)

9 CHAIR BEACH: Thanks, Bob.

10 MR. MORRIS: You're welcome.

11 CHAIR BEACH: Are there any
12 questions? I'm sure SC&A wants to respond,
13 but any questions before of the slides or
14 Bob's presentation?

15 MEMBER ZIEMER: Josie, Ziemer here.

16 CHAIR BEACH: Yes. Hi, Paul.

17 MEMBER ZIEMER: First let me thank
18 Bob for the presentation. It was very, very
19 well-done. Can you e-mail me a copy of the
20 PowerPoint?

21 CHAIR BEACH: Yes. I am having one
22 downloaded to my Flash drive right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: I am looking at the
2 paper itself. I think the shotgun pattern is
3 probably not so surprising for the field
4 measurements. You have got all kinds of
5 scattering situations, which are very
6 different in every field measurement.

7 So I like the approach that you
8 used here: use the NTA film and do the
9 correlation. And I think that's a good
10 approach. I assume on the field measurements,
11 many of these neutron instruments are also
12 gamma-sensitive.

13 So unless they're correcting for
14 the gamma or they have set the sensitivity
15 setting high, you actually get neutron plus
16 gamma to compare. You have to correct that
17 back out. I assume they have done that. Is
18 that correct?

19 MR. MORRIS: Well, Paul, thank you
20 for the comment. We didn't try to do anything
21 as subtle as finding out what the interference
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Yes. It probably
2 wouldn't have made much difference on that.

3 MR. MORRIS: Right. I think
4 probably what drives our large differences, as
5 much as anything, is the actual radiation
6 protection practices that were applied after
7 the measurements were taken.

8 If you think about how you would
9 shield with a lead blanket, for example, --

10 MEMBER ZIEMER: Right.

11 MR. MORRIS: -- you can drastically
12 alter the photon --

13 MEMBER ZIEMER: Right.

14 MR. MORRIS: -- to neutron ratio
15 with a --

16 MEMBER ZIEMER: Once you get the
17 reading, you go ahead and make some changes.

18 MR. MORRIS: That's right.

19 MEMBER ZIEMER: Yes. And so the
20 reality is that we probably did see those
21 kinds of ranges of photon to neutron doses all
22 over the facility just because some places

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were easily shielded, some places were not.

2 MEMBER ZIEMER: Thank you.

3 CHAIR BEACH: Thanks, Paul.

4 Did you have --

5 MEMBER CLAWSON: I was wondering.

6 This construction coworker that you were
7 talking about --

8 MR. MORRIS: Yes.

9 MEMBER CLAWSON: -- explain that a
10 little bit to me.

11 MR. MORRIS: Sure. I'll be glad to
12 unless you would like to, Mel. Why don't you
13 go ahead and do that.

14 MR. CHEW: Okay.

15 MR. MORRIS: I've been talking
16 about --

17 MR. CHEW: What was the question?

18 MEMBER CLAWSON: Well, I got this
19 140 times the coworker dose for the
20 construction workers.

21 MR. CHEW: Yes, sure. Yes. Let's
22 go back to OTIB-0052. I'm glad you asked the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question. Remember when we did OTIB-0052, the
2 key was, how do you apply an exposure to a
3 construction worker that apparently either the
4 data was missing or should have been monitored
5 if it was not monitored.

6 So that is the focus. It was not
7 the person who was monitored. You are a
8 construction worker who is monitored. You
9 took your actual information.

10 So we went across the complex, as
11 you probably already all know, and looked at
12 many, many data of people who were monitored
13 and tried to compare that to exposures of
14 construction workers who were monitored.

15 And so now you can think of this
16 because Idaho was a good example, Savannah
17 River was a good example, the big sites here,
18 where a lot of construction went on, Hanford,
19 example, Y-12, Oak Ridge.

20 So you do a coworker model of the
21 full people that were monitored. You can see
22 at some point in time almost everyone was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitored. They first started monitoring some
2 people. Then the monitored everyone, you
3 know, how the things change.

4 And so we look at it. Secretaries
5 are monitored. Clerical workers are monitored
6 because it came along with their badge. So
7 you can see how a coworker study could be
8 skewed a little bit on the lower end here,
9 especially if you had a construction worker
10 who actually went into a process area.

11 Example, if he was not monitored,
12 which is highly unusual, but anyway he wasn't
13 monitored, his exposure could have been higher
14 than the average or the 50th percentile, the
15 90th percentile, how you are going to look at,
16 of everyone who was monitored. You can see
17 where that is going.

18 So that is why when we looked at
19 all of the data, the majority of the sties
20 here are construction workers. If we pulled
21 it out of even everyone who was monitored, you
22 can tell generally they were even lower than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 even the coworkers studied.

2 However, in a few incidences, you
3 know, we can point them out. In certain
4 years, they were higher. Example, Savannah
5 River was the one that kind of jumped out at
6 us. And we talked a lot about that in
7 discussions here.

8 The pipe-fitters went in. And the
9 people who drilled the holes into the concrete
10 and things like this got higher exposures than
11 you would have applied to everyone who was
12 monitored this time.

13 So that's why when we sat back and
14 looked at it, it appeared that it was much
15 easier to just go ahead and apply a correction
16 factor.

17 We looked at all of the factors of
18 the people who were monitored for the
19 construction worker, as compared to the whole
20 set. And it showed that there were higher
21 exposures for those particular constructions
22 for those few years. And we determined that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the correction factor of 1.4 should be applied
2 to the construction worker.

3 Don't forget this is applied to the
4 people who were not monitored or should have
5 been monitored and for who, let's say,
6 information was missing. So that's where the
7 correction factor came in. So it basically
8 helps that we can apply that to just the
9 construction workers over and be doing the
10 coworker for that entire site.

11 MR. MORRIS: So it becomes a
12 complex-wide policy decision-level correction
13 that applies to any coworker model on any site
14 if you were a construction trade worker.

15 MR. CHEW: Does that help, Brad?
16 Do you need more detail?

17 MEMBER CLAWSON: No. It does. I'm
18 just thinking about the worker that was there
19 that was dealing with this on and off all
20 through the thing. All of a sudden a
21 construction worker comes in that is getting
22 1.4 more than what he does. He's actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working for it.

2 MR. CHEW: Well, looking at that,
3 if a worker was in there, most likely he would
4 have been monitored.

5 MEMBER CLAWSON: Yes.

6 MR. CHEW: Okay. So you would have
7 used his actual data, right? The coworker
8 study really is to try to give some assessment
9 to people who should have been monitored who
10 were not monitored or, for some reason, the
11 information was not there.

12 MEMBER CLAWSON: Okay. You said
13 that you found in this data -- and this is
14 probably from Mound or whatever -- that for
15 ten years there, they timesed everything by
16 two?

17 MR. MORRIS: There was a memo that
18 we found that instructed that data to be
19 multiplied by a factor of two.
20 Retrospectively, they took the database and
21 increased the number of the dose of record.

22 MEMBER CLAWSON: For the whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody?

2 MR. MORRIS: All neutron. It was
3 NTA neutron numbers.

4 MEMBER CLAWSON: Right.

5 MR. STEWART: And that was actually
6 only for the PP building. What they found was
7 their neutron calibration was slightly
8 underestimating dose to the PP building, so
9 large amounts of shielding there. So they
10 retroactively realized it's just simpler to
11 run a factor of two for those workers in the
12 PP building.

13 MEMBER CLAWSON: Okay. Because
14 what I got out of this is that you guys
15 basically took that times two off, right, to
16 bring it back to normal to everybody else?

17 MR. MORRIS: And then we reapplied
18 it as a specific factor that we could actually
19 account for, instead of being, let's just
20 multiply everything by two.

21 Our factor comes out very close to
22 two at the end, but now we know why it does.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You can pull out each factor.

2 DR. ULSH: So it's actually kind of
3 reassuring that at the time that they were
4 proposing to do this, what was it, early '70s?

5 MR. MORRIS: '77.

6 MR. STEWART: When the memo came
7 out.

8 DR. ULSH: We've got all these
9 issues, and we think it's about two. But they
10 didn't really break it up. So we took that
11 off, and we looked at those issues
12 specifically, combined all of those correction
13 factors. And it actually came out pretty
14 close to what they had estimated, which I
15 guess considering the caliber of neutron
16 dosimetrists and neutron scientists at Mound
17 is not too surprising. They were among the
18 best in the country.

19 MEMBER CLAWSON: So on this data,
20 you're saying that you had ten years worth of
21 data, this y-axis MESH data?

22 MR. MORRIS: I'm sorry. Say it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again.

2 MEMBER CLAWSON: You had ten years
3 of this y-axis? I'm trying to --

4 MR. MORRIS: So you're talking
5 about the last few slides I showed.

6 MEMBER CLAWSON: Yes. You were
7 talking about you have ten years.

8 MR. MORRIS: Yes, ten years from
9 the early '50s to the early '60s for the
10 health physics quarterly or monthly progress
11 reports provided us in that categorical zero
12 to 100, 100-300 millirem data or neutrons.

13 MEMBER CLAWSON: What did you use
14 after that? Did they have --

15 MR. MORRIS: That was a good
16 question. The MESH data ratios --

17 MEMBER CLAWSON: Okay. So then we
18 were able to use the MESH database.

19 MR. MORRIS: We still think that is
20 a larger number than we think is appropriate,
21 but that is the data we have got.

22 DR. ULSH: Another way to say it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when we got this data from the health physics
2 reports, it is preferable to the MESH data
3 because certainly it sets a bounding number,
4 but it is a very high bounding number. We
5 think that the health physics reports gives us
6 a bounding number, but it is a more
7 restrictive number. It is a more realistic
8 number. So when we've got that data, we'll
9 use it over the MESH.

10 CHAIR BEACH: Does SC&A want to
11 present or --

12 MR. FITZGERALD: Yes, I think just
13 briefly. I certainly want to acknowledge the
14 amount of progress work that has been done
15 over the past year. And this sort of started
16 out as a bit of a blank slate. There has been
17 a lot of progress on it. So it's very
18 positive.

19 We had a technical call. And as
20 was mentioned, we covered a lot of details
21 which had been addressed. And there will be
22 notes from that call available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think, really, there are two
2 novel approaches. I don't know if I can call
3 it novel but certainly ones we haven't seen.
4 NTA film, of course, was rather universal
5 across the Department.

6 So we've kept this issue almost in
7 every SEC. And different approaches were
8 posed in terms of how does one deal with the
9 same issues as fading and energy dependence?

10 And the two aspects that I've --
11 and Ron I think will go through in detail, but
12 the two aspects that we want to clarify and
13 understand better, one is the -- I don't know
14 if this has been applied before, but the MCNP
15 model, you know, certainly a well-thought-out,
16 well-researched, and respected model, I don't
17 think I've seen it applied for this purpose at
18 other sites. So I think we want to understand
19 that better.

20 And the second thing is the
21 constructive coworker model, which we didn't
22 get into in our technical call, I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to expand upon it a little more,
2 certainly those two issues.

3 The rest I think as we I thought
4 made clear we felt were constructive to the
5 site profile that you're revising. And I
6 think we don't need to get into those specific
7 details now. If anyone is interested, we will
8 have some in this meeting, and we'll lay out
9 those issues.

10 Ron, if you want to tee it off
11 certainly first on those two issues or any
12 other issues you think ought to be raised?
13 And then others can get started on it.

14 MR. BUCHANAN: Okay. Well, thank
15 you. And I thought that NIOSH did a very good
16 presentation of what we plan on doing on the
17 neutron issue.

18 For those who might not be familiar
19 with it, I would like to put it in
20 compartments so that you can wrestle with it
21 maybe a little better.

22 We have the issue that the neutron

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 film measured the dose above half MeV. What
2 do we do with the dose that wasn't measured
3 below a half MeV? And so what kind of
4 correction factors are appropriate to apply to
5 the recorded dose to make sure the worker is
6 assigned his full neutron dose? That is issue
7 number one, which we'll get into the detail.

8 Issue number two is for the worker
9 that should have been monitored for the
10 neutrons and was not monitored for neutrons.
11 Then what model can we use to assign him
12 neutron dose? Okay.

13 So those are the two issues. And
14 in the latter part, where the person was
15 unmonitored but should have been monitored,
16 there are two parts.

17 What they are doing here is looking
18 at using the n/p ratio obtained from people
19 who were monitored and apply it to that
20 unmonitored worker or going back and looking
21 at the neutron doses of people that were
22 monitored and creating a coworker model.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Unfortunately, that is in categorical
2 intervals, rather than exact doses.

3 And so those are the three areas I
4 would like to expand on a little more and make
5 SC&A's comments on. And so the first one is
6 what do you do with the missed neutron dose
7 below a half MeV?

8 Now, granted, the NTA film monitors
9 the dose above a half MeV. And so sometimes
10 the dose below a half MeV is rather small,
11 like he illustrated, because the amount of
12 neutrons needed to create a substantial dose
13 that's small. As energy goes down, it does
14 less damage to the tissue.

15 And if you have a bare neutron
16 source and it's a small amount that's over
17 dose, if the person is working around a very
18 moderated source where the neutrons are
19 thermalized a lot and get lower energy, then a
20 lot of them fall below that threshold level.
21 And so that could be a substantial person, a
22 person's total dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Generally at Mound, you would not
2 have 100 percent dose below the half MeV
3 threshold. And so it's going to be some
4 fraction of whole dose.

5 And so what they did, I would like
6 to briefly go over their table 4-3. Would
7 that be too much trouble to bring table 4-3
8 back up?

9 DR. ULSH: Yes.

10 MR. BUCHANAN: It would? Okay.

11 DR. ULSH: I do have hard copies.

12 MR. BUCHANAN: Because they broke
13 it down into five factors there. And I want
14 you to be aware of what factors we're
15 discussing because they can all kind of run
16 together.

17 If you turn to page 16, if you turn
18 to page 16, table 4-3 -- and from Brad's
19 question, I want to clarify something there.
20 If you look at column 1, it is the year. And
21 so then you look, column 2 is a correction
22 factor that was applied by Meyers and his

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group or recommended by the -- Meyers noted
2 it. It was recommended by the health physics
3 group.

4 In 1970 to '77 -- this is kind of
5 an important factor because, you see, it's one
6 down to 1969, which we agree with, and then
7 they divided it by 2 because it had been
8 doubled in the past. So we want to take that
9 back out.

10 That's 1970 to 1977. So that was
11 the period you were talking about, Brad.

12 DR. ULSH: Right.

13 MR. BUCHANAN: And so that is the
14 reason that .15 appears there is that in the
15 record, it has been doubled. So we want to
16 unfold that and bring it back to where it
17 should be. And we have some site profile
18 issues about that, but they are minor, not
19 fancy issues.

20 And so you will see that there are
21 five columns there. And then there is a sixth
22 column, which is all the correction factors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rolled into one.

2 Now, SC&A would like to discuss
3 column 3, which the NTA film threshold
4 response, from which this was derived using
5 the Monte Carlo NP model. And so this is the
6 one we want to discuss.

7 Column 4 is the neutron energy
8 calibration source. And we do not have a
9 problem with this because what this is saying
10 is that the neutron source that was used for
11 calibrating the film matched what was being
12 used in the field.

13 Now, this is the raw source of
14 neutrons, not what the worker was exposed to,
15 but the origination, the nuclear reactor that
16 created the neutron to begin with. So we
17 don't have a problem with that.

18 The next one is track fading, as
19 Bob alluded to. We do have some issues with
20 that from 1963 to 1969, which can be resolved.

21 Those were some more site profile issues,
22 rather than SEC issues. However, if they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remain the way they are, I would consider them
2 not reconstructing doses with accuracy.

3 And an angular response in the next
4 column, 1.3.3; that is, if you are getting
5 irradiated from the side, rather than directly
6 on, that's fairly published literature. We do
7 not have a problem with that.

8 And we feel that column 7 is a good
9 move for the dose reconstructor. These are
10 all multiplied out. And that's his final
11 number over to the right there at what you
12 would multiply it by, their recorded dose.

13 So what I would like to concentrate
14 on is the third column. And then after we
15 discuss that, I would like to move on to the
16 NP models and the coworker dose models.

17 So factor 3 is the factor you can
18 multiply the workers', the monitored workers',
19 neutron dose by to arrive at his true dose,
20 which includes the dose that was missed below
21 the half MeV mark.

22 And so what NIOSH is proposing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the MCNP model, which SC&A does not have a
2 problem with the model itself. This is a
3 fairly well-known worldwide model that you
4 take data in, you sit up a parameter, you
5 determine what amount of dose is missed below
6 a certain dose amount. And then that gives
7 you a factor, the output, 19 percent or 33
8 percent, 36 percent, or whatever.

9 And so the two issues that SC&A has
10 are the input parameters and comparing any of
11 the output to real Mound-reported data. And I
12 would like to explain that at this point,
13 then.

14 The way this MCNP model was
15 constructed was they said, what was the likely
16 moderation and scattering situation at Mound?

17 And as you get more scatter, it decreases
18 energy neutron. And so you register less and
19 get more moderation. It moderates the
20 neutrons. And you register less by NTA film.

21 So what they did was take a
22 wall-less source, point source, surround it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with none, 2, 4, 6 inches of water in the
2 middle of a concrete silo and take 12 inches
3 of concrete -- and if I am wrong on any of
4 this, jump in -- 12 inches of concrete on the
5 floor, 12 inches on the wall, and 12 inches on
6 the ceiling, 3 meters in diameter and 3 meters
7 high, or something like that.

8 Anyway, it would simulate a person
9 working in an environment with a source and
10 the neutrons being thermalized or moderated.
11 Thermalized should be moderated.

12 And then what would the operator
13 get, 60 centimeters, and an observer, say, a
14 rad tech or something out here, 240
15 centimeters because he would be closer to the
16 wall. How much of his dose would be missed
17 because of the scattering and moderation?

18 And in that handout they showed
19 you, it shows some tables showing the percent
20 missed. And what they found out was the
21 observer actually missed more on his film
22 badge, showed more of the misdose, than the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operator because he was standing by the
2 scatter from the wall.

3 And this amounted to 19 percent for
4 the polonium beryllium sources, which were
5 used in an early part of Mound's operation,
6 and would be 36 percent would not be
7 registered during the polonium operations,
8 such as the PP building.

9 And so if you take 1-19/1, you get
10 this factor 1.23 you see in the third column
11 there up through '63. Then in the mid '63,
12 Mound went to using strictly a plutonium-like
13 calibration because it's a lower-energy
14 source. And so they looked at a use of
15 plutonium factor of 36 percent. 1-36/1 is
16 1.56, which you see is the rest of the years
17 that the NTA film was used.

18 Well, that's where the 1.23 factor
19 and 1.56 factor come from. And we don't
20 really have any heartburn with the NTA MCNP
21 model and what they put in and what they got
22 out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Our two contentions are that we do
2 not see that the input parameters, this
3 concrete silo I was talking about, was
4 actually taken from the Mound operating
5 conditions. It is a generic.

6 This would fulfill most conditions
7 at Mound of what happened, but we did not see,
8 for example, say, five situations, a glove box
9 worker, an RTG worker, whatever the operations
10 were, say, and put into the model and see what
11 the misdose below half MeV was on the output.

12 That's a number one question on this. Is
13 there a direct tie to Mound operations to set
14 aside the SEC requirement?

15 Number two is, then once you got
16 this output, it would be more substantial if
17 we could compare that to some kind of
18 benchmark pattern. I know that the reason we
19 are creating this model is that there is not a
20 whole lot of this information available.

21 But, as Bob pointed out, there were
22 some measurements made. There is one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular measurement that was made. In
2 1978, where they took NTA film and TLD
3 dosimeters -- of course, the TLDs do not
4 suffer from a half MeV threshold. In fact, it
5 is more responsive at lower energy and less
6 responsive at higher energies. Some develop a
7 huge dose.

8 Anyway, they did a ratio. And this
9 is where this magic number two came from
10 originally to correct the 1970 to 1977 data
11 was that when they were switching over to
12 TLDs, they did NTA film and TLD badges
13 simultaneously in the PP building and found
14 out that they were missing about half the
15 dose.

16 The NTA was reading about half of
17 what the TLD was reading. And so that is the
18 reason that they had a directive to go back
19 and increase this dose by two.

20 So that is one benchmark that our
21 MCNP model could be compared to. And I think
22 it misses the mark some because they came out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with a factor of two just for the energy
2 correction. This did not include the angular
3 dependency and some of the other things that
4 are in here.

5 And so SC&A's point is that we
6 don't have a problem with the Monte Carlo
7 model. We just have a problem with tying the
8 inputs to actual Mound situations, validating
9 some benchmarks on the output to show that the
10 results limit or where do the results fall
11 within the actual data.

12 Such tasks as OTIB-0049 were able
13 to show that this bounded the situation. And
14 how does it compare? If we did some real life
15 in and out, would we see some trends we didn't
16 expect or just some sort of physical real
17 world validation of an input and output?

18 So that is where we stand on
19 determining the misdose below a half MeV.
20 Now, the other issue is, what if the person
21 did not have NTA film and should have been
22 monitored?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes?

2 MR. KATZ: I'm just going to
3 suggest since that is a chunk right there
4 maybe. That's --

5 MR. BUCHANAN: Okay.

6 DR. ULSH: Well, we discussed this
7 to some extent, where these parameter values
8 came from. I'll let Bob expand on that, but
9 these were meant to represent realistic
10 situations at Mound.

11 And I think I even remember Joe and
12 I having a discussion about could you come up
13 with worse conditions; in other words,
14 conditions that would have led to more
15 misdose? And the answer is, sure, you could
16 have, but our response was that wouldn't be
17 realistic for Mound.

18 So as you are no doubt aware, with
19 any model, you want to kind of approach this
20 from a sensitivity standpoint. And I think
21 Bob has done some of that in terms of, well,
22 what if the moderator was thicker than what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have modeled? How much of an impact would it
2 have had? And it turns out not much.

3 In fact, the parameter values that
4 we picked, that was one of the specific
5 questions that we asked the nonworkers that we
6 met with during that outreach meeting.

7 Take a look at the parameter values
8 that we picked, the conditions, the scenarios
9 that we have envisioned. Are we off the mark
10 here? Do these represent the kinds of
11 situations that you had worked in?

12 The answer was, by and large, yes.

13 I think they did suggest that we model a
14 different physical form of plutonium.

15 MR. MORRIS: They did. They
16 suggested that we use a plutonium oxide in
17 aqueous solution.

18 DR. ULSH: Right.

19 MR. MORRIS: But the problem with
20 that model is that the amount of plutonium
21 that was ever in aqueous solution is so much
22 less that it probably is sort of a trivial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 example.

2 DR. ULSH: So these are not meant
3 necessarily to be worst case in the terms of
4 could you sit in an office somewhere and dream
5 up a worst scenario, sure, but they're meant
6 to be the worst kind of bounding cases that
7 are realistic for Mound.

8 MR. FITZGERALD: Well, I will just
9 interject. That's kind of where we're coming
10 from. This is a generalized model, where
11 you're picking admittedly conservative
12 parameters. And we did have this
13 conversation.

14 And you're trying to come up with
15 this generalized model because there isn't
16 sufficient site-specific data to allow you to
17 model for Mound directly; in other words, be
18 able to actually take actual geometry, actual
19 operations. That would be a big job.

20 So I understand where this is
21 headed, but I guess as I prefaced my remarks
22 earlier, I don't think we have actually had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this recommended or proposed for the same
2 kinds of issues that other sites and SEC -- I
3 just want to step back and say, this is a
4 generalized model where you have clearly
5 selected conservative values.

6 But at least it makes us pause a
7 moment and say, they're conservative values,
8 but how is one able to establish an upper
9 bound for the doses or exposures at the site?;
10 which is usually the point we get to in these
11 SEC conversations. And we're having some
12 difficulty on that because it doesn't link
13 back.

14 I think Ron actually sort of cited
15 this. It doesn't link back to the site and
16 benchmark in such a way that not only can we
17 say, Yes. Certainly you did try to put
18 conservative values in here.

19 But given the fact this is being
20 proposed because there isn't site-specific
21 data sufficient to allow dose estimation more
22 directly and you're applying a generalized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 model that isn't founded on specific Mound
2 data, I think -- and this really is
3 reminiscent of the surrogate data debate,
4 where what kind of justification or how can
5 one demonstrate that it is truly not just
6 simply conservative but we bound the doses you
7 would expect at the site.

8 I think that would lead us to
9 saying it almost appears that one would have
10 to benchmark against something that would
11 demonstrate that not only is this
12 conservative, but it's bounding.

13 MR. MORRIS: If you recall, one of
14 the reasons -- we said this explicitly.
15 Probably one of the most important reasons we
16 chose to have a Mound outreach meeting on this
17 topic was exactly to get the feedback that
18 you're hoping to get in some context of
19 saying, is this realistic for the workplace
20 that you folks were working in?

21 I think we got that affirmation
22 from them, don't you?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Absolutely.

2 MR. MORRIS: Yes.

3 CHAIR BEACH: Well, could we
4 request NIOSH to validate its MCNP dose
5 estimate by modeling against actual Mound
6 data?

7 DR. ULSH: What actual Mound data
8 would you like us to --

9 MR. MORRIS: You mean like get a
10 blueprint of a room and room cuts? Once you
11 starting putting in turn of this corner or
12 that ventilation duct, the models get
13 extremely complicated and become a Master's
14 dissertation.

15 CHAIR BEACH: It would be nice for
16 us to look at site-specific data. And the
17 technical part of it is a little probably
18 above me, but I would like to see something
19 modeled that we can look at that is actually
20 from --

21 MR. FITZGERALD: Even further back
22 than that, you know, certainly it is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 laudatory thing to actually try to benchmark
2 against the workers.

3 But I'm a little concerned about
4 given how many years back we are trying to go
5 back with the neutron issue, trying to
6 recollect 30 or 40 years of history in
7 providing that.

8 I haven't seen the interview notes.

9 I think that would be useful for the Work
10 Group to see the interview notes to understand
11 how that all fed into this. But it's the one
12 part of your framework.

13 I think the framework certainly has
14 come together quite well. It's the one part
15 of the framework which is somewhat novel to
16 us. And we're trying to understand how that's
17 going to present a bounding approach for that
18 level below 500. And I think it contributes
19 by having workers give testimonials.

20 But I just don't think that has
21 quite the edge that would allow you to say it
22 is bounding and there's not a concern over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether this is representative of the site.

2 I think it is conservative. I am
3 not saying it isn't. I think this is the one
4 element of the framework we're just not quite
5 there with yet.

6 MR. MORRIS: My problem is I can't
7 take -- I understand the concern. I'm not
8 sure exactly how to specifically --

9 MR. FITZGERALD: That's what I'm
10 saying because I'm looking at this thing and
11 saying I think that would be the caution I
12 would have, but I don't have a proposal at the
13 table.

14 I'm just saying how -- you know,
15 you certainly considered that. And you
16 certainly went to the workers as an avenue of
17 trying to get some testimonials that this
18 seems to be a pretty conservative approach and
19 representative.

20 MEMBER ZIEMER: Josie?

21 CHAIR BEACH: Yes, Paul? Go ahead.

22 MEMBER ZIEMER: Well, of course --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well, this is for Ron. I'm trying to
2 understand a little better the issue that SC&A
3 has raised. As I understand it, Ron, you're
4 asking, could the misdose below a half MeV
5 have been much greater than NIOSH is
6 projecting from the model? Is that the issue?

7 In other words, are there
8 geometries that would cause a much greater
9 amount of, well, I won't call it thermal but
10 at least below half MeV neutrons that would
11 result in a significantly higher misdose? Is
12 that the issue?

13 MR. BUCHANAN: Yes, Paul, that's
14 the issue.

15 MEMBER ZIEMER: And so that goes to
16 I think the geometry assumptions and how
17 realistic they are. How much moderation could
18 you get?

19 Well, for example, could you show
20 no spectra -- what was it, two and a half
21 millirem, fast neutron cutoff, and still have
22 a significant amount of some .5 MeV neutrons?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Are there some geometries that would do that?

2 Is that what you're asking?

3 MR. BUCHANAN: No. I don't think
4 at Mound we are proposing that the NTA film
5 would rest or nothing above the half MeV and
6 you would have a large dose totally below the
7 half MeV. Our question is that below half
8 MeV, we can't read that dose. So what do we
9 assign? And it could be a reasonable portion
10 of the total dose.

11 In this case, their MCNP model
12 models that amount of dose below the half MeV,
13 but we don't have any benchmark to show that
14 it's directly related to Mound operation and
15 data that was actually taken at --

16 MEMBER ZIEMER: It appears that one
17 of your concerns is that the starting spectra
18 may have been different than the calibration
19 spectra or spectrum as the case may be. Is
20 that right?

21 CHAIR BEACH: A lot of heads
22 shaking no there, Paul.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Pardon me?

2 CHAIR BEACH: A lot of heads
3 shaking no on that one.

4 MR. BUCHANAN: No. We don't have
5 an issue with the neutron spectrum they're
6 feeding into the model.

7 MEMBER ZIEMER: Okay. I thought
8 you were concerned about the one column that
9 --

10 MR. BUCHANAN: The correction
11 factor, column 3, which is the correction
12 factor that is applied to the recorded dose.
13 Does this represent the correct amount of
14 misdose below the half MeV threshold?

15 And we agree that it is
16 conservative. And in most cases it probably
17 does compensate for it, but we have not seen
18 any proof in the pudding that it does
19 compensate from examples taken from actual
20 operations and recorded dose at Mound.

21 Whether the Board accepts a model
22 that hasn't been validated anywhere with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benchmarks, that's more up to them. We would
2 like to bring that point out.

3 DR. ULSH: Well, I've got a couple
4 of thoughts on a number of things that have
5 been said sort of a test. The first is the
6 concern that we're asking workers to remember
7 information from decades ago. And I think
8 there is a bit of a double standard here
9 because in other situations, concerns of
10 workers have been raised up as almost the sole
11 basis for SEC issues.

12 I would contend here that the group
13 of workers that we picked were explicitly
14 picked to be representative of the neutron
15 expertise at Mound. These include people like
16 [identifying information redacted], who I
17 would say along with [identifying information
18 redacted], Roger Falk are probably -- I mean,
19 this is the foremost neutron expert in the
20 country.

21 These are people who were hands-on.
22 They were in the buildings. We aren't asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them to remember exactly where they were at a
2 particular point in time. We're asking them
3 to remember essentially what kind of
4 conditions they worked in on a day-in/day-out
5 basis.

6 So I would say that that carries a
7 lot of weight in this situation. Number one,
8 it's the expertise of the people, but number
9 two is the breadth of the people we consulted.

10 So I would give a lot of weight to that.

11 In terms of could there be a large
12 fraction of the neutron dose that fell below
13 what we're detecting, you've got to keep in
14 mind here that there are limits on how much
15 moderation you can have.

16 I mean, you can't reach through an
17 infinitely thick moderation. You can only
18 reach through about six inches or so before
19 you can't reach through it anymore. I mean,
20 that's what we're talking about: shields that
21 were placed in front of essentially glove
22 boxes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So there are practical physical
2 limits on how much moderation you could have.

3 And I think Bob clearly laid out that the
4 dosimetric significance of these very
5 low-energy neutrons is trivial. I mean, they
6 just don't give you any dose, even if the flux
7 is higher, to any great extent. And in terms
8 --

9 MEMBER ZIEMER: Well, the flux has
10 to be about three orders of magnitude higher
11 per neutron to give you equivalent doses for
12 thermals. It's a tremendous difference.

13 DR. ULSH: And I would say that
14 that is an unrealistic expectation that there
15 would be three orders of magnitude more flux
16 at those lower energies. That is just not
17 realistic.

18 CHAIR BEACH: And I think you have
19 a comment while we continue. Sorry.

20 DR. ULSH: One last point. Sorry.
21 With regards to this being a unique approach,
22 I mean, it is really not much different from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the way we approach any site. It depends on
2 the data that is available. And this is the
3 particular data that we have available at
4 Mound.

5 MCNP has been used, not just in
6 industry in general but specifically in the
7 dose reconstruction program. I think,
8 although don't hold me to this, it was used in
9 the glove box TIB, although that might have
10 been another code similar to it.

11 MR. MORRIS: Similar code.

12 DR. ULSH: It was a TILA?

13 MR. MORRIS: A TILA. That's right.

14 DR. ULSH: Okay. Well, MCNP I
15 think was used at the Hanford neutron dose
16 approach certainly.

17 MR. MORRIS: Yes.

18 MR. BUCHANAN: Industry approach.

19 DR. ULSH: Yes. So it has been
20 used. It's just not a completely out of the
21 blue-type approach. It is specific to Mound,
22 just like the neutron approach at Hanford is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific to Hanford or the neutron approach at
2 Y-12 is specific to Y-12.

3 CHAIR BEACH: One last comment from
4 Kathy. And then we're going to need to take a
5 ten-minute comfort break at this time. So
6 Kathy?

7 MS. ROBERTSON-DeMERS: All I was
8 going to say is I would reemphasize what Joe
9 said, that we would like to see the notes from
10 this worker outreach meeting.

11 DR. ULSH: And I don't know. Have
12 those been put on the SRDB, Karin? Do you
13 know?

14 MS. JESSEN: When I looked last
15 time, they weren't there.

16 DR. ULSH: But we have them in the
17 queue for loading up or --

18 MS. JESSEN: I would have to
19 double-check that.

20 DR. ULSH: All right. We'll make
21 sure that those --

22 MR. FITZGERALD: And, Josie, before

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we lose the thread -- this will take 30
2 seconds.

3 CHAIR BEACH: Okay.

4 MR. FITZGERALD: First, as I said,
5 I think the interviewing of the HPs and former
6 workers is not only laudatory. It is a very
7 good approach for benchmarking. What we're
8 saying, though, is: is it sufficient? That's
9 what we're telling the Work Group.

10 The second thing is certainly the
11 MCNP as a proposed avenue of doing what it is
12 being proposed to do for Mound is certainly
13 the first time for the SEC discussion that we
14 have seen. And that is the reason we want to
15 certainly explore that and understand it
16 better. And that's why I prefaced my remarks
17 before.

18 So we're not saying it doesn't have
19 applications. In fact, we know it has been
20 applied in the commercial sector and is a
21 well-recognized, respected model.

22 It is a generalized model. And one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had to take these parameters as we discussed,
2 so just to make those comments. Let's take a
3 break.

4 CHAIR BEACH: I probably won't let
5 it go out either because I would like to see
6 that in practice for my own benefit.

7 DR. ULSH: What do you mean in
8 practice?

9 CHAIR BEACH: As a model to
10 validate your model with Mound data.

11 DR. ULSH: Okay. I'm just trying
12 to be clear about what it is you would like to
13 see. What Mound data do you wish to compare
14 against?

15 I mean, as we have talked about,
16 the reason that we are taking this modeling
17 approach, instead of using Mound data, is
18 because there are problems with the Mound
19 data.

20 So if you can give us some idea of
21 what data you would like to see us benchmark
22 against, that would certainly help us to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respond.

2 CHAIR BEACH: Let's go ahead and
3 take a ten-minute break --

4 MR. MORRIS: And think about that.

5 CHAIR BEACH: -- and come back
6 about a quarter after. Does that work?

7 (**PART 3, 11:21:54**)

8 (Whereupon, the above-entitled
9 matter went off the record at 11:05 a.m. and
10 resumed at 11:21 a.m.)

11 MR. KATZ: This is the Mound
12 Working Group. And we're coming back online.
13 And I think we're still in the discussion
14 about neutron doses.

15 CHAIR BEACH: Yes. And if there
16 are no further comments on the first item that
17 Bob presented -- Bob, you did have two other
18 points to present?

19 MR. BUCHANAN: One other, I think.

20 CHAIR BEACH: Ron. Sorry.

21 MR. BUCHANAN: Two other points.
22 Yes. One other major point with two parts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: So Ron, instead of
2 Bob. Thank you. Excuse me.

3 MR. BUCHANAN: Okay. Now, we
4 talked about the one subject of missing the
5 dose under half MeV. The second part was for
6 the person that wasn't badged wanting to
7 assign them for neutron dose that should have
8 been monitored.

9 And there was the possibility of
10 using the badged people's information and
11 determining coworker dose and then also using
12 some records that were categorical and then
13 also using the person's photon reported dose
14 and assigning an n/p ratio.

15 So SC&A's stand on those two issues
16 was, number one, we look at determining an n/p
17 value from the recorded doses. And we do not
18 have a problem with this method. We discussed
19 on the phone some reservations about the
20 values that are showing in the table of the
21 handout that they fluctuated quite a bit and
22 such.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This was not addressed in this
2 meeting to any degree, but we don't have a
3 problem with the method. It's just the actual
4 values that appear there. And I was trying to
5 find which table that is because also we have
6 the handout.

7 This is on page 21, table 4-4. And
8 this would cover the '49 to '77 time frame.
9 And you see there in the column there the 50
10 percentile, the 95th percentile. We have
11 ranging of .6 to 18.6 as the n/p ratio for the
12 50th percentile and the 95th percentile 1.137.

13 Realistically this is quite a wide
14 swing. And so we would like to see further
15 work or comments on that as far as using it
16 for an actual dose reconstruction.

17 Generally your n/p ratio in working
18 environments, these types of sources would
19 probably run from one or less up to 10, maybe
20 on the outside 20. So we figured it would be
21 37, 34 values are probably extremes. But we
22 do not feel that, like the 2.6 or 2.8 and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lower values would bound doses for some
2 situation. So that would be one way to assign
3 dose if you did not have recorded dose.

4 Now, I understand NIOSH to say they
5 felt these were maybe high using this.
6 Perhaps I would like to ask a question of
7 NIOSH because it was kind of conflicting in
8 the white paper.

9 Do you propose that table 4-4, the
10 95th percentile is bounding or just
11 conservative?

12 MR. MORRIS: We think that it's
13 useful for dose reconstruction. And we have
14 taken your comments under consideration. And
15 we will probably revise those upper 95th
16 percentile numbers as bounding.

17 MR. BUCHANAN: Okay.

18 MR. MORRIS: This is different from
19 what we discussed. Is that right, Ron?

20 MR. BUCHANAN: Yes, that's right.
21 We just wanted to make the --

22 MR. MORRIS: We're taking your --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BUCHANAN: In the white paper,
2 it does make a statement that these n/p ratios
3 are maximum or I assume that means bounding.
4 And I want to clarify that at this point. Do
5 you feel that they will be when you revise
6 them?

7 MR. MORRIS: We think they will be
8 when they are revised.

9 MR. BUCHANAN: Okay.

10 MR. MORRIS: They will be useful as
11 an SEC bounding calculation.

12 MR. BUCHANAN: Okay. That's all I
13 had on the coworker derived from reported
14 dose.

15 CHAIR BEACH: Okay. Any follow-up
16 from NIOSH other than what Ron has said?

17 DR. ULSH: Are there more issues
18 that you are going to --

19 MR. BUCHANAN: They're half of it.
20 It's coworker from the categorical I wanted
21 to address.

22 CHAIR BEACH: Go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BUCHANAN: Okay. So the second
2 half of determining the dose to an unbadged
3 worker was NIOSH feels that the n/p values
4 were somewhat excessive. So they went and got
5 the categorical data and looked at it.

6 Categorical data is film badge
7 results from actual workers that did not have
8 the actual dose. It is actually when they
9 made these reports, they put it in bins or
10 categories zero to 100, 100 to 300, or above
11 300, this sort of thing.

12 And so what this does is give you
13 an idea of how many people were in a certain
14 dose range but not the actual dose. So what I
15 did is I analyzed this table. And in your
16 handout NIOSH provided, that is in table 6-2.

17 What I would like to do is to look
18 at -- I understand the definition of coworker
19 dose.

20 CHAIR BEACH: Do you have a page
21 number for 6-2?

22 MR. BUCHANAN: Oh, 28.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Thank you. Sorry.

2 MR. BUCHANAN: Page 28, table 6-2.

3 My understanding of coworker dose is that
4 some were monitored and some were not
5 monitored. So you would use the ones that
6 were monitored. And that would reflect the
7 dose of the average worker in that group at
8 that time or the person that wasn't monitored.

9 So there should be some correlation
10 between the dose that the person received and
11 the person that wasn't monitored. It should
12 follow some trend on dose values.

13 If you look at table 6-2, you will
14 see that the numbers they will use, the median
15 value in column 5 or the 95 percentile in
16 column 6, if you look there, you can
17 categorize the first full increase as around
18 360. You can categorize the next entries down
19 through March as around 200. And you can
20 categorize the rest of the order entries
21 around 600.

22 What you find out is that these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 values follow the exchange recordkeeping
2 cycle, as opposed to a measured dose. So that
3 if it was weekly, it ran around 360. If it
4 was on a monthly recordkeeping basis, if it
5 was an exchange, it was every two weeks. And
6 if it was a monthly recordkeeping cycle, it
7 was 200. And if it was quarterly and every 2
8 weeks exchange, then it was around 600-700
9 millirem.

10 The amount in the upper brackets
11 above, so essentially it boils down to the 100
12 millirem interval multiplied by the, adjusted
13 by the, exchange in recordkeeping cycle, that
14 it does not really reflect much on what is
15 above 100, in the 100 to 300 or above.

16 The 95th percentile reflects it a
17 little more. Because you get some of the
18 upper extremes, but it still follows that
19 general cycle.

20 SC&A's position is that the
21 coworker dose based upon this categorical data
22 at this point is not sustainable as a good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 working model.

2 CHAIR BEACH: Thank you, Ron.

3 NIOSH?

4 DR. ULSH: I think you hit the nail
5 on the head, Ron, that the medium dose tends
6 to be influenced heavily by the badge exchange
7 cycle. And that's because most of the badge
8 reads resulted in non-detect.

9 So I think you've got that part of
10 it right. I didn't quite follow why that is
11 not valid to use for coworker data, though.

12 MR. BUCHANAN: Well, the coworker
13 data in a medium neutron dose is a function of
14 the exchange cycle and the read cycle, which
15 doesn't really reflect actual recorded dose.

16 MR. MORRIS: It does reflect
17 recorded dose as corrected or missed dose.

18 DR. ULSH: Right. It reflects the
19 fact that those badges were in less than
20 detect. And that would tell you that unless
21 the median is right around the limited
22 detection, it would tell you that 50 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the people got right around the LOD.

2 And, furthermore, I mean, what we
3 are talking about here, these are the people
4 who are permanently stationed in, say, for
5 instance, SM building. It's reasonable to
6 presume that the monitored workers were ones
7 that were at least judged to be at highest
8 exposure potential.

9 So when we are talking about an
10 unmonitored worker, in the Mound-specific
11 situation, what we are talking about is
12 someone who went into, say, for instance, SM
13 building, maybe a plumber, maybe a carpenter,
14 who was not permanently stationed in SM
15 building but was a visitor to that building,
16 not to the site but to that building.

17 And they picked up a visitor badge,
18 a visitor photon and neutron badge. They went
19 into the site. They came back out. Unless
20 their photon badge read above a certain level,
21 they didn't bother to read the neutron badge.

22 So I would propose to you that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those people that fall into that visitor-type
2 category were certainly at far lower exposure
3 potential than the people who were badge and
4 permanently stationed in SM.

5 Therefore, if we're applying a
6 coworker model based on the people who wore
7 badges who were, in fact, overestimating by
8 quite a bit the dose that those unmonitored
9 people could have gotten on a one or two-day
10 entry into that building, the fact that even
11 the monitored workers got right around the LOD
12 just simply indicates that, well, I would say
13 it indicates that, number one, they were
14 badged frequently and, number two, it
15 indicates success somewhat in limiting neutron
16 dose.

17 I don't see why even at 100 percent
18 or more LOD, why it would not be bounding or
19 sufficient to estimate the unmonitored
20 workers' dose at the LOD. I mean, that's --

21 MR. BUCHANAN: Well, because in
22 this case the dose you would assign would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 depend upon the exchange cycle and the degree
2 of the cycle of the recordkeeping, as opposed
3 to any measured dose --

4 MR. MORRIS: That's what it is when
5 we do a dose reconstruction with this data
6 right now. If there is an identified
7 monitored worker who shows up with this NTA
8 film right now we assign, most of the doses
9 are driven by the missed dose concept.

10 MR. STEWART: Measured dose is a
11 small fraction of the dose we assign in Mound
12 claims.

13 DR. ULSH: In any claims, really.

14 MR. SHARFI: It's true for any
15 assignment. They're driven by this list.

16 MR. STEWART: There are exceptions.
17 If the person worked exclusively with neutron
18 sources, then their measured dose may eclipse
19 in this dose to a greater or lesser degree.

20 DR. ULSH: There are very few
21 situations across the complex where most of
22 the dose if you look at a coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distribution is above the LOD.

2 There probably are some, but I
3 would say they are pretty darned rare. This
4 is not a Mound-specific situation.

5 MR. BUCHANAN: But if you step back
6 for a minute, you are essentially saying if a
7 person is unmonitored, you are going to assign
8 them misdose.

9 DR. ULSH: Correct.

10 MR. STEWART: We don't have much --
11 there are two kinds of unmonitored doses.
12 Those doses that have a zero in that column
13 for that year because we only have annual data
14 are assumed to be monitored and, therefore,
15 get misdose.

16 If they state that they are not
17 monitored in that year, which some records do,
18 or if that year is missing from the MESH
19 printout, that worker is assumed to be
20 unmonitored in that year and does not receive
21 misdose.

22 MR. BUCHANAN: But in this case,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this would be used for workers which should
2 have been monitored, not monitored --

3 MR. STEWART: Correct.

4 DR. ULSH: It would be used for
5 workers in that situation that I described.
6 It went in on a visitor badge because their
7 gamma dose didn't exceed that threshold. They
8 didn't bother to read the neutron badge, the
9 assumption being that, well, if the gamma is
10 not passing that number, then the neutron
11 certainly wouldn't.

12 We are not accepting that rationale
13 at face value. We're saying no. We're going
14 to go back and assign the neutron dose.

15 MR. BUCHANAN: Okay. And so we
16 went back and assigned a misdose on their
17 badge cycles would be a simpler process and
18 essentially what we're doing here.

19 MR. MORRIS: Except at the 95th
20 percentile.

21 DR. ULSH: Well, in some
22 situations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MORRIS: I think generally
2 you're probably close to right except for the
3 median value. Ninety-fifth percentile value
4 tends to be a little higher than that.

5 MR. BUCHANAN: I don't disagree.
6 I'm just saying, is that an acceptable
7 practice when it boils down to when you have
8 an unmonitored worker which you want to assign
9 dose to, essentially, say that we're going to
10 assign him misdose because that's what the
11 badge results showed on a general categorized
12 basis? Is that an acceptable practice?

13 DR. ULSH: I don't think that would
14 be acceptable if the actual coworker
15 distribution of the monitored workers showed a
16 median dose that was above the LOD. In that
17 situation, if we said, "No. We're going to
18 give a misdose," that would not be
19 appropriate. But the fact that we have looked
20 at the actual monitored worker population and
21 the median dose is at the LOD, then that's
22 what you use. It's not necessarily just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applying misdose. It's based on the
2 experience of the actual worker population.

3 Now, that happens to be at the LOD,
4 but that's reflective of the situation at the
5 site. I don't know what else we could do. We
6 can't make the median something different than
7 it actually is.

8 MR. BUCHANAN: We do have some
9 detailed data. I mean, for years -- this
10 covers '51 through '60 or you say it will from
11 the phone conference. You have some more data
12 to fill in some of these months that aren't
13 available as we went to a period of time, say,
14 '62 or something and saying, how does this
15 apply during this period when we do have some
16 other data? Have we checked to see if this is
17 realistic?

18 MR. MORRIS: Are you saying have we
19 taken a monitored worker whom we have data for
20 and reconstructed the dose --

21 MR. BUCHANAN: Right and compared
22 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MORRIS: Well, of course, you
2 will find that some people have more and some
3 people have less. That's the definition of
4 median and 95th percentile.

5 MR. BUCHANAN: This is the first
6 time, just like the Monte Carlo monitoring,
7 this is the first time I have seen the
8 categorical badge data used for coworker.

9 MR. MORRIS: The only question
10 really is, how do you take numbers that were
11 traditionally are integer values and we end up
12 making an assumption that it's the middle of a
13 distribution, instead of an actual number
14 lower or above the middle of the distribution?

15 But our bands are pretty tight.
16 It's not like they're spanning from zero to
17 five rem and five to ten rem. They're
18 fractions of a rem.

19 So I don't think that there's a lot
20 of lost information by the fact that it's
21 categorized. It's still pretty tightly binned
22 data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: In fact, since it's a
2 log-normal distribution, almost certainly if
3 you actually had the data to look inside those
4 bins and see how many -- see, the first bin is
5 zero to 100. If you had the power to look
6 inside those bins, you're going to find that
7 most of them are clustered right around zero.

8 So by using categorical data, we're
9 being claimant-favorable.

10 CHAIR BEACH: So can you provide
11 the Work Group with a model that has been
12 validated against representative Mound doses,
13 actual doses of record?

14 MR. STEWART: We have data for some
15 years. In some claims, we have actual
16 individual cycle data. And that I don't
17 remember whether the later years have neutron
18 data or not, but form 1015 in some of the
19 claims has this information in it.

20 DR. ULSH: So what kind of a test
21 are you looking for here? If we take a worker
22 who is monitored and we have his gamma and his

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutron but we just say, "Well, let's just
2 pretend that we don't have the neutron" and
3 estimate it using this coworker model, does it
4 over or under-predict the dose that we
5 actually got?

6 Is that kind of what you're asking
7 here? Well, half the time it will and half
8 the time it won't.

9 MR. SHARFI: What's the value?
10 He's a monitored worker. You can't treat him
11 as a non-monitored worker, unmonitored worker,
12 because he should have had dose because that's
13 why he was monitored.

14 You can't put someone in a position
15 where you would expect him not to have dose
16 when he actually worked in a position that did
17 have dose.

18 CHAIR BEACH: I just need to be
19 able to validate what you're doing with the
20 coworker model.

21 MR. SHARFI: We've done this
22 before. This is not the first time where we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have seen a median zero dose.

2 DR. ULSH: Pretty much every other
3 site where you have a coworker distribution.
4 There might be a few where the median --

5 MR. SHARFI: On the DOE site,
6 especially in the AWEs, you see a lot of sites
7 where you can find median doses were all below
8 the limited detection. So then your coworker,
9 really, your median coworker, would be all
10 missed steps.

11 DR. ULSH: I think what you are
12 asking us to do is test the coworker model
13 against the data which was used to build the
14 coworker model.

15 CHAIR BEACH: Actual data.

16 DR. ULSH: But you can't test the
17 model against the data that was used to build
18 the model. It doesn't tell you anything.

19 MEMBER ZIEMER: You are testing it
20 against itself if you do that --

21 DR. ULSH: It is a tautology.

22 MEMBER ZIEMER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BUCHANAN: What I think it
2 boils down to is, the end concept -- and I
3 haven't seen the category-type data used
4 before for coworker, but the end concept is,
5 is it allowable to assign misdose to people
6 that weren't badged because essentially that's
7 what it boils down to.

8 MR. SHARFI: Theoretically you're
9 not really assigning misdose to them. You're
10 saying that misdose is about as bounding as
11 you can get for the 50th percentile. And
12 you're saying that I can't see anything below
13 the limit of detection. And, therefore,
14 really the coworker dose is something under
15 misdose, but that's about as good as I can
16 bound it.

17 DR. ULSH: It's an overestimate.

18 MR. SHARFI: Really, it is an
19 overestimate because you don't have the
20 numbers below the limit of detection. So,
21 really, their real coworker dose is something
22 under the limit of detection. All we can do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is since it's under the limit of detection,
2 all we can do is give them misdose.

3 MR. FITZGERALD: You're purposely
4 overestimating, really, is what you are doing.
5 That is as tight as we can get.

6 MR. SHARFI: That is as tight as
7 you can get.

8 MR. FITZGERALD: I think that
9 helps.

10 DR. ULSH: And that's the situation
11 at every site because dosimeters at every site
12 have a limited detection.

13 MR. BUCHANAN: At other sites have
14 ended up with neutron coworker dose being
15 assigned, mainly by misdose.

16 MR. STEWART: Well, all external
17 coworker dose models include misdose, neutron
18 or photon.

19 MR. BUCHANAN: But this is the
20 first time I've seen it. And maybe this is
21 standard practice, but at Rocky Flats and
22 such, did we run into where the coworker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutron model essentially boiled down to
2 misdose?

3 DR. ULSH: Well, the problem with
4 Rocky Flats was we were told that we couldn't
5 estimate neutron dose as the basis of the
6 Rocky Flats SEC. So that is probably not a
7 good --

8 MR. BUCHANAN: Well, whatever.
9 Hanford is coworker neutron dose usually
10 boiled down to just misdose.

11 MR. SHARFI: That would vary site
12 by site. I mean, I can tell you I have seen
13 gamma doses where the median for gamma dose
14 was misdose in certain years alone.

15 So if you had neutron dose, I would
16 imagine neutron dose probably would be, too,
17 not for all years, but I have seen years where
18 the 95th is the only thing that is really
19 above the limit of detection. The median
20 doses are all below the limit of detection.

21 So this isn't something we haven't
22 seen before, probably more common. I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 imagine it would be more common with neutron
2 because a lot of sites badged, over-badged,
3 people that didn't require neutron dosimetry.

4 So you are going to see a lot more zeros.

5 DR. ULSH: And the LOD is higher.

6 MR. SHARFI: Yes. And the LOD is
7 much higher. So if you are badging people on
8 a weekly basis, you are probably never going
9 to see enough exposure to hit those limit of
10 detections.

11 Really, if they were to badge
12 people quarterly, annually, the cumulative
13 dose would kind of get enough to see above the
14 limit of detection. So by badging people more
15 frequently, you're really over-assigning dose
16 by giving them misdose because you never
17 really hit that cumulative dose enough to hit
18 the limit of detection.

19 DR. ULSH: That is the last issue
20 you were --

21 MR. BUCHANAN: Yes.

22 DR. ULSH: If I could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presumptive here, what I might --

2 CHAIR BEACH: Go ahead.

3 DR. ULSH: What I might suggest is
4 that during our conference call a few weeks
5 back, there were some action items that came
6 out of that. They're going to form the basis
7 of a revision to the white paper.

8 I would say I've talked to Bob.
9 And a realistic estimate is two, maybe three
10 weeks for us to revise the white paper to
11 address those points that were raised in the
12 conference call. We will issue that white
13 paper.

14 CHAIR BEACH: Now, does that need
15 to go to DOE?

16 DR. ULSH: Yes.

17 CHAIR BEACH: Yes. So two to three
18 weeks pretty --

19 DR. ULSH: Yes.

20 CHAIR BEACH: So four to five
21 weeks, I mean, really.

22 DR. ULSH: It's been pretty quick

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lately, but I don't know that we can --

2 CHAIR BEACH: Okay.

3 DR. ULSH: We will get it out as
4 soon as we possibly can.

5 CHAIR BEACH: Okay.

6 DR. ULSH: And then if there are
7 continuing points you want to raise, like,
8 say, for instance, those that you raised today
9 --

10 CHAIR BEACH: And that's where I
11 was going to get to, is NIOSH has agreed to
12 update their white paper based on your
13 technical call and the action items that came
14 out of that.

15 They're also going to place on the
16 O: drive the interview notes from the April
17 meeting. First week of April I believe is
18 what you said.

19 DR. ULSH: Yes.

20 CHAIR BEACH: So we should see
21 those on the O: drive relatively soon, I am
22 assuming.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Right.

2 CHAIR BEACH: And then, SC&A, are
3 you planning on a white paper or are you going
4 to wait for the updated white paper before you
5 add your comments?

6 MR. FITZGERALD: Well, one, I think
7 we sent a draft set of interview notes -- not
8 interview notes, meeting notes that would be
9 helpful. I think it would be a help just to
10 -- I think that was a pretty productive
11 meeting just sort of to benchmark what you may
12 see as changes in the white paper. That would
13 be helpful for the Work Group to have.

14 In terms of what we would do, you
15 know, we always speak of the issues. You sort
16 of lose the forest. In this case, I thought
17 we pretty much agreed with the factors that
18 were being proposed and whatnot that would be
19 reflected in these minutes.

20 So, really, I thought what we did
21 today, just kind of highlight what would be
22 the remaining questions or concerns. And I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not sure -- you know, in terms of a white
2 paper, I think we've said just about what we
3 can say at this stage.

4 I do agree that I don't have a
5 ready answer, not to reopen this whole big,
6 long discussion, but on the MCNP in terms of
7 generalized model, I don't have a real good
8 answer about how one would benchmark. Perhaps
9 there is an avenue by which one could look at
10 that issue.

11 I mean, I don't see a white paper
12 saying that.

13 CHAIR BEACH: Right.

14 MR. FITZGERALD: But I think that
15 would be the question at this point, whether
16 there is any way to do that.

17 The only other thing I would add to
18 that just so it's a complete record is in
19 terms of picking the conservative
20 representative sources, you mentioned three
21 sources, did those include the and for the
22 folks that worked at Mound, the special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operations and the SM, sort of military
2 application activities? Because that would
3 certainly be something I would be concerned
4 about, making sure that in terms of sources
5 that they are representative of all of the
6 sources.

7 DR. ULSH: It would certainly
8 include -- well, the group of workers in the
9 top certainly included people who would have
10 been involved in overseeing that kind of work.

11 I mean, we didn't talk explicitly about that.

12 MR. FITZGERALD: Right. It's
13 classified, some of it.

14 DR. ULSH: Well, with good reason.

15 MR. FITZGERALD: Yes, right. And I
16 just wanted to make sure that, you know, since
17 that would have been a source, that that's --

18 DR. ULSH: Well, we didn't talk
19 about it specifically in those terms during
20 the outreach meeting. The outreach meeting
21 would have certainly included people who would
22 have been familiar with that work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we put the question out. Due
2 to parameters that we pick, the scenarios that
3 we pick represent what you have experienced?

4 And the answer was yes. It wasn't
5 yes except for some situations.

6 MR. FITZGERALD: Right.

7 DR. ULSH: It was just yes.

8 MR. FITZGERALD: I think that's the
9 nature of our comments. I mean, those are the
10 comments we would have. You know, beyond what
11 Ron mentioned on the coworker model, I think
12 the question of applying the general model and
13 upper bound, I think that is kind of one
14 concern remaining for the overall framework.

15 So we would weight the white paper.

16 But certainly at this stage, I would see that
17 one as being probably the one that, you know,
18 takes some effort or some discussion.

19 CHAIR BEACH: Right.

20 DR. ULSH: Well, our white paper
21 doesn't reflect what we talked about in the
22 conference call.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Right.

2 DR. ULSH: They're probably not
3 going to talk to the issues that we have
4 discussed today. It would be much easier for
5 us to respond appropriately if we had a more
6 solid feel for what you are proposing, what
7 kind of issues.

8 And we talked about it. If we had
9 something in writing, it would help us get our
10 arms around. These are exactly the points.

11 MR. FITZGERALD: Why don't we write
12 -- a white paper sounds enormous. Why don't
13 we write a memo through the Work Group and
14 with a copy to you, obviously, to sort of
15 articulate the two or three-part -- okay,
16 two-part with a second to highlight what we
17 have talked about in the table for the record
18 in writing and go from there.

19 DR. ULSH: Will that come after our
20 white papers?

21 CHAIR BEACH: That was my next
22 question. After --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: I don't see why we
2 have to have a white paper. White paper is
3 based on the technical call. The worker will
4 have to administer that call. And you can see
5 where we are going with that.

6 This is a derivative from that
7 conversation, but these are more getting down
8 to sort of the end issues of SEC's importance.

9 I think that we can do in parallel, get to
10 the worker and Brant and his team probably in
11 the next week or two, couple of weeks, no more
12 than that.

13 CHAIR BEACH: And if it's needed,
14 we can always convene a conference call Work
15 Group meeting over the phone to address some
16 of those issues.

17 MR. FITZGERALD: I mean, we have
18 winnowed down quite a bit.

19 CHAIR BEACH: Yes.

20 MR. FITZGERALD: I mean, I think
21 these are more or less where the implications
22 are applying this and trying to understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether it answers all the big questions or
2 not.

3 MR. KATZ: So, Joe, when you go
4 back and compile this memo, are you going to
5 consider whether you have any suggestions
6 about benchmarking?

7 MR. FITZGERALD: Like I said, I
8 think that's -- you know, I agree it's not an
9 easy question, but, you know, it's sort of a
10 circuitous logic thing. If you don't have
11 site data --

12 MR. KATZ: Right. I understand.

13 MR. FITZGERALD: -- you're coming
14 at this model. And then you kind of benchmark
15 what you know is not there. So I talked to
16 Ron. He mentioned one data point.

17 I'm just trying to -- I think it's
18 one of these things, can you, in fact, do it
19 or not? And what value would it be? I think
20 that's something that we --

21 MR. MORRIS: Let me suggest that
22 there might be more value in thinking of it in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 terms of sensitivity analysis. It's like, how
2 different would things have to be before they
3 change much?

4 MR. FITZGERALD: Right.

5 MR. MORRIS: And I think to some
6 extent, we did that with the table that shows
7 the different shielding thicknesses for each
8 of the observer positions, the operator
9 observer positions.

10 MR. FITZGERALD: Right.

11 MR. MORRIS: You can see that a
12 six-inch water shielding is probably an
13 overestimate of reality of the thickest shield
14 that was there.

15 And so you can see that the changes
16 start to plateau out.

17 MR. FITZGERALD: Yes. I think the
18 thing that would help would be to actually
19 take some representative Mound geometries. I
20 mean, there's one, not to keep going back to
21 that example, but this SM activity had a
22 neutron source. What would you expect from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that? And why would you feel that this would,
2 in fact --

3 MR. MORRIS: Perhaps we should just
4 invite you to say what other sensitivity
5 parameters do you think we should test? I
6 know we have tested water, for instance.

7 MR. MORRIS: Right.

8 MR. FITZGERALD: Are there other
9 parameters that you think we ought to iterate
10 on?

11 MR. MORRIS: Well, I think there is
12 a lead-in question, which is, is there any way
13 one can demonstrate an upper bound without
14 going back to the site in terms of specific
15 data? That is sort of a lead-in question.

16 The second thing is, if one cannot
17 do that, can you use the approach you're
18 talking about, which is achieve a degree of
19 conservatism, which would give you confidence
20 that even without being able to demonstrate an
21 upper bound, you feel pretty conservative that
22 the sources had been enveloped and are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representative and that kind of thing?

2 I think as a two-part thing, can
3 one establish an upper bound based on actual
4 site data? Right now I would say it looks
5 awfully difficult for reasons we discussed.

6 The second thing is, then, if one
7 defaults to a sensitivity analysis, saying
8 let's make this as conservative as possible
9 based on what we know and the people we've
10 talked to, then I think we can explore. Are
11 there ways to do that better than have been
12 done?

13 I think we would take it as a
14 two-part approach, saying let's address the
15 first one, then address the second one as a
16 fallback because, again, this is kind of a
17 relatively new issue. I don't have the
18 answers.

19 MEMBER ZIEMER: Joe this is Ziemer.

20 I think it makes sense to use the sensitivity
21 analysis because that will at least give you
22 some level of confidence as to whether or not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the bounding is reasonable.

2 MR. FITZGERALD: Well, there's no
3 bounding. I mean, the dilemma is --

4 MEMBER ZIEMER: Well, you're asking
5 whether you can bound the doses. The
6 sensitivity analysis will at least give you a
7 feeling for whether your --

8 MR. FITZGERALD: Yes, confidence.

9 MEMBER ZIEMER: -- you are close.

10 MR. FITZGERALD: Right, right.
11 That's what I'm saying, that without the
12 first, this would give us additional
13 confidence --

14 MEMBER ZIEMER: Right.

15 MR. FITZGERALD: -- on the
16 conservatism. Right.

17 MEMBER ZIEMER: Right.

18 MR. FITZGERALD: We're in
19 agreement.

20 MEMBER ZIEMER: And I am not sure
21 what other approach NIOSH could take. I don't
22 think we're talking about validating the model

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 per se. We're really talking about whether or
2 not the model is giving you useful information
3 for this situation.

4 MR. FITZGERALD: Agreed.

5 DR. ULSH: I still want to get into
6 this bring me a rock situation. Let me try to
7 kind of guess where you are headed and
8 anticipate that. And then it turns out that
9 is not really kind of what you are looking
10 for. It would be helpful to us if you could
11 give us a pretty clear picture on what you're
12 looking for. And then we'll --

13 MR. FITZGERALD: We may have a
14 technical call if we get to a certain point
15 where we'll discuss this. I used the word
16 explore because, really, I think this is a
17 difficult question. I think this is a lot
18 worse than was done to push this in the right
19 direction.

20 But I think validate is probably
21 not the right word but just looking at the
22 bounding nature of the confidence. And that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bounding nature is kind of where we are at.

2 MR. MORRIS: This will approach
3 diminishing returns real quick on --

4 MR. FITZGERALD: Yes.

5 MR. MORRIS: -- how much effort it
6 takes to get a little bit more data.

7 CHAIR BEACH: Yes. Are there any
8 other issues or action items that I may have
9 missed that we need? And then if everybody is
10 in agreement, we can move on to our next
11 topic, which the question of the day is, do we
12 go ahead and start with NIOSH's presentation
13 and then take a break in the middle of it?

14 I know we have people joining us
15 via phone. I hate to go to lunch so early.

16 MR. FITZGERALD: Why don't we check
17 and see if they're on?

18 CHAIR BEACH: Okay.

19 MR. FITZGERALD: Is Joyce Lipsztein
20 and Bill Leggett, are you on? Is Joyce
21 Lipsztein or Bill Leggett on the phone from
22 SC&A?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: I'm on the phone.

2 CHAIR BEACH: Okay.

3 DR. LIPSZTEIN: I just saw an
4 e-mail from Rich that the Work Group was
5 behind schedule. So I can reply to him saying
6 that.

7 MR. FITZGERALD: Yes. Josie is
8 trying to determine how to schedule the
9 discussion on PU-238. So you are very
10 important for that.

11 Josie?

12 CHAIR BEACH: Well, I would propose
13 that NIOSH present. I don't believe your
14 presentation will take more than a half-hour
15 or so. Okay. If NIOSH presents? And then we
16 will see where we are on time.

17 MR. FITZGERALD: As long as Joyce
18 --

19 CHAIR BEACH: As long as Joyce is
20 okay with that or we can just push on through.

21 MR. FITZGERALD: Is your schedule
22 flexible, Joyce?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: Yes.

2 MR. FITZGERALD: Okay. So we can.

3 If we have to break for lunch, you can come
4 back?

5 DR. LIPSZTEIN: Yes.

6 MR. KATZ: Let me make sure. Liz
7 and Tom LaBone, are you on the phone?

8 MS. BRACKETT: I'm here.

9 MR. LaBONE: I'm here, too.

10 MR. KATZ: Okay. They're both
11 here.

12 CHAIR BEACH: And then before we go
13 on, you had your hand up earlier. Did --

14 MS. JESSEN: No. I was
15 acknowledging.

16 CHAIR BEACH: Okay. Perfect.
17 Okay. Sorry. I just want to make sure.

18 Okay. Then we'll let NIOSH get
19 started.

20 DR. ULSH: All right. To give a
21 brief history of this issue, this high-fired
22 plutonium-238 issue, I think SC&A raised this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concern about high-fired plutonium-238 and its
2 possible presence at Mound based, I guess,
3 largely probably on the situation that was
4 observed at Los Alamos, the Wing 9 incident.

5 Basically the Wing 9 incident
6 involved the destruction of an RTG. This RTG
7 had been subjected to some vibration tests.

8 By the way, RTG is radioisotope
9 thermoelectric generator. So if you think
10 space power; in other words, satellites, they
11 put these devices on them to power them. And
12 Mound was heavily involved in making these
13 things.

14 So they took one apart at Los
15 Alamos. And it resulted in some of what we
16 considered unusual biokinetic behavior of this
17 material.

18 There were some workers that were
19 exposed. And the excretion patterns were not
20 typical of what you might expect from
21 plutonium-238.

22 I think that was kind of at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one of the initiating events, for SC&A to ask
2 that question about, might this be an issue
3 with Mound.

4 There have been a number of
5 iterations back and forth. We have issued,
6 we, meaning NIOSH/ORAU, have issued, a white
7 paper that proposes a model to handle this
8 situation at Mound. SC&A has issued a
9 response to that.

10 One of the issues I think continues
11 to vex us. At least it is my observation that
12 we say that we haven't seen the Los
13 Alamos-type material at Mound. And SC&A
14 presents examples, what they consider to be
15 examples, of exactly that. And I think --

16 MR. FITZGERALD: Not exactly that.

17 DR. ULSH: Not exactly.

18 MR. FITZGERALD: Right.

19 DR. ULSH: But I think we might be
20 talking past each other on that one. What we
21 mean when we say that we haven't seen this
22 kind of behavior at Mound, I think we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 grant, certainly we would grant, that there is
2 non-monotonic behavior, which means that if
3 you were to measure the concentration of
4 plutonium-238 in the urine following an
5 intake, non-monotonic would mean that you see
6 a level and then you test a little bit later
7 and throughout time and it rises, initially
8 rises, the concentration in urine rises and
9 then levels off and tapers off.

10 We would certainly grant that you
11 see that kind of behavior at Mound. But it's
12 that initial -- degree to which it doesn't
13 show up in the urine.

14 We don't see the same kind of
15 behavior at Mound that is seen in the Los
16 Alamos incident. So the material at Los
17 Alamos was extremely insoluble initially. You
18 almost saw nothing in the urine. I think we
19 don't see that at Mound, but we do see this
20 non-monotonic behavior.

21 So I think to some extent our two
22 statements are talking --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Well, because of
2 the genesis of the issue, I think.

3 DR. ULSH: Yes. So we have issued
4 our white paper. And SC&A has issued a
5 response to that. At this point I think I
6 would like to turn it over to Liz and/or Tom.

7 I'll let you guys flip for it and walk
8 through some of the points that were raised.

9 (**PART 4, 12:02:50**)

10 MS. BRACKETT: I will start with
11 this. I would just like to expand a little on
12 what Brant said in that I would think probably
13 the initiator of this at Mound was that there
14 was an incident at Mound, in particular, that
15 involved several people that showed this
16 non-monotonic behavior. It's just that there
17 had been a paper published on the Los Alamos
18 data with material that appeared as though it
19 could be similar.

20 So we do have quite a few
21 Mound-specific cases with data that we used to
22 develop the model. It's not necessary to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to Los Alamos. We could use Mound-specific
2 data.

3 We can get more into the model
4 itself later. And I will let Tom do that
5 because he actually developed the model. But
6 the white paper that SC&A wrote, they stated
7 in a few different places that they agreed
8 conceptually that a bounding model could be
9 developed. But their issue was its
10 application. And they questioned the ability
11 to meet the sufficient accuracy test.

12 So from a standpoint of an SEC,
13 then, the model development wouldn't be an
14 issue if there is agreement that we could
15 actually develop a model.

16 We feel that we have developed it,
17 as Brant mentioned. And we based it on the
18 Mound data. But the white paper then goes on
19 to state; the SC&A white paper, that is, that
20 a similar model is developed.

21 Several items need to be addressed.

22 And I will go through these here. We don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feel that any of these issues are actually
2 plutonium-238 or in some cases even
3 Mound-specific.

4 They're somewhat generic issues
5 that we deal with across the complex. And we
6 addressed some of them yesterday in other
7 conversations and other topics, such as the
8 stable metal tritides.

9 The first item, they say that we
10 need to explicitly state to whom the model
11 will be applied. I believe we addressed this
12 in the last Work Group meeting in October.

13 And we said that we would apply
14 that to anyone who had the potential for
15 intakes of plutonium-238. So that would be
16 anybody who had a bioassay result indicating
17 that they had or were indicated to be for
18 plutonium-238 or someone who worked in an area
19 where plutonium-238 was handled.

20 Again, this issue was somewhat
21 discussed yesterday and when we were talking
22 about how we determined what nuclide to apply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to an individual.

2 The second item was how we would
3 recognize the occurrence of ceramic
4 plutonium-238. We have stated that this would
5 be just another material type that we would
6 use to model the plutonium.

7 The SC&A document states that there
8 were ample opportunities for exposure to the
9 special absorption type and that, in fact,
10 there were uptakes of it.

11 So, given that, if there exists a
12 possibility that people would have been
13 exposed to it, then we feel it's appropriate
14 to just add it to the M and S types that we
15 would normally assess for plutonium-238 and
16 make this a third type that the dose
17 reconstructor would evaluate. And they would
18 select the one that gave the largest dose.

19 I believe there was some concern
20 that this would result in too large of a dose
21 and, therefore, not be a sufficient accuracy
22 requirement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But this form, this type set, the
2 model that we have developed, does not result
3 in extremely large doses relative to the other
4 material types. And, in fact, types M and S
5 will give a larger dose in many situations.

6 The white paper that we developed
7 goes through different scenarios of acute and
8 chronic intakes and bioassay collected at
9 varying times following intake. And this type
10 does not always yield the largest dose to a
11 particular ordinance.

12 The third issue was how we would
13 handle results below the MDA. Well, we have a
14 standard method for assessing results below
15 the MDA. And we see no reason why this would
16 be any different from any other material type.

17 And this is addressed in OTIB-0060.

18 It's called assumptions that the dose
19 reconstructor uses in how the MDA or less than
20 MDA results are handled.

21 The fourth item is, how do we
22 differentiate bioassay results for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plutonium-238 versus other alpha-emitting
2 radionuclides, including plutonium-239?

3 There was an extensive discussion
4 yesterday about this subject regarding gross
5 alpha results or results that were specific
6 for plutonium-238. So I believe this was
7 addressed at length. Then we can go back and
8 revisit it if necessary, but I won't do that
9 right now.

10 DR. ULSH: It was also addressed in
11 the October Working Group meeting. It was
12 raised and addressed there, too, as were a
13 number of --

14 MS. BRACKETT: Right. And the
15 fifth and final issue on this list of items in
16 the white paper is how we would use bioassay
17 results to use a coworker model for
18 plutonium-238 if solubility types of compounds
19 are unknown. Again, this relates to some of
20 the other issues. We would treat it as just a
21 third solubility type for plutonium-238.

22 The coworker studies when we do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them, we come up with the set of bioassay
2 results. And then those results are modeled
3 with each possible material type for that
4 given nuclide.

5 And all of them are presented in
6 the coworker OTIB. And the dose reconstructor
7 would run each of them for the particular case
8 and assign the intakes that yield the largest
9 dose for the particular situation.

10 I think one thing that I would like
11 to point out is that there has been some
12 discussion in this white paper about having to
13 look at different scenarios because this
14 material behaves differently. And while
15 that's true it does behave differently, that
16 is not a reason to change our default
17 assumptions.

18 I think one issue is that when I
19 personally think of applying these models, I
20 am envisioning more of the people who have
21 mostly no results greater than the MDA or at
22 most one or two because that is the majority

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of cases that we see, people who didn't have
2 large potentials for intake or large intakes
3 where they have positive results. And so we
4 used the false assumptions to model those
5 people given a lack of any information at all.

6 These are standard default
7 assumptions that you would use, even outside
8 of the project, for example, when you would
9 assume an acute intake occurred.

10 If there were more positive results
11 for a person, then certainly we would look at
12 the individual's data. We wouldn't
13 necessarily apply default assumptions. The
14 dose reconstructor would have to look at the
15 pattern of results for the person and make
16 decisions based on that.

17 But also keep in mind that most
18 cases don't require what we call a best
19 estimate. Don Stewart discussed this
20 yesterday. I forget what issue we were
21 discussing. In many cases an under or an
22 overestimate is sufficient for the requirement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for this program.

2 So you don't need to get into the
3 fine detail of the specific pattern for the
4 person. You can do the claim in an
5 expeditious manner by making some assumptions
6 without having to get into a long complicated
7 process and doing the best estimate.

8 MR. KATZ: Liz, can I just
9 interrupt you for a second? It's not such a
10 bother in the room, but it might be for other
11 people on the phone. Someone's phone is not
12 on mute, and we have some music in the
13 background. So somebody needs to mute their
14 phone unless that is on your end, Liz.

15 MS. BRACKETT: It's not me. I can
16 just barely hear it.

17 DR. ULSH: We've had enough Time-
18 Life background music

19 MR. KATZ: It just went away.
20 Thank you, somebody. Oh, no, it didn't.

21 MS. BRACKETT: Well, actually, that
22 was the end of what I had to say. Those were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the five that had been stated in the documents
2 that we would need to address.

3 MR. FITZGERALD: Can we do these in
4 pieces? Joyce?

5 DR. LIPSZTEIN: Hello?

6 MR. FITZGERALD: Did you want to
7 comment on Liz's remarks?

8 DR. LIPSZTEIN: I would like to.
9 Actually, I would like to see it written all
10 the amounts she is talking about. I think
11 that differently from what was first
12 presented, I think we think that there were
13 many opportunities for exposure to this
14 special absorption type of plutonium-238. And
15 they were different from the ceramic plutonium
16 from what we had seen from other data besides
17 that accident that was examined by NIOSH/ORAU.

18 While we agree, we agree that
19 probably there is a bounding model that can be
20 developed for a special solubility type of
21 plutonium, but we didn't see it yet. So we
22 are waiting for one that will really be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bounding one.

2 I really think the model is not an
3 SEC question. I think that eventually it's
4 going to be a bounding model for ceramic
5 plutonium. But it has not yet come. So NIOSH
6 has to show us that there is a bounding model.

7 With relation to what Liz is
8 saying, I think it's very difficult to
9 recognize the occurrence of ceramic plutonium
10 exactly because of the delays which are
11 patterned. So we don't know if your data may
12 fit a chronic intake or may fit this special
13 type of intake.

14 And especially when there is not
15 much data or the results are below the
16 detection limits, where you have very few
17 sporadic positive data that are slightly above
18 the detection levels, it is very difficult to
19 differentiate between acute and chronic
20 intakes.

21 So I think the scenarios that have
22 to be compared are acute intake and granted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intakes using different types, it is very
2 difficult to deal with all of those scenarios
3 at the same time to find a bounding assumption
4 to be made, especially exactly when results
5 are very low and you have a lot of results
6 below detection levels.

7 So I didn't see a reason to analyze
8 exactly how NIOSH can handle these results.
9 And I think that SC&A will be happy to see in
10 the white paper an explanation of all of that
11 so we can see that, really, it's feasible to
12 do dose reconstruction with any model that
13 NIOSH presents and a model that is bounded
14 especially.

15 So the problems on building a
16 correct model, I know that we have to
17 interpret results in terms of this particular
18 type of compound. It is not just one more
19 because it is a question of how to interpret
20 the data that you have as chronic, as acute,
21 what gives a higher dose. So it's not as
22 simple as is expressed here today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think I say I would like to
2 give credit to NIOSH to develop and to answer
3 our questions, but we would like to have it
4 written so that we can analyze each point that
5 NIOSH is making.

6 In summary, I don't think there is
7 too much to discuss here in this meeting, but
8 we would like to have our white paper answered
9 by NIOSH in a written way how they are going
10 to handle, how they are going to do, give
11 examples on how so that we are satisfied that,
12 really, it can be applied to Mound dose
13 reconstruction and that it is feasible to do
14 dose reconstruction.

15 CHAIR BEACH: Joyce, this is Josie.

16 I was going to ask NIOSH to respond in detail
17 to the white paper. I'm hoping Brant is going
18 to tell us about that now.

19 DR. ULSH: Actually, I was just
20 going to ask if Jim Neton is on the line.

21 DR. NETON: Yes, I am.

22 DR. ULSH: Do you have any? I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean, Joyce, when you say that you agree that
2 there is a bounding model but you're still
3 waiting to see it, we have presented our model
4 in the white paper that you have responded to,
5 you know.

6 DR. LIPSZTEIN: Yes. But we didn't
7 agree it was a bounding model.

8 DR. ULSH: Well, it bounds 896
9 cases at Mound.

10 DR. LIPSZTEIN: Yes, but it didn't
11 bound other cases that apparently had also the
12 same type of exposures. The problems is that
13 at Mound, probably there were different types
14 of, different forms of ceramic plutonium, not
15 just one. So each incident means a different
16 form of plutonium.

17 MR. FITZGERALD: Joyce?

18 DR. LIPSZTEIN: But I really think
19 it's a question of doing modeling.

20 DR. NETON: Joyce, this is Jim
21 Neton.

22 I think Tom LaBone actually looked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at all of the cases at Mound that he could
2 find. He could find no evidence -- Tom is on
3 the phone, he can speak to this -- that there
4 was a ceramic form that was similar to the one
5 that you're citing that existed at Los Alamos.

6 DR. LIPSZTEIN: No. I'm saying
7 that we found some different forms of
8 plutonium exposure and different than the ones
9 that were presented as type L in the white
10 paper.

11 DR. NETON: You mean the --

12 DR. LIPSZTEIN: And the model that
13 was presented as type L in the white paper
14 didn't bound to two cases that we had a very
15 big suspicion that were exposures to this kind
16 of plutonium.

17 There are several urinary plots
18 that we have presented that could look either
19 to an exposure to this kind of plutonium or to
20 a chronic intake. And we don't know. We
21 don't think it's possible to distinguish
22 between the both of them, --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: All right. I think.

2 DR. LIPSZTEIN: -- those kinds. So
3 they have to be a bounding type that is good
4 for all possible forms of plutonium at Mound.
5 Until now we haven't seen that.

6 DR. ULSH: Okay. I think I know
7 what you are talking about now, Joyce. Tom
8 modeled the 900 or so cases. And I think in
9 your response to our white paper you presented
10 two particular cases where you felt our
11 moderate model did not adequately account of
12 those two cases, right?

13 DR. LIPSZTEIN: Yes. Right.
14 Right.

15 DR. ULSH: Tom, do you want to
16 speak to that?

17 MR. LaBONE: Yes. I sent you,
18 Brant, a little note on the 18th of May that
19 went through and modeled case 2 from the 1960
20 incident. And I guess W-1 is the reference in
21 the SC&A white paper if that's correct.

22 So, anyway, I went through and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 modeled the two of those and compared them.
2 And I sent that to you. And basically I think
3 that those are fundamentally the same curves.

4 I don't know if you have that with
5 you and you can show the people there what I
6 am talking about or --

7 DR. ULSH: No. I'm just looking
8 for a high-level response, Tom. I think Josie
9 is going to task us with responding to SC&A's
10 white paper. And that level of detail would
11 go in there.

12 DR. NETON: I think that we
13 probably would choose to send that in writing
14 over to SC&A and present that to them. And it
15 might actually require a technical call
16 because I think it would benefit from some
17 one-on-one discussions of people looking at
18 the same set of data in my mind.

19 DR. LIPSZTEIN: And the other
20 thing, Jim, you said there was no exposure to
21 the same form that people were exposed at Los
22 Alamos. I don't know. I think that this has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be demonstrated also.

2 Jim, I really think that the
3 modeling question is not just a question of
4 SC&A and NIOSH to discuss. And I think the
5 most important thing is the NIOSH to -- I
6 think we will come up with a bounding model
7 because I think this is something that
8 probably can be done.

9 I think that the important thing is
10 that NIOSH answers all the other questions to
11 see that even if we have a bounding model, if
12 this is feasible to do the dose
13 reconstruction.

14 MR. FITZGERALD: Joyce, this is
15 Joe.

16 I think what we have been trying to
17 do, actually, by coming up with these events
18 and highlighting these events for NIOSH to
19 model is to try to look at that upper bound.
20 I mean, we did find two cases that we thought
21 weren't covered in the original analysis that
22 may, in fact, demonstrate that it wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bounded by the model.

2 That was a lot of what was in the
3 white paper that we just gave. I think what
4 we heard from Tom LaBone is that they, in
5 fact, have looked at this and feel, in fact,
6 it is bounded. We haven't seen that, but I
7 think that would go a long ways to moving this
8 thing forward.

9 I think Jim's comment about, you
10 know, we were actually proposing a technical
11 call on this issue for obvious reasons. I
12 still think it would benefit from having a
13 technical call because there are a lot of ins
14 and outs on this thing.

15 I think, really, at this stage we
16 have gone a long ways. And I don't think
17 there is any disagreement. Conceptually I
18 think this can be modeled.

19 Now, we hesitated to say it's not
20 an SEC issue because, you know, we wanted to
21 test whether or not it is upper bounding. And
22 I think that is part of what we have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing.

2 DR. LIPSZTEIN: Yes.

3 MR. FITZGERALD: There are some
4 application issues as well. But I think maybe
5 the next step is to go ahead and have that
6 technical call with the information from Tom
7 as a starting point and see where that takes
8 us and then maybe get back to the Work Group
9 as far as do we think we have crossed a t or
10 not.

11 I mean, it has been moving forward.

12 I think part of this issue is just one of
13 looking at this model and, as Joyce was
14 pointing out, feeling confident that it is an
15 upper bound model.

16 I think a lot of the testing that
17 she is referring to we have done. So, really,
18 I think we've moved this thing forward.

19 DR. ULSH: I would propose that in
20 response to this white paper, we would prepare
21 that, give it to you, give you some time to
22 look at it. And then we will have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conference call to discuss it.

2 MR. FITZGERALD: Okay.

3 CHAIR BEACH: I agree with that
4 approach. What about the other Work Group
5 members?

6 MEMBER CLAWSON: That's fine.

7 MEMBER SCHOFIELD: Sounds good to
8 me.

9 MEMBER ZIEMER: That makes sense to
10 me.

11 CHAIR BEACH: Okay.

12 MEMBER CLAWSON: We will get a copy
13 of that white paper?

14 MR. FITZGERALD: Well, yes. It
15 will be --

16 MR. KATZ: And, Brant, you will let
17 the Working Group know about the technical
18 call, when it is going to be held?

19 DR. ULSH: Sure.

20 CHAIR BEACH: And to be fair, I
21 will have to ask NIOSH how soon they think
22 that they can get that out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Tom and Liz, you are on
2 the hot seat here.

3 (Laughter.)

4 DR. ULSH: It sounded like someone
5 hung up.

6 MR. KATZ: Tom or Liz, did you hear
7 that question?

8 MS. BRACKETT: Yes. And the
9 technical issues are few. You've got a lot of
10 this already done.

11 MR. LaBONE: As far as about what
12 the best parameters are for the model?

13 DR. ULSH: Well, Tom, I think what
14 we are looking for here is you have SC&A's
15 response to our white paper. And I would
16 envision that we would prepare a response to
17 that document, where we go through kind of on
18 a point-by-point basis and examine the issues
19 that SC&A has raised and give our take on it.

20 I think Liz is right. You have
21 done a lot of the analytical work in terms of
22 these two particular cases. We just have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 format it and then, of course, run it through
2 the review cycles.

3 DR. NETON: I think that piece is
4 probably sufficient to get the ball rolling.
5 The other items, you know, Liz had gone
6 through in my mind are fairly simple.

7 The only concern I have outstanding
8 is Joyce's issue with chronic versus acute.
9 It seems to be raising an issue that I thought
10 we put to bed about five years ago.

11 So that may require some
12 revisiting, but I don't know that we need to
13 start rewriting that position. I mean, I
14 think it's pretty clear what we're doing and
15 why.

16 I think that to get the bounding
17 nature of the model on the table, I think Tom
18 has gotten his analyses done pretty much. We
19 can just button that up and send it on.

20 And then we can reserve the other
21 questions and discuss them point by point
22 maybe on the call because I think most of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these in my mind, the rest of them, go away
2 pretty rapidly.

3 DR. ULSH: Okay. So, Jim, you're
4 suggesting that we just go ahead with what Tom
5 has already proposed, have the call, and then
6 we'll issue our response to SC&A's white
7 paper.

8 DR. NETON: Well, I think so
9 because I think the other issue that I'm
10 hearing on the call to be addressed, from our
11 perspective, I think we feel that they are
12 very simple and can be answered very quickly.

13 I'm afraid if we put something
14 together, we're going to say, "Well, that's
15 not what we were talking about." I think
16 we're missing maybe something here.

17 MR. FITZGERALD: What I would
18 propose, then, is that -- it's kind of
19 straightforward. I think what Joyce is asking
20 for is maybe a chance to have that dialogue.
21 Why not talk about those issues as part of the
22 call?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Right. But, frankly, I
2 don't see us putting together anything
3 substantive other than the bounding nature of
4 the model. The other issues are fairly
5 simplistic in our minds.

6 MR. FITZGERALD: Well, then I think
7 we would benefit from the call. And I would
8 just keep the paper focused on it, too, the
9 two cases.

10 DR. LIPSZTEIN: Yes. And when you
11 do the bounding model, look at all of the
12 plots that we have presented. We presented a
13 lot of plots of variable that could be in
14 intake plutonium-238 from this. It could
15 either be a chronic intake or could be an
16 acute intake involving this ceramic
17 plutonium-238 compound.

18 And your bounding model has to
19 respond to all those plots, not only for a
20 case that was published in the literature. So
21 it has to be a real bounding model that would
22 bound either using chronic or using acute or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using everything. There must be something
2 that --

3 MR. LaBONE: I'm not sure. We
4 might disagree there. We can talk about that
5 more on our call.

6 DR. ULSH: Okay. So I will send
7 over to you the little piece that Tom has
8 prepared. Joe, how about you and I then will
9 get together and discuss it when it makes
10 sense to --

11 MR. FITZGERALD: Yes, right.

12 CHAIR BEACH: That works for me.
13 Is everybody in agreement to close or not to
14 close but actually to --

15 (Laughter.)

16 CHAIR BEACH: Sorry. Misspoke. I
17 think it's lunchtime.

18 MR. KATZ: At the end of that,
19 after the technical call, then there will be a
20 memo or something from you to the Work Group
21 about where things stand for the focus group.

22 CHAIR BEACH: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Sounds good.

2 CHAIR BEACH: So let's take an hour
3 lunch break. It's 12:30 now. And reconvene
4 at 1:30.

5 DR. LIPSZTEIN: One question. Are
6 we going to go back to plutonium or not?

7 CHAIR BEACH: I believe we are
8 going to wait, Joyce. Unless you have
9 something else you want to discuss, we are
10 going to wait until the technical call.

11 DR. LIPSZTEIN: Okay. Thank you.

12 CHAIR BEACH: Okay.

13 DR. LIPSZTEIN: That's good for me.

14 CHAIR BEACH: Thank you, Joyce.

15 DR. LIPSZTEIN: Thank you.

16 CHAIR BEACH: Okay. Lunch.

17 MR. KATZ: Okay. So we'll be back
18 on around 1:30.

19 CHAIR BEACH: Around.

20 MR. KATZ: Around 1:30. Thank you,
21 everyone on the line.

22 (Whereupon, a luncheon recess was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 taken at 12:29 p.m.)

2

3

4

5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay. So we will go
2 ahead and get started with our agenda item,
3 "Radon issue number 2." And SC&A is going to
4 take the lead on this.

5 MR. FITZGERALD: Well, I think I
6 will get the background. And since NIOSH has
7 been, I guess, the last round issued a white
8 paper, maybe they can explain what the white
9 paper says. And then we can go from there.

10 CHAIR BEACH: Sounds good.

11 MR. FITZGERALD: Okay. Really, as
12 far as background, in the ER, there was a
13 reference to, frankly, radon values in various
14 buildings during Mound's history.

15 And the concern we expressed was a
16 particular location, the SW building, where
17 there essentially was one radon value that was
18 highlighted. And that did not address the
19 limited measurements before the events.

20 If you can imagine, this was a
21 laboratory space that was constructed over
22 where the old cave at the Mound was located.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And they had an individual who exhibited
2 elevated lung counts. And they thought it
3 might be Pu perhaps contamination.

4 They were concerned. They traced
5 it back and I think established that there was
6 a potential for exhalation of radon into his
7 space. And they did a grab sample and found a
8 fairly elevated flow of radon into a space.
9 And his desk was right by the hole to which
10 the radon was apparently coming through.

11 So they did at that point in time,
12 one point in time, a grab sample, established
13 radon flow. They did some monitoring in the
14 tunnel underneath and pretty much established
15 that this individual had elevated counts,
16 probably due to that, and proceeded to come up
17 with certain control measures, proposals, and
18 effectively ran a vent to the underlying
19 tunnel and were able to mitigate most of the
20 radon.

21 So, really, after 1980, much of
22 that issue went away. But before '80 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after the SW complex was built, there's a
2 period of time where clearly radon, elevated
3 radon, levels may have been implicated.

4 So we had raised that issue from
5 that standpoint and also noted that a
6 confounding issue was there were other radon
7 isotopes, actinon and thoron, that were
8 apparently present in appreciable quantities
9 based on this one sampling they did on the
10 tunnel.

11 And our point was with only
12 effectively the one sample that was taken, we
13 didn't believe that was a reliable
14 characterization of how much radon exposure;
15 in fact, workers in this particular area, were
16 being exposed to. That was, again, some time
17 ago.

18 In response, NIOSH indicated it
19 found quite a few records from an earlier
20 period that would be relevant to the issue.
21 And that was the core of the white paper that
22 came back, a method to apply that data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'll leave it to Brant to walk
2 through the white paper or Don to walk through
3 the white paper that we just received.

4 MR. STEWART: Sure. You know,
5 first of all, we don't have radon data for
6 every year. So what we are attempting to do
7 is to bound this with the large measurements
8 that we have found.

9 What we found was when they began
10 the old cave operation, they had spread
11 airborne contamination on an unprecedented
12 scale.

13 In fact, that led to the early
14 termination of that process, early remediation
15 of the cave. So they had intended to use it
16 for other activities but found that wasn't
17 tenable given the large amounts of alpha
18 contamination in the air. So radon was a
19 continuing problem for this.

20 After they terminated the process
21 in '54, they took some mitigation measurements
22 to clean out the operation. And they kept

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 finding it wasn't all that cleanable. And
2 they actually ended up four years later
3 completely disassembling the facility and
4 capping it with concrete.

5 That room went from being SW 1A to
6 SW 19 at some point. It was a laboratory that
7 was built on a cap over the old SW 1 building.

8 But being porous, of course, they still had a
9 radon problem there.

10 The data we have are the values.
11 Once they saw that they had this issue, they
12 began keeping track of short-lived daughter
13 products in the air. And we used those data,
14 compared those, and simply just took the
15 largest we could find during the era of
16 operation and considered that a bounding dose.

17 We have one measurement that
18 actually separates the concentrations into the
19 reconstituents thoron, radon, and actinon. So
20 we used that to go back and set up ratios,
21 proportions year by year for those values.
22 And we assigned working-level values based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those calculations.

2 CHAIR BEACH: So, Don, those are
3 based on one sample?

4 MR. STEWART: What we used was the
5 largest measured value for short-lived
6 daughter products in the air in the
7 operational period of the old cave. And the
8 old cave I think we picked that because we
9 thought that that would surely be a bounding
10 scenario.

11 The material that was used in the
12 old cave was largely composed of thorium and
13 had a very high radon emission rate.

14 MR. FITZGERALD: Don, you may be
15 referring to the sample that broke out the
16 different isotopes.

17 MR. STEWART: Yes, a single sample.

18 CHAIR BEACH: I thought you had
19 mentioned that. I just wanted to make sure I
20 was clear.

21 MR. STEWART: Yes. What they had
22 was they had a -- there was a ventilation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tunnel underneath this room that stayed
2 intact.

3 CHAIR BEACH: Right.

4 MR. STEWART: And that was kind of
5 the worst case scenario as far as their
6 measurements went, very, very high working
7 levels in that room.

8 CHAIR BEACH: Right.

9 MR. STEWART: People weren't in
10 there breathing that, but we thought that was
11 the only data point that we had to establish
12 the mix of radionuclides of the different
13 isotopes of radon after that.

14 We just back-calculated it. We
15 assigned working-level month dose values by
16 year. Assignment, individuals were assigned
17 to R and SW buildings. And that's the
18 approach we took. This would be implemented
19 in the TBD when the internal part of the TBD
20 is revised.

21 Brant, did you have anything to add
22 on that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Just that I think that
2 issue that Josie asked about that one sample
3 that was used to split out the overall sort of
4 daughter products and used that grab sample to
5 split those out, I think that's a
6 claimant-favorable approach, right?

7 MR. STEWART: Yes.

8 DR. ULSH: I mean, it would be a
9 concern if we used one sample, as opposed to
10 an approach that was not indicative.

11 MR. STEWART: Yes. I think
12 claimant favorability arises from this is
13 where the stuff is coming. This is closest to
14 the emanation point that this could come. And
15 those values are going to change drastically
16 as the source is diluted in a room. The
17 short-lived species are going to die off.

18 So that is a claimant-favorable --
19 I should have studied up on this a little
20 bit. It is claimant-favorable to assume those
21 concentrations persist in the working
22 environment; whereas, the bad actors are going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to drop out fairly rapidly compared with the
2 radon-222 because they have a shorter
3 half-life.

4 MR. FITZGERALD: Don, spatially I
5 think you're quite correct. That is fairly
6 close to where the workers would have been
7 exposed to the relative concentrations of the
8 isotopes.

9 MR. STEWART: Well, workers
10 wouldn't, in fact, be exposed in this area
11 because it's --

12 MR. FITZGERALD: No, no. I'm just
13 saying it's as close as you can get. That
14 sample was taken --

15 CHAIR BEACH: So you took the
16 sample in an area but not the area the workers
17 were actually working in, the tunnel?

18 MR. STEWART: Yes, the tunnel
19 itself, where the --

20 CHAIR BEACH: There are two
21 separate areas. It was two separate areas.
22 It wasn't actually where the work was being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performed?

2 MR. STEWART: Right. That's
3 correct.

4 CHAIR BEACH: I'm sorry for
5 interrupting, Joe.

6 MR. FITZGERALD: Well, no. My
7 question -- I don't disagree with your point
8 on that one, but we sort of got into this
9 issue because we only had one sample prior to
10 '80 that was actually the sampling of the
11 concentration with the radon.

12 I was wondering, would there be any
13 variability in your splits given the fact of
14 just one sample? Do you think that would be
15 likely the most favorable split? I don't
16 know.

17 MR. STEWART: The most favorable
18 split?

19 MR. FITZGERALD: Well, I'm just
20 saying, is that a representative split?

21 MR. STEWART: We're not really in a
22 position to say that it is or is not. I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say it would tend more to claimant
2 favorability that close to the emanation.

3 DR. ULSH: I would say the answer
4 to your question is that no, it's not
5 representative because it's closer to the
6 emanation point than actual workers would be.

7 So what is the effect of that?
8 Well, it's an overestimating assumption. So
9 is it representative? No. It's
10 overestimating.

11 CHAIR BEACH: Is it plausible? I
12 mean, could the workers have actually gotten
13 that dose?

14 DR. ULSH: It doesn't result in
15 doses that are implausibly high. It is
16 certainly overestimating.

17 MR. STEWART: Yes. Radon, what is
18 high with radon? I've asks the question, that
19 question, to this group before. What is high
20 with radon? Is compensable high?

21 Because, you know, basically any
22 time we apply these values to a lung cancer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 claim, it's a compensable case based solely on
2 radon.

3 DR. ULSH: But most lung cancer
4 claims are already paid anyway.

5 MR. STEWART: See, what happens,
6 radon, probably a causation calculation is
7 very sensitive to the radon input. You could
8 put in even a fraction of a working-level
9 month and see a significant -- sorry --
10 fraction of the working level per year.

11 That's how you put it in and see a
12 very significant increase of probability
13 causation such that currently the value
14 recommended in TBD is ten working levels per
15 year.

16 And so you put that value in. So I
17 said, "Okay. Where does it stop being
18 compensable?" And the cases that I looked at
19 were hypothetical runs, you know, one year of
20 employment, no external dose, no other
21 internal dose, only do radon dose.

22 In some cases working on an annual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working level of three is non-compensable
2 based on a short latency or some other
3 periods. But when you start to get up into
4 that ten category, they're all compensable.
5 So it's a pretty high number.

6 CHAIR BEACH: I guess I need to
7 know a little bit more about the sample. How
8 was it taken? Was the instrument calibrated?
9 Because you're basing a lot on one sample if
10 I'm getting hits correct.

11 DR. ULSH: Well, not really.

12 CHAIR BEACH: Oh, really?

13 DR. ULSH: In terms of determining
14 the concentration of the short-lived daughter
15 products in air, we have -- Don, would you say
16 thousands?

17 MR. STEWART: I'm sorry?

18 DR. ULSH: The short-lived daughter
19 products in air, how many data comply,
20 thousands?

21 MR. STEWART: Yes. We had a lot of
22 data. I think there were about 2,000 lines of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data.

2 DR. ULSH: So, Josie, the only
3 thing that we used that one sample for was,
4 okay, we've got these short-lived daughter
5 products, radon-222. Some of it is actinon.
6 Some of it is thoron. How do you split out
7 that gross measurement into those three
8 subspecies?

9 The way that we have done it is we
10 have taken the sample, a grab sample, that was
11 closest to the source, which is going to be
12 the most limiting case, the most
13 claimant-favorable case split out of those
14 three.

15 CHAIR BEACH: So did you have other
16 samples that you could have chosen from or did
17 you just have that --

18 MR. STEWART: We have a single data
19 set, just that one single --

20 CHAIR BEACH: Just that one? Okay.

21 MR. STEWART: Well, it was during
22 the detailed radon study that was conducted as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a result, as was said earlier, and the
2 technician actually undertook to measure the
3 proportions, we don't have any other data for
4 that. At least that is the earliest data.

5 DR. ULSH: But the point is you
6 could make other assumptions in terms of other
7 splits of those three and it wouldn't make
8 much difference. I think it could even be
9 less, it would be a less claimant-favorable
10 assumption.

11 So that would bring up the question
12 of, well, is this implausibly high, which is
13 what I think you asked earlier?

14 CHAIR BEACH: Yes.

15 MR. STEWART: And that is kind of a
16 point that we have been making all along, that
17 once you get to a certain point, it doesn't
18 matter if it is 10 times higher or 100 times
19 higher. But it's also a fact that there were
20 some very high radon concentrations at Mound.

21 So the fact that we have got
22 three-quarters of the lung cancers paid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anyway, I think the values that we're
2 proposing were certainly based on radon values
3 that were observed at Mound.

4 So in that sense, they're not
5 implausible. However, it is certainly
6 overestimating. At least that is our
7 contention. Because the values that we have
8 chosen were during the active operational
9 phase when that project was going on.

10 Once that project ended, up until
11 the time that they did the remediation that
12 Joe described, our contention is that
13 certainly the values were not higher than what
14 we were observed during the operation phase.

15 MR. FITZGERALD: But just to recap,
16 I mean, it sounds like what you are saying is
17 that, even if this wasn't a single grab
18 sample, this is almost like a sensitivity
19 thing. You really couldn't adjust those
20 isotopic, relative isotopic, activity levels
21 proportionately that would give you much of a
22 difference as far as the end result of dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STEWART: That's very likely.

2 DR. ULSH: If we did, it would be
3 lower. How much lower I don't know.

4 MR. FITZGERALD: Because that was
5 missing from the white paper. And I realize
6 it was just that one grab sample. So I was
7 wondering. You know, who knows what it might
8 have been the next time that -- went down and
9 sampled a tunnel. And whether it would have
10 been a different result that would have
11 affected the end result or not I don't know.

12 MR. STEWART: I would have liked a
13 robust data sample to use to determine that.
14 Then we could determine the sensitivity of the
15 final doses to that. (**PART 5, 1:53:27**)

16 DR. ULSH: Well, it can't be any
17 worse than all of one of the three species.

18 MR. FITZGERALD: The upper bound
19 would be the -- right. It couldn't be any
20 worse than all of that.

21 MR. STEWART: Yes, you could do
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: I'm just saying
2 that if there were more than one sample, I
3 guess that's better. But with just the one
4 sample, which got us into this in the first
5 place, --

6 MR. STEWART: Sure.

7 MR. FITZGERALD: -- you're saying
8 subjectively you don't think it's going to
9 make much of a difference.

10 MR. STEWART: In terms of the
11 proportion of compensable cases, it will make
12 zero difference.

13 MR. FITZGERALD: Is that the
14 benchmark? I think the benchmark is dose
15 reconstructability. I mean, would it make a
16 difference as far as giving you a different
17 benchmark.

18 MR. STEWART: Well, you know, they
19 said it was going to be large.

20 MR. FITZGERALD: Yes.

21 MR. STEWART: You know, measurement
22 may have been 1980 was large.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes.

2 MR. STEWART: So given the
3 sensitivity of lung cancer patients to radon
4 inputs, it's inescapable that any radon dose
5 that we assign is going to have very large --

6 CHAIR BEACH: Well, what about the
7 time period? When was the sample taken? Was
8 it at '79 in the winter or are you using that
9 data for what time period?

10 MR. STEWART: We are going back to
11 1949 for the R building and 1952 for the SW
12 building. And we are using that. We are
13 projecting that measurement back that far.

14 However, we are decay correcting,
15 the different parent radionuclides there. You
16 know, these are thorium, actinium, and radium.

17 There have to be those things in the soil
18 that are causing these things to emanate.

19 So the proportion of those is going
20 to change over the years. So what we did is
21 we took this sample here and said there is
22 this much iridium, this much thorium, and this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much actinium. But in 1949, there would have
2 been this much actinium, this much thorium,
3 this much iridium, done that year by year and
4 use that to balance the radon concentrations
5 by changing the proportions of the daughter
6 products, the radon, actinon, thorium.

7 DR. ULSH: Think of it like a pie
8 chart with three different slices of pie. One
9 slice is radon. One slice is actinon. One
10 slice is thoron. You could change the size of
11 the slices of pie, but the pie remains the
12 same size.

13 CHAIR BEACH: I guess I wonder if
14 you didn't have that one grab sample how you
15 would assess that dose or if it would be
16 possible.

17 MR. STEWART: At that point we
18 would likely have assessed the most
19 claimant-favorable. It wouldn't have been too
20 far from what we --

21 CHAIR BEACH: Right.

22 MR. FITZGERALD: Okay. Well, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that's sort of a question. But the
2 larger question -- is Jim Neton on the phone?

3 DR. NETON: Yes, I am.

4 MR. FITZGERALD: Yes. Okay. This
5 is going to be a familiar issue. And I was
6 hoping you would be here.

7 I guess my question on this -- and
8 I think we have sort of got to the point where
9 we looked at all the data that was available
10 and probably done what we can.

11 This is sort of reminiscent of sort
12 of the surrogate data question. And sort of
13 get your opinion because, really, there is one
14 sample point for pre-1980 radon or SW-19.
15 We've got that one value. We have a number of
16 values after 1980. That's where this issue
17 had come from for SW, the lab space.

18 And the approach that I think I am
19 hearing and reading is that we are going to go
20 back to the old cave in the '50s and pick
21 radon concentrations which clearly are very
22 high, meaning that there is no question during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the operations of the old cave. We had some
2 very high radon concentrations.

3 But the exposures we're addressing
4 in the '70s, say, or certainly the time frame
5 we're talking about were post-D&D. The cave
6 was bulldozed, well, D&D first, bulldozed over
7 and dope over. So you had a number of things
8 going on.

9 I guess in my opinion it's sort of
10 like a surrogate data question, meaning the
11 facility we're talking about, which is the
12 office or lab space that started this whole
13 thing with the individual involved and the
14 exposure potentials to the occupants is not
15 the same as this SW cave in 1954 and '55 that
16 we're using radon values from.

17 I mean, they are certainly
18 bounding, but I guess I think they're
19 implausibly high. I just don't see how one
20 would expect to see those same values.

21 I think certainly I am trying to
22 follow this surrogate data debate. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the notion there was that there is a
2 justification for applying data from different
3 time periods and different configurations. I
4 think we're sort of in that situation of
5 having to justify applying values from
6 different time periods, which are from a
7 different source, which I think in our opinion
8 may be implausibly high.

9 You know, we don't get into a
10 situation often where we're saying, "Look,
11 it's almost too extreme." In this case I just
12 don't think the operations are the same and
13 the values are going to be much higher than we
14 would have expected the individual to be
15 exposed to.

16 I just want to open that discussion
17 up because I think that's really the one thing
18 that comes to mind seeing this approach is
19 that issue. What is your opinion on that?

20 DR. NETON: It's surrogate data not
21 in the sense of from another site, but it is
22 from another era. I honestly have not been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involved in this enough to put a position
2 forth right now, but what I think I would like
3 to do is go back and look at this in light of
4 what IG-004 said, which is our paper on the
5 use of surrogate data and what conditions need
6 to be met.

7 I don't know that this is
8 necessarily a bad approach or not. I mean, it
9 sounds like there are very good reasons why it
10 is a bounding value.

11 But I think you're right. I would
12 like to take an opportunity to go back and
13 look at it in light of the IG-004 of procedure
14 or policy.

15 DR. ULSH: I would like to bring a
16 little perspective into this. I mean, keep in
17 mind that the radon concentrations at Mound
18 were so high that they deemed that it was
19 appropriate to remediate for it. And it
20 doesn't take much radon to put these all into
21 compensable range anyway.

22 DR. NETON: What I'm hearing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that these concentrations are somewhere on the
2 order of one to two hundred picocuries per
3 liter. Is that sort of a rough guess?

4 MR. STEWART: Well, we ended up
5 with them in working level months. And they
6 range from 12.2 working level months to about
7 .1.

8 DR. NETON: It's about an average
9 of a working level in -- I mean, a high of a
10 working level, which with 50 percent
11 equilibrium could be as high as 200 picocuries
12 per liter, a rough number.

13 MR. STEWART: Yes.

14 DR. NETON: The other thing is that
15 it's a little bit misleading that small
16 amounts of radon can produce a very high
17 compensability rate. But I think, as I
18 discussed at the last Board meeting, there's
19 this time sense exposure, which is an
20 exponential function in the risk model that
21 rapidly decrements the risk after the exposure
22 stops.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, for example, if a person quit
2 working in '58 and developed lung cancer in
3 '98, they would have to have very, very high
4 concentrations of radon to get a PC close to
5 50 percent.

6 I am not sure those arguments
7 really should come into play here anyway, but
8 --

9 MR. FITZGERALD: Yes. I think the
10 IG-004 is the one I am kind of concerned
11 about. I have an excerpt because I wanted to
12 make sure I -- because, again, I haven't been
13 as close to that as you and some others have
14 been.

15 The piece I thought applied from
16 IG-004 was when a bounding exposure model is
17 developed using surrogate data, the upper
18 bound must be plausible. That is, it must be
19 realistically possible given the nature of
20 operations at the facility being modeled and
21 other relevant factors.

22 While it's not possible to provide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fixed criteria for evaluating plausibility,
2 certain reasonableness tests can be applied.
3 And there are a number of examples.

4 Just in this case, since we don't
5 really have any useable data, we do have some
6 -- we have one sample, I just don't think the
7 conditions inside of the cave at the worst
8 point in its history is the same as what this
9 individual might have been exposed to in, God
10 forbid, the office or lab space in SW. I just
11 think those are two different conditions. So
12 that is certainly the concern that we have on
13 that.

14 DR. NETON: I guess I need to know
15 a little bit more about the other sample that
16 we didn't use. I mean, it sounds to me like
17 there were a lot of samples and we chose its
18 high value for some reason.

19 MR. FITZGERALD: Those are the
20 samples in '54 and '55. In the contemporary
21 with the exposure period of concern, there was
22 one sample that was taken. And that is what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 got this whole thing started because --

2 DR. NETON: I see. I see. Yes.

3 MR. FITZGERALD: And I think Brant
4 is --

5 DR. NETON: We'll have to go back
6 and look. And I have no idea where it talks
7 about similar operations and going back. I
8 really think that we owe it to the working
9 group an analysis of why this is an
10 appropriate value to use in light of what
11 IG-004 says. I think that should probably be
12 an action item for us if it isn't already.

13 MR. KATZ: Jim, if you don't mind,
14 let me just add a remark, too, because it has
15 come up in a number of statements now about
16 plausibility. And I understand where you just
17 noted it with respect to IG-004 plausibility
18 level.

19 But, just to go back to sort of the
20 foundation document, the SEC rule, what it
21 says about plausibility is that your
22 circumstances have to be plausible. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surely your doses are reflective of the
2 circumstances.

3 Some of the conditions of
4 circumstances that you model have to be
5 plausible. So there is a distinction there
6 versus arguing that the dose level itself is
7 plausible, it's like you have to consider
8 circumstances that are plausible. I mean,
9 that relates to what Joe said, certainly.

10 DR. NETON: I think they kind of go
11 hand in hand. I mean, if circumstances are
12 plausible, they have reasonable doses that are
13 bounding.

14 You know, what I think IG-004 was
15 trying to get at, you know, you don't produce
16 doses that are lethal or could cause scar
17 damage to the lungs or something like that,
18 you know.

19 The idea is you just can't put a
20 bounding number up there to say it's bounding
21 and it had to be some ridiculously large value
22 that it requires some deterministic effects.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You are absolutely right. The SEC
2 regulation speaks of it in different terms.
3 So, anyway, I think that we should go back and
4 look at this in light of that and just see
5 what we think.

6 I am not suggesting that it is an
7 inappropriate value, but I think it would be
8 good for us to go back and document why it is
9 indeed appropriate.

10 CHAIR BEACH: And then no question
11 on using it to break out the three different
12 pie charts, as Brant explained. That is not a
13 question that's on one single sample.

14 MR. FITZGERALD: Well, I think what
15 I hear is that there is a way to demonstrate
16 that that is bounding, but it hasn't been --
17 you know, I haven't seen anything, really, on
18 that other than the fact that that is what it
19 is based on.

20 So it might be useful just to get
21 something that explains why that would -- not
22 just simply -- I don't think there are any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disagreements. It's worker claimant-favorable
2 because it is taken downstream close to the
3 room. I think the question is, is one
4 confident the split is claimant-favorable
5 itself.

6 DR. NETON: I guess my question was
7 -- and I don't want to lengthen this too much
8 longer, but if there was thoron in that room
9 as well, are we finding these working levels
10 of radon-222? Is that what I am hearing?

11 MR. STEWART: We're assigning
12 working levels of each.

13 DR. NETON: Oh, of each? Okay. So
14 we do have working levels of thoron and radon.

15 MR. STEWART: And actinon because
16 actinium-227 is in the soil. So each one of
17 those will be included.

18 MR. FITZGERALD: Yes. The
19 difficulty that we have is that in 1979, one
20 series of samples is taken in the workplace
21 and one grab sample taken from the tunnel.
22 And the sample from the tunnel is where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analysis is split is taken from. And it's
2 just one sample.

3 DR. NETON: Okay. I need to know
4 more, I guess. I'm ignorant on this at this
5 point. So I will shut up.

6 CHAIR BEACH: Okay. So for the
7 work group, so NIOSH is going to re-look at
8 this issue. Jim Neton wants to review some
9 document.

10 MR. FITZGERALD: Just those two
11 issues.

12 CHAIR BEACH: Just those two
13 issues. And then you will get -- how will you
14 report that back to the work group? In a
15 memo? Well, we say white paper. We know
16 that's a huge -- I mean, we can get at it with
17 this document. So just a memo, I guess.

18 MEMBER CLAWSON: There should be
19 some document so that we have something to
20 come back to.

21 CHAIR BEACH: Well, it is kind of
22 interesting work on that. Thank you very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much, Ted. The plausible issue is very
2 important and how you explain that kind of
3 circumstance.

4 Okay. So anything else? Any other
5 questions, comments on radon?

6 Okay. Then if we're all ready. So
7 the next item on the agenda is exposure to
8 non-rad buildings. And that would be issue
9 17. NIOSH is going to take that one.

10 DR. ULSH: This issue was presented
11 under matrix issue 17, which I believe dealt
12 with external dose badging policies more or
13 less.

14 The first I think written piece
15 that we have on this is SC&A's report, I
16 think, written by Bob Alvarez, where he looked
17 at four buildings, D, S, M, 48 --

18 MR. FITZGERALD: I think maybe we
19 should step back.

20 DR. ULSH: Oh, go ahead.

21 MR. FITZGERALD: I think we got
22 into the discussion, additional discussion on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 badges most exposed. And I think there was
2 agreement that there isn't any documentation
3 or documented badging policy to point to.
4 There's no way to really resolve the history
5 in that regard.

6 I think it was proposed -- and I
7 just can't remember by whom -- that maybe
8 there is a way to test the hypothesis by
9 looking at ostensibly -- and I've got to throw
10 that in -- ostensibly non-radiological
11 buildings and see if, in fact, they may have
12 been frequented by non-badge personnel. And I
13 think that's where that came from because we
14 were on the badging issue because then we
15 shifted into this sort of test.

16 DR. ULSH: And so I think the point
17 of SC&A's white paper -- and I know you will
18 correct me if I am wrong -- was here are four
19 buildings which SC&A has said had been
20 classified as non-rad buildings, where there
21 was -- those aren't my special effects, I
22 promise -- these four buildings, which SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 classified as non-rad buildings. And then
2 they presented examples of radioactive
3 material in these buildings.

4 And so I think the question was
5 then, well, if these are non-rad buildings,
6 did that mean that people weren't required to
7 be badged but, in fact, there was radioactive
8 material in them?

9 And so that might be an example of
10 a situation where people could have been
11 exposed externally but not badged.

12 MR. FITZGERALD: Yes. And this
13 became a little bit of a point of contention.

14 These were ostensibly non-rad buildings
15 because, again, they were identified to us as
16 four candidate buildings that were seen as
17 "non-radiological" but may have had
18 radiological materials, which sounds like a
19 non sequitur. But in a sense, that was the
20 way to perhaps test the hypothesis.

21 DR. ULSH: So it was never really
22 clear to me what the source of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 classifications as non-rad buildings was.

2 MR. FITZGERALD: Yes. That's what
3 I'm saying. It's a little fuzzy on who
4 recommended those four buildings. They were
5 recommended as four that we should look at.
6 And we did.

7 DR. ULSH: There was D, S, M, 89 --

8 MR. FITZGERALD: Yes. I have it
9 here.

10 DR. ULSH: And 40 something.

11 CHAIR BEACH: Forty-eight.

12 MR. FITZGERALD: Yes, 48 I think.

13 CHAIR BEACH: And M. It was M, 89,
14 DS.

15 MR. FITZGERALD: Right.
16 Forty-eight, 89, M and DS.

17 DR. ULSH: Okay. So kind of the
18 thrust of our response to that paper was to
19 question the basis of SC&A's belief that these
20 were, in fact, non-rad buildings and will,
21 therefore, present an example of the kind of
22 situation that we're talking about here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because I think we presented a number of
2 documents where they were not considered
3 non-rad buildings.

4 And our main point was that there
5 was no evidence that there were workers in
6 these buildings who were not badged. And,
7 furthermore, the citation of some
8 contamination levels I think largely during
9 the D&D era but there might be others as well
10 do not in and of themselves demonstrate a
11 significant exposure potential.

12 Bryce Rich, are you on the line?

13 MR. RICH: I am.

14 DR. ULSH: Okay. How about I turn
15 it over to you, then, to fill in any blanks I
16 might have left or --

17 MR. RICH: Sure. The definition of
18 -- well, first of all, there are a number of
19 perceptions that were introduced, I think, as
20 a result of this paper. And I would like to
21 just, if I could, briefly go down to those
22 perceptions and in so doing perhaps address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we have come up with.

2 The SC&A white paper suggested that
3 the work group may want to direct the exposure
4 pathway primarily between T and DS be examined
5 and also if there is sufficiency of data to
6 support dose reconstruction for all four, for
7 89 and the DS.

8 We have done due diligence. Leo
9 Faust and myself are both permanently
10 cross-eyed from reviewing records. And our
11 white paper, of course, presents our response.

12 We used primarily the references
13 that were listed in the SC&A white paper and
14 address from our response from a different
15 basis. There is a myriad of additional
16 references dealing with previous operational
17 history and all that we could give you a good
18 if you like it, but they are voluminous
19 dealing with things like operational safety
20 reports, routine safety reports, going back to
21 '49 and forward.

22 First, if we could just talk about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of the misconceptions? First of all, the
2 rad/non-rad perception would perhaps lead to a
3 perception that there wasn't any radioactive
4 materials in the facilities and consequently
5 radiation safety concern or attention.

6 This coupled with the fact that a
7 series of pre-D&D surveys -- and I would like
8 to talk about the difference in the type of
9 surveys -- indicated that there was legacy
10 contamination in all of these buildings.

11 And that would lead to through the
12 misperception that there weren't any
13 radiological protection programs to protect
14 workers that were in these facilities during
15 the period of time when the contamination was
16 introduced.

17 I would just like to say that
18 non-rad was not a facility descriptor. They
19 used the terms "high hazard," "low hazard,"
20 and "clean." And all of the records of their
21 routine reports referred to those designators
22 and listed a number of swipes and a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other evidence of radiological protection
2 programs in force in those categories.

3 It is interesting to note that the
4 clean facilities had less than ten percent of
5 the number of sites that were listed for high
6 hazard, which it expects about a site a little
7 bit less than that.

8 Another general perception that I
9 would like to visit applies directly to Mound,
10 I think. And that is that I think there may
11 be a perception -- and I pick up on that from
12 various comments made by the Board and others
13 -- that the early years' radiation protection
14 programs were remedial or they were certainly
15 not advanced and the results more suspect in
16 later programs.

17 I would like to just indicate that
18 my own personal/professional health physics
19 experience at the applied level goes back to
20 January of 1953, when I first entered the
21 health physics programs.

22 During that period of time, there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were a lot of programs, facilities being
2 introduced for the first time. These were R&D
3 programs, the introduction of one-of-a-kind
4 operations, and a variety of things.

5 I was really impressed, even during
6 those very early days, that the radiation
7 protection programs were mature in their
8 comprehensiveness. For example, at Idaho,
9 they had master's-level health physicists who
10 were actually doing the field survey work and
11 fundamentally because of the fact that in a
12 lot of cases, the processes and the facilities
13 were the first out of the box.

14 And so I see evidence of that at
15 Mound also. As you look at the reports, the
16 confinement barrier monitoring surface
17 contamination, personnel contamination, air
18 monitoring, radiation detection, plus the fact
19 that, even in those early days, they had what
20 we call CAMS, or radiation air monitoring
21 systems, that were alarming constant air
22 monitors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 They wouldn't be placed in all
2 areas but primarily at the confinement barrier
3 for process materials so that you get an
4 immediate alert that a confinement barrier has
5 been breached.

6 Those instruments were in place at
7 the very front end of the radiation protection
8 experience. And we see evidence of that as we
9 review the records at Mound.

10 In the early years, Mound, the
11 programs are facility-specific, rather than
12 site-wide-specific. In other words, the
13 radiation protection programs, although
14 covering the comprehensive nature of the
15 control programs were different, the selecting
16 program and how they handled the materials was
17 different facility to facility.

18 They all covered the same
19 operational concepts that in the early '80s
20 primarily due to change in federal regulation
21 where it specified radiation work permit basis
22 -- these operational philosophies, by the way,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 covered the same thing that would be covered
2 under a radiation work permit.

3 Control of the radiological hazards
4 was by key. The facilities, the laboratories
5 were locked. And only those that had
6 knowledge and responsibility for controls had
7 keys to the facility. This is both from a
8 security standpoint and a radiological
9 protection basis. The procedures for control
10 of those, each of these facilities, was posted
11 in a unique posting at the top of the doors.

12 A little bit later on they changed
13 to a radiation work permit, in which it was
14 then they didn't have to retrain the
15 technicians when they sent them to different
16 facilities. They had a consistent radiation
17 work permit across the site.

18 But the point is that from a
19 perception ratio, there was a comprehensive
20 program in place right at the start of the
21 program in '49, about as far back as we had
22 gone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Perhaps one of the other
2 conceptions or perceptions that is listed
3 deals with the location of the DS facility on
4 top of the T building, which is one of the
5 first process facilities built in 1948, an
6 unusual facility in the fact that it -- the
7 description is on page 9 of our response. It
8 had 17-foot-thick heavy, reinforced walls,
9 8-foot ceilings, effectively a 10-foot floor,
10 built below ground, no windows. It was a
11 self-enclosed. It was designed to be
12 bombproof, 2,000-pound penetrating bombs.

13 About 20 years later, then DS was
14 built using the T building as a construction
15 base. It didn't share any of the utilities.
16 And certainly there was no interaction.

17 In order to get from T building to
18 DS building, you had to go outside and then up
19 a berm and then back in the DS facility. We
20 note also that in the SC&A paper that the
21 listing of the total effluent in the hundred
22 of thousands of curies per year discharge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through the stacks, that's to indicate that
2 there is a lot of effluent, T building being
3 one of the primary facilities.

4 DS was no more susceptible to that
5 effluent than any other facility on site
6 because the discharge went up a 200-foot
7 stack. And those numbers were monitored with
8 a stack monitor. There was no interchange
9 between T and DS.

10 Maybe we could talk a little bit
11 about the type of surveys. It is standard
12 complex-wide standard operating procedure that
13 you have routine surveys to check for
14 contamination or a breakdown in the
15 confinement barriers of the process, where
16 your process material is involved.

17 All of these facilities, so-called
18 non-rad facilities, which we would say would
19 be low-hazard or clean facilities, were on a
20 routine survey list. Those surveys were
21 probably infrequent because of any material
22 that was taken into DS, for example, would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 under the guidance and support by radiation
2 safety, but routine surveys were done.

3 But routine surveys were only to
4 look for major issues; for example, survey the
5 doorknobs and traffic patterns. And if there
6 is anything detected, then, of course, you
7 would return to look for what the source was.

8 That program was in place. It's in
9 the routine reports through the years. The
10 pre-D&D survey, which was done in the late
11 '90s and early 2000, serves as the basis of
12 concern, I think, to indicate -- well, even
13 indicate perhaps we ought to cover building
14 48, for example.

15 There's precious little
16 contamination from the legacy standpoint
17 that's even there. But even its history would
18 indicate that it was a facility that was known
19 to handle radiological material.

20 The only one in the past that was
21 designed to develop radiological material was
22 building 89. That was built in 1985. It was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 primarily designed to store detonators that
2 would be analyzed in building 48. That one,
3 there are a couple of pieces of equipment that
4 had fixed contamination.

5 N building was actually a process
6 building. It was a machine shop and housed
7 all of the crafts people. All of us people
8 would have, even in the early days -- uniform
9 badging for everybody only occurred in the --
10 well, about 1987, I think.

11 But even before then, people who
12 were involved in process buildings or had
13 access, need for access, to the process
14 buildings were given personal dosimeters. And
15 it was on a select basis at that time.

16 So I think, just even from the D&D,
17 pre-D&D, survey, we see no evidence of the
18 fact that there was any material there that
19 would cause any degree of concern.

20 DS is the main one, primarily from
21 the standpoint that there were a lot of
22 surveys, a lot of contamination indicated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the listing of one of the sites, which
2 indicated tritium of a couple of million
3 disintegrations per minute on the slide, if
4 you look at it carefully, that was in a green
5 storage cabinet.

6 The history of DS, we find that it
7 was known to be a facility in which
8 radiological materials were handled. And, as
9 I indicated, this handling was done under the
10 control of not only the management.

11 This was a metrology laboratory.
12 The people involved in this would actually go
13 to the operating area, then also bring
14 equipment or tools or whatever back to the DS
15 facility. The standard practice was to
16 involve health physics.

17 We interviewed a long-term health
18 and safety manager. He said in the '80s they
19 had become a little bit lax in actually
20 calling for support, I think primarily because
21 when you do a lot of operations and you don't
22 have any problems, you get a little bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 casual. And that was fixed.

2 And so the standard operating
3 procedure was reinforced, again. We have
4 every evidence of the fact that any
5 contamination that was handled or brought into
6 the facility was not being processed. They
7 weren't doing process work with unconfined
8 radiological material. It was primarily
9 pieces of equipment like electronic equipment
10 that would be in for calibration or tools or
11 other equipment.

12 Standard operating procedure is
13 also as you released these tools, pieces of
14 equipment for evaluation, that they be
15 surveyed at the points in the operating
16 facilities.

17 As they did that, of course, DOE
18 operating procedures did allow for fixed
19 contamination or particularly in electronic
20 equipment and other things of the kind, where
21 it's almost physically impossible to clean all
22 of the circuit boards and everything else

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completely, could be handled under direct
2 control, which was done.

3 So in all of the operating reports,
4 we see that DS was known as a facility that
5 handled radiological materials with the
6 admonition that before it was D&D, it would
7 require a thorough survey.

8 The D&D survey if you are familiar
9 with MARSSIM, the survey that converted some
10 survey system that means putting off a
11 facility -- I don't think the survey, the
12 pre-D&D survey, was done to that degree, but
13 it was done with the same purpose of assuring
14 that there was no radioactive material in
15 these facilities that was to be D&Ded that
16 would result in the release of debris to the
17 public or to landfill that had significant or
18 detectable, for that matter, contamination.

19 MEMBER CLAWSON: Hey, Bryce?

20 MR. RICH: Yes?

21 MEMBER CLAWSON: This is Brad.
22 Didn't they release some equipment that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had to recall back, though?

2 MR. RICH: I'm sure that that's
3 true.

4 MEMBER CLAWSON: Part of the thing
5 is, too, you know, we've got a lot of
6 employees that were not really badged. And
7 this even went on in the earlier years. They
8 kind of badged per facility, didn't they?

9 MR. RICH: They badged by facility,
10 fundamentally by the operation.

11 MEMBER CLAWSON: And I understand
12 this. Part of where this came from was from
13 electricians and so forth, some other people
14 that were designed that basically were not
15 assigned to a facility per se but they were
16 actually ending up going in the back way of
17 the buildings pulling wires and so forth like
18 that, but they weren't badged, I think is
19 where a little bit of this comes from.

20 MR. RICH: Normally the operating
21 procedure would be that anybody that had
22 access to radiological controlled areas would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be controlled to the procedures that were
2 established.

3 MEMBER CLAWSON: And I understand
4 that part of where this came from was that in
5 the back of some of the radiological
6 buildings, they weren't really set up for it,
7 but that's where a lot of the power and so
8 forth came into.

9 And this is where some of the
10 electricians and so forth came into that. And
11 this is what kind of raised some of this
12 question because you're right.

13 Per procedure going into the -- I
14 guess what I would say, the front end of the
15 building, they were badged and --

16 MR. RICH: And it's a controlled
17 facility, Brad. Pardon me. As you went into
18 areas where the material was being processed,
19 in a glove box or whatever it might be, then
20 there were strict control procedures,
21 including dressing out and procedures for
22 surveying it as you crossed the boundary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Okay.

2 MR. RICH: Now, there were, of
3 course, their vent lines and cable chases and
4 others that became contaminated. The process
5 was that any time you worked on the facility,
6 that it had to be surveyed before it was
7 released for work.

8 I can't say that there weren't
9 specific examples when those procedures were
10 not followed exactly.

11 MEMBER CLAWSON: Right. And I
12 understand that. And this is part of the
13 reason why there were modifications later on
14 to part of the working procedures, because
15 they actually pulled cables from inside of the
16 facility out, which brought the contamination
17 out through that, the cables. And that's part
18 of where the issue came and arose from.

19 MR. RICH: Obviously that is a
20 mistake. That resulted in an incident and
21 brought a lot of attention that caused the
22 operating procedures to be changed in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facility, which people do things that were
2 contrary to good sense.

3 But normally those incidents occur
4 and draw a lot of attention. So it's not a
5 matter of not being able to be aware of and
6 need for additional bioassay analysis and what
7 have you.

8 DR. ULSH: Okay. But, Bryce, I
9 think the question Brad or the situation that
10 Brad is bringing up kind of gets to the heart
11 of the issue.

12 And that is it seems that in SC&A's
13 white paper, they are equating exposure to
14 various levels of contamination, some of which
15 on paper appear to be quite eye-popping, there
16 are big numbers, equates to the need for
17 external dosimetry.

18 And I think is a misconception.
19 That's one of those misconceptions --

20 MR. RICH: I think that's been
21 modified, Brad, to include internal, as I
22 recall from reading the comments on the Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before. And we looked at it from that
2 standpoint, the need for external.

3 And, frankly, there is no need for
4 external based on what was handled in any of
5 these facilities except for whether they were
6 doing machining of uranium and other things.

7 In those cases, they would be
8 badged appropriately. However, what was
9 handled in DS was, you know, there was
10 functionally no external exposure.

11 And then, of course, the real issue
12 that appeared to be of concern was the fact
13 that the contamination surveys, which were the
14 exhaustive, extensive surveys prior to D&D of
15 the facility, are to document the conditions
16 in each one of these facilities prior to D&D.

17 Those showed a number of, 30 to 40 percent
18 of, the rooms had detectable spots of
19 contamination in D and S.

20 And so we proceeded from that
21 standpoint. What you mentioned, Brad, is
22 exactly right. If you look at the -- and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have these addressed on page 6 and others.
2 The smearable contaminations, even from a
3 potential internal intake standpoint, are
4 functionally of little concern. The smears
5 themselves would indicate that.

6 CHAIR BEACH: Bryce?

7 MR. RICH: If you look at the
8 smears also, there is one smear that was 2
9 times 106. And that was tritium.

10 CHAIR BEACH: Bryce, this is Josie,
11 the work group chair.

12 MR. RICH: Yes?

13 CHAIR BEACH: If you don't mind, I
14 would like to interrupt you. And I have a
15 question for SC&A based on the white paper
16 that was presented to the Work Group in April.

17 Have you had a chance to review?
18 Do we need to take some time to review that
19 white paper?

20 MR. FITZGERALD: No, no. We've had
21 a chance. I want to give Kathy the one chance
22 to comment. And then I think we can maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wrap this as far as where we are coming from.

2 CHAIR BEACH: Okay. So, Bryce, if
3 you don't mind, I am going to let SC&A speak
4 for a moment.

5 MR. RICH: Sure.

6 MS. ROBERTSON-DeMERS: I just had
7 one problem with the NIOSH response.

8 CHAIR BEACH: Can you speak up a
9 little?

10 MR. RICH: Yes. I can't hear you.

11 MS. ROBERTSON-DeMERS: I had one
12 problem with the NIOSH response I just wanted
13 to point out. Perhaps it just needs to be
14 removed. That was a statement made that after
15 1987, all personnel who entered the control
16 area wore personal dosimeters and were subject
17 to routine internal monitoring.

18 CHAIR BEACH: Was that in the ER or
19 --

20 MS. ROBERTSON-DeMERS: That is on
21 page 6.

22 CHAIR BEACH: Page 6 of the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROBERTSON-DeMERS: Of the white
2 paper.

3 CHAIR BEACH: -- of the white
4 paper. Okay. Thank you.

5 MS. ROBERTSON-DeMERS: And then
6 indicated internal monitoring occurred at
7 least once per year, urine sampling. And to
8 test that thesis, I took the 25 people that I
9 had looked at in the completeness section and
10 looked to see if they had at least one
11 bioassay for 1988 to the end of their
12 employment for tritium and plutonium. And
13 that was not the case. So I think that that
14 statement is incorrect.

15 CHAIR BEACH: Is that in the
16 response, Kathy, or was that in the initial --

17 MR. RICH: That's probably in the
18 response.

19 CHAIR BEACH: Okay. We're just
20 trying to find it.

21 DR. ULSH: Is it our white paper?

22 MR. RICH: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Our response, Kathy?

2 MS. ROBERTSON-DeMERS: Yes, page 6.

3 DR. ULSH: I see prior to 1987,
4 those workers were housed in the S building.
5 Is that the correct response, top of the page?

6 MS. ROBERTSON-DeMERS: Second
7 paragraph, after 1987, all personnel who
8 entered the control area.

9 CHAIR BEACH: Okay. We're having
10 trouble finding it.

11 DR. ULSH: Oh, wait, wait, wait.
12 Here it is. After 1987, all personnel who
13 entered the control area wore personal
14 dosimeters and were subject to routine
15 internal monitoring at least one per year,
16 urine sampling. That's the sentence.

17 I think I see where your concern is
18 coming from. Certainly -- well, maybe.
19 Certainly in the D&D era, there was a criteria
20 that we expected to have a 100-millirem per
21 year exposure. And if you were less than
22 that, it wasn't required that you were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitored.

2 MR. RICH: I think the term is
3 subject to --

4 MS. ROBERTSON-DeMERS: I guess what
5 I'm saying is that is an incorrect statement
6 that people were internally monitored once per
7 year. And it needs to be revised.

8 DR. ULSH: All right. We'll take a
9 look.

10 MR. RICH: We can certainly take a
11 look at that.

12 MS. ROBERTSON-DeMERS: And that was
13 it.

14 DR. ULSH: Okay.

15 MR. RICH: That came as a response
16 to an interview response.

17 MR. FITZGERALD: Okay. Well, you
18 know, we'll be the first to admit that it was
19 an imperfect test of a difficult question,
20 which was, can you demonstrate that the most
21 highly exposed individuals, in fact, badge?

22 So, Brant, you're not going crazy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Actually, it was an external badging issue.
2 It morphed. As we got into these buildings,
3 it kind of morphed into, well, they also
4 bioassay. But it began with the badging
5 question.

6 We interviewed over 40 workers.
7 And I think I said this the last time we
8 touched on this issue. And one of them
9 challenged the supposition that, in fact, they
10 were not badged going into controlled areas.

11 I think there was a statement,
12 though, in the ER that -- (**PART 6,
13 2:44:26***)

14 CHAIR BEACH: Page 71, I think it
15 is.

16 MR. FITZGERALD: Okay. I won't
17 dispute that. The concern is that because it
18 kind of asserted that because workers were
19 required to wear dosimeters in
20 radiation-controlled areas, it is certain,
21 quote/unquote, that those receiving the
22 highest dose were monitored, we wanted to find

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 somebody to substantiate that.

2 I think this came up as a
3 possibility. And I think we accept certainly
4 NIOSH's very detailed findings on this. And I
5 don't dispute that these ostensibly rad
6 buildings had certain histories, as Bryce has
7 gone through. That doesn't really give us any
8 relief on the question.

9 So I think we are back where we
10 were saying that since we have not, frankly,
11 heard any statements or testimonials, as we
12 have at other sites, I might add, that there
13 was some discrepancy on wearing badges and
14 everything. I don't see going any further on
15 this issue.

16 CHAIR BEACH: Well, I'm just
17 thinking out loud. I haven't even thought --
18 as I was listening to this, I was thinking
19 about the D&D time period. If we close this
20 item, is there any concern for the later years
21 that we haven't just yet addressed?

22 MR. FITZGERALD: I can't say we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 haven't studied D&D per se.

2 CHAIR BEACH: Right.

3 MR. FITZGERALD: But based on the
4 experience at Rocky Flats, where they actually
5 modified the dosimetry program and selectively
6 badged and bioassayed certain workers as a
7 function of what they were exposed to, I would
8 reserve judgment on that. I am kind of
9 talking about the operating period, which
10 pretty clearly they had a centralized control
11 system that was fairly rigorous.

12 MR. RICH: Josie, if I could just
13 make a statement, too?

14 CHAIR BEACH: Sure.

15 MR. RICH: Our review fundamentally
16 covered the operational history of the
17 buildings in question. It did not cover the
18 D&D.

19 CHAIR BEACH: Okay. That's all I
20 needed to know. Thank you.

21 So at this point, SC&A, you are
22 okay with this?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes. I mean, like
2 I said, we thought this would be a good way to
3 test the hypothesis. It turned out not to be
4 a good way to test it. But, you know, that's
5 the way it goes.

6 And I think at this stage, without
7 any other corroborating information that
8 suggests otherwise, I mean, I think we can
9 accept certainly the statement there.

10 And we were concerned that the
11 statement didn't seem to have corroboration.
12 And when we went and looked for documentation,
13 it turned out there wasn't a badging policy
14 that we could find in writing.

15 CHAIR BEACH: Right, right.

16 MR. FITZGERALD: And so one step
17 led to another. And that's how we came down
18 this road.

19 CHAIR BEACH: Right.

20 MR. FITZGERALD: It's so hard to
21 remember all of that, but that's how we came
22 down the road.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think, rather than expend more
2 resources trying to find something on this, I
3 think if I would make a statement, I think we
4 would have heard more concerns expressed by
5 the workers.

6 CHAIR BEACH: The workers.

7 MR. FITZGERALD: They would
8 probably want to do more, but I think the
9 workers uniformly felt they were badged in
10 controlled areas. So I think we have
11 confidence based on that at least.

12 CHAIR BEACH: And I guess since
13 Kathy brought up initially, are you
14 comfortable with that as well, Kathy?

15 MS. ROBERTSON-DeMERS: I would just
16 like to see that statement that everyone was
17 monitored annually internally taken out of
18 here.

19 MR. CHEW: Or modified.

20 MS. ROBERTSON-DeMERS: Or modified,
21 yes.

22 MR. CHEW: Modified, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Modified.

2 CHAIR BEACH: So, then, NIOSH
3 agrees with that.

4 From my standpoint, based on the
5 fact that we have closed the other issue early
6 yesterday on the non-badging issue, I think
7 it's clear that there isn't an issue with
8 badging based on what the workers have said in
9 their interviews.

10 I have no problem closing this
11 issue, but I do want to hear from other Work
12 Group members on their thoughts or --

13 MEMBER CLAWSON: I just found it --
14 and this is just from the interviews that we
15 have there because there was no question about
16 going into the controlled areas and so forth.

17 As a matter of fact, a lot of the maintenance
18 people made comments that they had different
19 badges for different areas for them to be able
20 to go in there.

21 But what they stated to me was that
22 the problem got into when they were working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outside the buildings, where they shouldn't
2 have been when they needed to be. And then
3 tieing into these old buildings is where they
4 got into some of the issues where they weren't
5 badged.

6 I don't know how to follow up with
7 that, but I just want to go on record as
8 saying that there were times where this came
9 from with the electricians and so forth
10 because they were basically on the other side
11 of the walls of the production. So they felt
12 okay. We're not violating any RWPs or
13 anything else like that or digging enough
14 lines.

15 All of a sudden, they got into
16 stuff that they did not expect.

17 DR. ULSH: I think you have to ask
18 what happened in situations like this. Let's
19 envision a scenario, Brad, where a worker was
20 going into an ostensibly clean area and then
21 it's discovered later, after he worked on a
22 particular piece of equipment, that that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 equipment was contaminated. So what would you
2 do?

3 MEMBER CLAWSON: Well, part of the
4 thing came out that this side of the building
5 was actually considered non-radiological and
6 so forth and they had put in a whole new power
7 bank that had been in there.

8 And then they've basically come to
9 find out as they were doing the QA inspection
10 of these power banks that were in there,
11 they've come to find out that it was actually
12 an almost high radiation area. And they never
13 knew that until they got into it.

14 Then they went and put the --

15 DR. ULSH: When you say high
16 radiation area, I assume you're talking about
17 contamination levels?

18 MEMBER CLAWSON: Well, yes,
19 contamination I guess, radiation.

20 CHAIR BEACH: So high
21 contamination.

22 MEMBER CLAWSON: High contamination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 area or whatever because I guess what it was
2 was the pipes and so forth that went over into
3 the operational area and so forth.

4 DR. ULSH: Yes.

5 MEMBER CLAWSON: And what they
6 ended up doing was actually pulling a lot of
7 that wire back in. It was all laid out there.
8 And they got into issues on that.

9 My question to them was, well, what
10 happened after that? And he said there was
11 just a change to the RWP and the outside of
12 the door, they put up a potential internal
13 contamination area.

14 DR. ULSH: I think you would be
15 concerned in a situation like that. If a
16 worker went into an area where there wasn't
17 supposed to be any contamination and it turns
18 out that there was, if they didn't follow up
19 and go make that worker give a bioassay or if
20 he wasn't on a routine bioassay program, I
21 think that might be cause for concern.

22 MEMBER CLAWSON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: But I haven't seen
2 evidence that that is the case. I mean,
3 certainly there were situations where, like
4 you described, they went into a situation
5 where there was unexpected contamination.

6 But what you would hope to see I
7 think that we did see would be in a situation
8 like that, the worker would be required to
9 give bioassay or he was already on a routine
10 bioassay program.

11 MEMBER CLAWSON: Right. And --

12 MR. RICH: Brant, that is true that
13 these were -- it's par of the discovery
14 process. And incidents happened like that.
15 And that would trigger, that did trigger,
16 special sampling and whatever to make sure
17 that the workers and properly clothed were
18 covered by evaluation of what intake would
19 have occurred.

20 MR. CHEW: Brad, I think I would
21 need to make a comment. And you know this for
22 the record here. When you talk about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contamination, especially with things at
2 Mound, you know, a badge, a TLD badge, does
3 not pick that up.

4 MEMBER CLAWSON: Right.

5 MR. CHEW: When you talk about high
6 radiation, it could be contamination level.
7 But that does not necessarily mean that the
8 badging is going to pick that up.

9 MEMBER CLAWSON: Right.

10 MR. CHEW: I just wanted to be sure
11 you know that.

12 MEMBER CLAWSON: Yes. I appreciate
13 that. You know, I just wanted to make sure
14 that we addressed that because we had heard it
15 a few times. But I just don't want to close
16 the door.

17 MR. FITZGERALD: Well, that's the
18 down side to badging just for the
19 rad-controlled areas because if there was
20 anything that arose outside of those areas,
21 there is potential there. Again, I think --

22 MEMBER CLAWSON: And I think in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 later years --

2 MR. CHEW: You need to know what it
3 is.

4 MR. FITZGERALD: Yes.

5 MEMBER CLAWSON: -- when they broke
6 into those lines and stuff, I think there was
7 a line that was broken loose out in the ground
8 there that --

9 MR. FITZGERALD: This gets into the
10 events.

11 MEMBER CLAWSON: Yes. That gets
12 into the events.

13 MR. FITZGERALD: What is the
14 protocol for responding to those events I
15 think is what --

16 MEMBER CLAWSON: Okay. Well, I
17 just --

18 MR. FITZGERALD: But I think D&D,
19 though, is a different set of conditions
20 because I think the monitoring system changed.

21 And I think that would be a different story
22 that would need to be looked at.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Okay.

2 CHAIR BEACH: And I only brought
3 that up because I didn't want to lose anything
4 in that era. But it sounds like we're okay.

5 With the lack of policies, I know
6 SC&A has looked for policies on the non-rad
7 buildings, haven't found anything. So I guess
8 I couldn't see anything more further that we
9 could ask SC&A to do.

10 And with no complaints from workers
11 on the badging issue, I feel like we just are
12 at a point where we should close this item
13 unless anybody feels strongly or has an idea
14 of anything else that we could look at.

15 MEMBER CLAWSON: No, I don't.

16 CHAIR BEACH: Yes. Okay. So we
17 will consider --

18 MR. MORRIS: You --

19 CHAIR BEACH: Go ahead, Bob.

20 MR. MORRIS: Considering that it
21 takes a rewrite of the DOE classification
22 review to get it changed to a document or not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 DR. ULSH: This wouldn't require
3 DOE review. It's not a separate issue.

4 MR. MORRIS: You've got it in the
5 transcript. I was wondering if we really
6 needed to revise this last document.

7 DR. ULSH: It's a matter of --

8 CHAIR BEACH: No. I think just
9 deleting the sentence should be a simple
10 matter. Thank you for pointing that out, but
11 Kathy did ask. And NIOSH did agree to delete
12 that sentence. Okay.

13 So are we okay, then?

14 (No response.)

15 CHAIR BEACH: Great. So let's
16 consider that closed. And I think it's time
17 for a break. So let's take 15 minutes.
18 Resume at, let's say, ten after. Is that
19 okay?

20 (Whereupon, the above-entitled
21 matter went off the record at 2:55 p.m. and
22 resumed at 3:06 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: We're going to jump
2 to the place in the D&D years. And basically
3 the reason I put that on the agenda is I just
4 had a question to pose to NIOSH on what we
5 were going to do with the D&D years, where we
6 were going with that or when we would see
7 something on those years.

8 DR. ULSH: Okay. Well, just to do
9 a very brief recap, when we presented our
10 evaluation, our ER, at the Las Vegas meeting,
11 -- I don't even remember when it was now --
12 beginning of 2008, I think --

13 CHAIR BEACH: Yes.

14 DR. ULSH: -- we reserved -- I
15 mean, we recommended a class, '49 to '59, and
16 then no class after that, but we reserved
17 judgment on the D&D years. And the basis for
18 that reservation was the Price-Anderson Act
19 violations.

20 CHAIR BEACH: I'm not clear on
21 that, what that means.

22 DR. ULSH: The Price-Anderson Act

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 violations, in and of themselves, the
2 Price-Anderson Act violation doesn't say
3 necessarily anything about the ability to
4 reconstruct dose. But in this situation, they
5 dealt with adequacy of the Mound bioassay
6 program.

7 So we wanted to take some time to
8 evaluate whether or not those Price-Anderson
9 Act violations impacted our ability to
10 reconstruct internal dose at Mound.

11 I think, Josie, that we have
12 captured this item at other places in the
13 matrix under, I think it is, issue 21, the
14 Price-Anderson Act.

15 CHAIR BEACH: Right.

16 DR. ULSH: So I don't necessarily
17 think that we have a separate matrix item to
18 deal with the D&D years unless there are other
19 questions beyond that. But that is --

20 MEMBER CLAWSON: I've got one thing
21 because just looking at the work history on
22 this, after this Price-Anderson Act incident

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happened, isn't this when Dade Moeller and
2 Associates came in and did --

3 CHAIR BEACH: MJW.

4 MEMBER CLAWSON: MJW. Oh, okay.
5 MJW came in and did a -- I think it was
6 because of that that they came in, wasn't it?
7 I'm just trying --

8 DR. ULSH: I think that's accurate.

9 MEMBER CLAWSON: Okay. They did a
10 --

11 MS. ROBERTSON-DeMERS: It was from
12 a lawsuit.

13 DR. ULSH: Oh, that's right. It
14 was because of a lawsuit, Brad, that it was
15 filed.

16 MEMBER CLAWSON: Okay. That's when
17 they came in, and they went through some of
18 the dose --

19 MS. ROBERTSON-DeMERS: The internal
20 dosing.

21 DR. ULSH: That's right.

22 MR. FITZGERALD: I guess the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 context of that issue for us was more the
2 change in regime that's experienced under D&D,
3 whether that poses any implication for dose
4 reconstruction.

5 We didn't see it as a
6 Price-Anderson Act, per se. And, actually, I
7 think we ere more focused on the other issue
8 that dealt with the Price-Anderson
9 implications, as treating that issue.

10 So, to some extent, I think we have
11 a different frame of reference for what that
12 issue, D&D, would be. This was also from the
13 experience with Rocky Flats.

14 You know, it just was two different
15 regimes. And we went through some effort to
16 figure out whether that change had to be
17 changed for dose reconstruction.

18 You recall we went through this
19 question of lack of terminal bioassays,
20 transient workers, how they would badge, and
21 all of this. And I think we wanted to address
22 that, but it was being held open -- not open

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but deferred. So we couldn't really engage
2 that issue.

3 Now, going back to the matrix, I
4 think that is the way it was described in our
5 issue matrix. But that would be the question
6 I would think I would raise on that.

7 DR. ULSH: Matrix somewhere?

8 MR. FITZGERALD: Yes. And, of
9 course, I --

10 MS. ROBERTSON-DeMERS: I have a
11 copy of it, but I --

12 MR. FITZGERALD: I have a copy, but
13 I think --

14 MEMBER CLAWSON: Their whole rad
15 practices changed after the production era,
16 didn't it?

17 MS. ROBERTSON-DeMERS: Yes. Their
18 whole rad practices changed as a result of
19 that lawsuit.

20 MEMBER CLAWSON: Well, I thought
21 after the lawsuit a lot of things went on, but
22 then through the D&D era, they had a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changes to who was being bioassayed and
2 everything else like that if I remember
3 directly some of the issues.

4 MS. ROBERTSON-DeMERS: Well, I'm
5 not just talking from the standpoint of
6 bioassay. I'm talking from field monitoring
7 --

8 MEMBER CLAWSON: Right.

9 MS. ROBERTSON-DeMERS: -- and
10 upgrades to field monitoring.

11 CHAIR BEACH: It's on number 10.
12 It's issue 10. Sorry. I just realized that.

13 MR. FITZGERALD: Yes. This is our
14 statement I am reading from, actually your
15 response from last July: Evidence exists that
16 worker exposure residual contamination to
17 sources generated during the life of the
18 plant, particularly during D&D activities, in
19 which bioassay is not performed. Lapel
20 sampling and DAC-hour tracking were used as a
21 primary means of tracking internal dose,
22 rather than routine bioassay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In fact, Mound went to Rocky Flats
2 to model their system after Rocky. I recall
3 that coming up.

4 Samplers who were assigned randomly
5 to a group of D&D workers might not have
6 represented the most exposed individual. You
7 know, pretty much the same issues I think we
8 addressed at Rocky would be the same issues we
9 would want to be clear on here.

10 SC&A agrees that issues like these
11 associated with internal exposure during D&D
12 activities warrant special consideration in
13 the context of the SEC.

14 Actually, there was NIOSH response.
15 And it says, the SC&A statement above does
16 not accurately represent NIOSH's concerns with
17 the bioassay program in the D&D era.

18 And then you went on to talk about
19 Price-Anderson.

20 DR. ULSH: You see that problem
21 persists.

22 MR. FITZGERALD: It says, NIOSH has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 never expressed concerns about lapel sampling
2 and worker exposure, residual contamination,
3 and all the other points we raised. And that
4 is kind of where it ends.

5 DR. ULSH: So our NIOSH response as
6 of July 5th, 2008 is kind of the last action
7 on this issue?

8 CHAIR BEACH: It is exactly the
9 last action.

10 DR. ULSH: Okay.

11 CHAIR BEACH: That's why I raised
12 it today.

13 DR. ULSH: I see.

14 CHAIR BEACH: We need to know what
15 is happening and where we are going with that.

16 DR. ULSH: There is more you would
17 like to see, in addition to our response?

18 CHAIR BEACH: Well, Joe, you said
19 SC&A is kind of not touching it because --

20 MR. FITZGERALD: Well it was being
21 reserved for further research. And that was
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROBERTSON-DeMERS: We're in the
2 process of investigation.

3 MR. FITZGERALD: We are doing more
4 investigation. Now, the context is
5 Price-Anderson, but the issues that we are
6 concerned about are pretty much the same
7 issues we are concerned about in Rocky as far
8 as the change in regime and going to lapel
9 sampling and whether or not that provided
10 sufficient basis for estimating doses on those
11 deeds.

12 And that is kind of where we left
13 it in pursuing it from that point. And I
14 think that it has been held open as a pending
15 item. So I don't know. That's one reason I
16 guess we are --

17 DR. ULSH: So is there an action
18 item that you would like to see?

19 CHAIR BEACH: Well, either SC&A
20 tackles it or you guys unless you're saying
21 that is your response and that is what you are
22 sticking by or if you want to review it and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get back to us. I mean, I know it is --

2 MEMBER CLAWSON: I thought we had
3 kind of separated those out and didn't want to
4 deal with the D&D era right now, we wanted to
5 get the earlier years as kind of a focus
6 field. We kind of separated it into two
7 issues. I think I got the feeling -- it's my
8 personal opinion, but that's why we kind of
9 just held that one back and separated it in
10 two eras.

11 MR. FITZGERALD: Well, I think the
12 first time we broached it, the feedback from
13 NIOSH was they wanted to do further
14 investigation.

15 Now, I think it became clear by
16 last year the context was Price-Anderson, but
17 it was one of these we don't want to have this
18 liberation in the meantime because we are
19 doing further investigation.

20 Because we had enough issues to
21 keep us occupied, we put it aside. But the
22 question at this stage is, you resolved the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Price-Anderson questions through various
2 means, but we still have what was originally
3 identified as some of these monitoring issues
4 in the D&D period that we need to address.

5 Now, we could. You know, basically
6 we could pull something together since at this
7 stage we know based on the July response that
8 you don't agree there were any implications
9 for dose reconstruction from the D&D period.
10 That kind of puts the monkey on our back to
11 show why there might be.

12 One thing we could do is just put
13 this on a fast track and say, there is no
14 Price-Anderson implication from D&D, but there
15 may be some other implications. We need to
16 get back to the Work Group and offer any
17 illumination on that particular issue.

18 But the issues are very similar to
19 Rocky. And I think we ended up, although
20 there were some concerns at the end whether we
21 might be able to resolve those issues, we did
22 end up resolving them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There was a stark difference in the
2 regime.

3 CHAIR BEACH: But I think we owe it
4 to the claimants to answer that question in
5 that time period. So I am hoping -- I mean, I
6 am fine with SC&A jumping up and writing
7 something up or if you want to --

8 DR. ULSH: No. That's fine.

9 CHAIR BEACH: Because my note said,
10 Placeholder Under Investigation.

11 MR. FITZGERALD: Yes.

12 DR. ULSH: We might have had this
13 conflated with the Price-Anderson Act. I
14 would like to take you up on your offer, Joe.

15 MR. FITZGERALD: Yes. We'll take
16 responsibility to -- I think we have the
17 documentation. We just need to clean this up,
18 be very specific about what -- if we -- we
19 haven't really finished any kind of analysis.

20 If we feel there are issues that
21 might bear on dose reconstruction of an SEC
22 significance, then we need to bring that back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to you and to NIOSH and go from there.

2 CHAIR BEACH: That's sounds great.

3 MR. KATZ: Just a clarification.
4 Did the petitioner raise the D&D period as an
5 issue?

6 CHAIR BEACH: I don't know if it
7 came from that. It included the D&D.

8 MR. KATZ: The period did?

9 CHAIR BEACH: Yes. It goes to
10 2007, yes.

11 MEMBER CLAWSON: Because the
12 petitioners raised concern of the change of
13 rad practices and everything else like that,
14 moving equipment and so forth. All of a
15 sudden, buildings that were --

16 CHAIR BEACH: So I'm assuming that
17 you're going to develop a white paper on that.
18 And I'm not asking for a time because I don't
19 want --

20 MR. FITZGERALD: What's good for
21 the goose is good for the gander.

22 We've heard the last two days that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH is going to. You know, we will
2 certainly do that. And we are not starting
3 from scratch.

4 CHAIR BEACH: Yes.

5 MR. FITZGERALD: I mean, I think we
6 did gain a lot of experience with the same
7 issue at Rocky. So I think we can know what
8 we are looking for and will be able to come
9 back with something.

10 I know you are going to ask me next
11 about DOE's review and six or seven --

12 CHAIR BEACH: And I was going to
13 say, Joe, that I wasn't going to put you on
14 the --

15 MR. FITZGERALD: I feel obliged to
16 offer to get it out. But we will certainly
17 move as fast as we can to do that.

18 CHAIR BEACH: Yes.

19 MR. FITZGERALD: And probably we
20 will try to get back by the end of July
21 depending on DOE.

22 CHAIR BEACH: And I think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually, at the end of the meeting today,
2 most of the action items are on NIOSH again.
3 So we are kind of back to the incline on your
4 side of the table.

5 Okay. That's great.

6 MEMBER CLAWSON: Joe, do you think
7 this would be where you could kind of give us
8 an update on this one maybe because we are out
9 here where Mound is at? I was just wondering
10 if --

11 MR. FITZGERALD: I'm going to try
12 to do what we can. I mean, I think because
13 there are more recent records, this is a much
14 different issue than trying to dig back into
15 the '40s and '50s. We're talking '90s.

16 So the question is being able to
17 understand the system. And we did read some
18 -- make sure we understand the system fully
19 and look at the implications, similar to what
20 we saw with Rocky because they did actually
21 model the Mound program. They did track Rocky
22 to see what they were doing in D&D and brought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that back. So there should be a lot of
2 similarities.

3 MS. ROBERTSON-DeMERS: Actually,
4 the Rocky Flats regime moved to rad.

5 MR. FITZGERALD: Okay.

6 MEMBER CLAWSON: I was just
7 wondering where we were up there modeling the
8 Mound area so that some of the issues --

9 MR. FITZGERALD: The biggest issue
10 came down to because they went from badging
11 every single worker who was the most exposed
12 individual in the DOE Act to, in fact, be
13 monitored or not to try to answer that
14 question.

15 And we got into an issue of
16 terminal bioassays at Rocky. A lot of
17 transient workers left the site, never got any
18 final bioassays. And how would you address
19 that? We did address it through the process
20 of Rocky.

21 So, you know, I think we have a
22 pretty good running start on those issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Josie, I have a
2 question on this.

3 CHAIR BEACH: Okay. Hi, Paul.

4 MEMBER ZIEMER: This is kind of my
5 standard question. It's not completely clear
6 to me what SC&A will do versus what NIOSH is
7 doing, but I want to make sure that SC&A is
8 not undergoing an investigation that should
9 rightly be done by NIOSH.

10 Can you clarify, Joe, a little
11 more? I didn't get the full implication of
12 what it is SC&A is going to do next.

13 MR. FITZGERALD: We're going to
14 just focus on the D&D period, where they went
15 to lapel sampling. This will sound a little
16 bit familiar for Paul because this is the
17 issue we looked at at Rocky Flats, which is
18 when they went from an every person gets
19 badged and going into a rad area to selecting
20 those who they believe to be the most exposed
21 individual and monitoring that person with
22 lapel samples and if the lapel sample shows

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something positive, then do the bioassay. So
2 it's a different system.

3 The question at Rocky and the
4 question here would be, is there
5 documentation? Certainly we have interviews
6 already, whether or not that was, in fact, the
7 way it was implemented and you can rely on the
8 data as being those who were, in fact, exposed
9 during the D&D period.

10 MEMBER ZIEMER: Well, my question
11 is, let me emphasize what I am saying. You
12 have raised the question. My question is, who
13 answers it?

14 CHAIR BEACH: And that's a good
15 question, Paul.

16 DR. ULSH: Well, I think the
17 problem, though, is that it is not clear
18 exactly what the questions are, the issues
19 that are of concern. I understand --

20 MEMBER ZIEMER: Okay. So you're
21 asking SC&A to clarify the nature of the
22 question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes, yes.

2 MEMBER ZIEMER: Okay. That's fine.

3 I just want to make sure that SC&A is not
4 raising a question and then determining the
5 response.

6 MR. FITZGERALD: Well, just to be
7 clear on the tasking, though, we can certainly
8 tee up the specific findings and be able to
9 back those findings up and then see what the
10 Work Group wants to do next.

11 MEMBER ZIEMER: Yes.

12 MR. FITZGERALD: Okay.

13 MEMBER ZIEMER: Well, I think,
14 Josie, you understand what I am asking here.

15 CHAIR BEACH: I understand
16 completely.

17 MEMBER ZIEMER: Because it's kind
18 of my standard question.

19 CHAIR BEACH: Yes.

20 MEMBER ZIEMER: Make sure that the
21 right group is doing --

22 CHAIR BEACH: Doing the work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: -- the work.

2 CHAIR BEACH: Yes.

3 MR. KATZ: Can I just seek
4 clarification, then? In the NIOSH report, did
5 you reserve this section with respect to the
6 evaluation report or --

7 DR. ULSH: Yes, but that was based
8 on Price-Anderson.

9 MR. KATZ: Okay.

10 DR. ULSH: These are separate.

11 MR. KATZ: So it is still reserved
12 in the NIOSH report as the documentation --

13 DR. ULSH: We revised the
14 evaluation report if that is what you mean.

15 MR. KATZ: Okay.

16 DR. ULSH: And we have given our
17 position on the Price-Anderson Act issues on
18 the record here at a Work Group meeting, but
19 we have not revised the evaluation.

20 MR. KATZ: I see. But in giving
21 your response with respect to that, do you
22 think that closes out the reservation? That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completes the evaluation report, in effect,
2 with respect to that period?

3 DR. ULSH: That closes out our
4 reservations based on the Price-Anderson Act.

5 But Joe is saying that there might be others
6 --

7 MR. KATZ: I understand. I am just
8 saying that if we hadn't closed out our work,
9 then it would be really OCAS' step, not
10 SC&A's, to lay out questions or criticisms.
11 It would be OCAS' to lay out, here is how we
12 plan to do things and then SC&A to consider
13 that.

14 DR. ULSH: Well, I think we have
15 done that for the Price-Anderson Act stuff.
16 We are going back and forth. I mean, still I
17 think the latest iterate was SC&A's response
18 to our white paper that agrees on some issues
19 and a couple of issues doesn't hit. So that
20 is still --

21 MR. KATZ: Well, I'm just sort of
22 resonating with what Paul is saying.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes.

2 MR. KATZ: If it is not clear what
3 OCAS plans to do going forward. Then it
4 shouldn't be for SC&A to lay out, here is
5 where we think the vulnerabilities or problems
6 are if what OCAS has already laid out, here is
7 what we are going to do.

8 DR. ULSH: No. Our position is
9 during the D&D years, we can do dose
10 reconstructions with sufficient accuracy.

11 MR. KATZ: Okay.

12 DR. ULSH: But SC&A has raised some
13 questions related to D&D. We just want to
14 clarify what those issues are.

15 MEMBER ZIEMER: Okay. I just
16 wanted to make sure that that was the case.

17 CHAIR BEACH: So I guess we will
18 retract what I said about a white paper. And,
19 really, what SC&A is going to do is pose the
20 questions back to NIOSH.

21 MR. KATZ: If it has concerns.

22 CHAIR BEACH: If it has concerns.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay. That helps. Thanks, Paul.

2 MEMBER ZIEMER: Yes.

3 MR. KATZ: Thank you.

4 CHAIR BEACH: Okay. Anything else
5 on that portion?

6 (No response.)

7 CHAIR BEACH: I guess the last item
8 on the agenda, then, is to look at the road
9 map, integrated issues and --

10 MEMBER CLAWSON: You know what,
11 Mel? I really would like to -- I apologize.
12 I didn't think you --

13 MR. CHEW: Yes. I was going to
14 walk down exactly where we are with news and
15 the road map. I think there were two action
16 items that came out of the last July meeting.

17 They were put into incident reports and then
18 looked at the RWPs relating to the D&D area.

19 The first part has been
20 incorporated into this new road map. I was
21 going to walk you through to see what is new.

22 I just want to make sure from a security

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standpoint that this version that you have,
2 the pfp version, has been redacted by the DOD.

3 I made probably a fatal error that
4 I will never make again. I sent Kathy a Word
5 file, which I will probably regret later on.
6 Anyway, let's delay it to next time. It will
7 be worthwhile for you to look at the --

8 CHAIR BEACH: So the only thing
9 that I want to ask on the road map is I know
10 there is an additional version to it. I would
11 like to see if that version can be shifted to
12 Hanford for viewing by Kathy and myself,
13 Dennis.

14 DR. ULSH: Yes. We'll coordinate
15 with Gina Cano and Greg Lewis and see if we
16 can make that happen.

17 MR. CHEW: The other version I want
18 to make sure -- this will only take a few more
19 seconds -- I was going to point out clearly
20 locations were referred to Appendix B. Okay?

21 And we're very cautious not to
22 assume that if anything was in Appendix B that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I saw what was in Appendix B and also did not
2 see any of the other reference documents that
3 were used in unclassified sources here they
4 are not in here. I just want to make sure you
5 know that.

6 The caution that we all need to
7 exercise is that this is a road map that came
8 from many different sources. And so you
9 understand the implications of that.

10 You understand the reports that are
11 there. There are 75 of them. We gave you the
12 SRDB numbers to reference that. That was one
13 of the deliverables. And so we can talk about
14 the PWP's or the work permits for the D&D area.

15 There is a lot more detail in this
16 particular document than what you have seen in
17 the past. Please start at the very top and
18 look at the color coding. You will enjoy
19 that.

20 I want to first Sam Chu and Gene
21 and Leo, who spent diligent hours in putting
22 this kind of a road map together.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Great.

2 MR. CHEW: I think it was very
3 worthwhile because Mound is a very complex
4 site with a lot of different things. And what
5 I was showing them was how it shows up
6 chronologically. You don't get that feeling
7 when you look at this particular road map of
8 chronology, when those things were handled.
9 And that's why I was going to talk to walk
10 through it.

11 Maybe we can do something to get
12 that in your hands so you know that it has
13 been handled, redrumming of zoning for this
14 particular period and time in that particular
15 building. You get that feeling.

16 DR. ULSH: I think when we
17 reconvene at another location, we will maybe
18 take you up on your offer to walk us through.

19 CHAIR BEACH: Yes.

20 MR. FITZGERALD: We can dovetail.
21 It is something we're going to have to do
22 later.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Right.

2 MR. CHEW: I'm done.

3 CHAIR BEACH: Thank you.

4 And apologies if that seemed really
5 quick, but we are losing two Work Group
6 members now.

7 MR. FITZGERALD: I think that's a
8 good point. Let me read. We have been trying
9 to figure out what the evaluation report said.

10 Actually, it matches pretty much both of what
11 we are saying. So I guess we both feel about
12 it.

13 It's three sentences, D&D era
14 bioassay. There had been concerns expressed
15 by numerous former workers about whether the
16 bioassay requirements matched the exposure
17 potential to workers during the D&D era. This
18 is exactly what we are focusing on.

19 Then the next sentence, Envision
20 there were several Price-Anderson Act
21 violations and crimes during that period.
22 NIOSH continues to investigate whether these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occurrences compromise its ability to perform
2 dose reconstruction with sufficient accuracy.

3 That is the only entry that is there.

4 DR. ULSH: I think I see where the
5 confusion comes. The third sentence related
6 to the second and not --

7 MR. FITZGERALD: Right, right.

8 DR. ULSH: -- from us.

9 MR. FITZGERALD: Right. And I
10 think that first sentence captures what we are
11 looking at, which is whether the exposure
12 potential of workers matched the requirements
13 and whether those requirements were
14 implemented effectively during that era. That
15 is kind of what we have to tee up.

16 This appears that the ER actually
17 acknowledges the concerns. We heard the same
18 concerns in our interviews from the D&D era
19 workers. So I think that is an open issue
20 that we ought to -- actually, ER acknowledges
21 that it is an open issue.

22 CHAIR BEACH: And, in closing, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the last item, Future Meetings, we have
2 nothing planned at this time. The action
3 items that came from the two-day Work Group, I
4 will send out an e-mail to the Work Group and
5 NIOSH, SC&A. And then we can make additions
6 or changes if there is something I may have
7 missed.

8 MR. KATZ: It's about a two-month
9 time frame for a lot of these deliverables --

10 CHAIR BEACH: Right.

11 MR. KATZ: -- that have been teed
12 up today. So it is looking like the next
13 Working Group meeting probably won't happen
14 until early August.

15 CHAIR BEACH: Right. I agree with
16 that. Okay. Thank you.

17 MR. KATZ: Thanks, everyone on the
18 phone.

19 (Whereupon, the above-entitled
20 matter was concluded at 3:30 p.m.)

21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

2

3

4

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701