

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

CENTERS FOR DISEASE CONTROL

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON LINDE CERAMICS

+ + + + +

MONDAY
DECEMBER 14, 2009

+ + + + +

The Work Group meeting convened in the Zurich Room of the Cincinnati Airport Marriott Hotel, 2395 Progress Drive, Hebron, Kentucky, at 9:30 a.m., Genevieve Roessler, Chair, presiding.

PRESENT:

GENEVIEVE S. ROESSLER, Chair
JOSIE BEACH*
MICHAEL H. GIBSON*
JAMES E. LOCKEY

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

IDENTIFIED PARTICIPANTS:

TED KATZ, Acting Designated Federal Official
ANTOINETTE BONSIGNORE, Petitioner
CHRISTOPHER CRAWFORD, NIOSH
MONICA HARRISON-MAPLES, ORAU
EMILY HOWELL, HHS
KAREN JESSEN, ORAU
LAURA KROLCZYK, Office of Senator Gillibrand*
JOHN MAURO, SC&A
JAMES NETON, NIOSH
STEVE OSTROW, SC&A
MUTTY SHARFI, ORAU*

*Present via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

T A B L E O F C O N T E N T S

	<u>PAGE</u>
Roll Call	4
Agenda Discussion	7
Steve Ostrow: SC&A comments on NIOSH Response	9
Chris Crawford: NIOSH Combined Response to SC&A Report, Review of the Linde Ceramics Plant Special Exposure Cohort (SEC) Petition 00-107 and the NIOSH SEC Petition Evaluation Report, dated June 18, 2009 and Work Group, NIOSH, and SC&A discussion	27
Adjourn	204

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:30 a.m.)

3 MR. KATZ: Okay. We're ready to
4 get started. We'll begin roll call before we
5 turn the on button on. So beginning within
6 the room, Board members, and please speak to
7 conflict, as well, everybody.

8 CHAIR ROESSLER: I'll start. I'm
9 Gen Roessler, Advisory Board, Chair of the
10 Linde Work Group. No conflict.

11 MEMBER LOCKEY: Jim Lockey, member
12 of the Advisory Board. No conflict.

13 MR. KATZ: And on the line?

14 MEMBER GIBSON: Mike Gibson,
15 Advisory Board. No conflict.

16 MEMBER BEACH: Josie Beach,
17 Advisory Board. No conflict.

18 MR. KATZ: Hi, Josie.

19 MEMBER BEACH: Good morning.

20 MR. KATZ: Glad you -- sorry about
21 your travel troubles.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Yes, that's okay.

2 MR. KATZ: But at least you're not
3 waylaid here for a day in between meetings.

4 MEMBER BEACH: Exactly.

5 MR. KATZ: Okay. And now the NIOSH
6 ORAU team in the room.

7 DR. NETON: Jim Neton, NIOSH, no
8 conflict at Linde.

9 MR. CRAWFORD: Chris Crawford, no
10 conflict at Linde, NIOSH.

11 MS. HARRISON-MAPLES: Monica
12 Harrison-Maples, ORAU, no conflict.

13 MS. JESSEN: And Karen Jessen, ORAU
14 team, no conflict.

15 MR. KATZ: And NIOSH ORAU team on
16 the phone?

17 MR. SHARFI: Mutty Sharfi, ORAU
18 team, no conflicts with Linde.

19 MR. KATZ: Okay. SC&A in the room?

20 DR. OSTROW: Steve Ostrow, no
21 conflict.

22 DR. MAURO: John Mauro, SC&A, no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conflict.

2 MR. KATZ: SC&A on the line?
3 Anybody?

4 DR. MAURO: No.

5 MR. KATZ: Okay. And HHS employees
6 or other government employees or government
7 contractors, beginning in the room.

8 MS. HOWELL: Emily Howell, HHS.

9 MR. KATZ: And on the line?

10 (No audible response.)

11 MR. KATZ: Any feds or contractors
12 to the feds?

13 (No audible response.)

14 MR. KATZ: Okay. And then members
15 -- members of the public or staff to
16 congressional offices on the line?

17 MS. BONSIGNORE: Antoinette
18 Bonsignore, Linde Ceramics Petitioner.

19 MR. KATZ: Welcome.

20 CHAIR ROESSLER: Hello, Antoinette.

21 MR. KATZ: Welcome, Antoinette.

22 MS. BONSIGNORE: Hello.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay, then. That's roll
2 call.

3 Let me just remind everyone on the
4 phone to please mute your phone except when
5 you are addressing the group. *6 will work if
6 you don't have a mute button. And then hit *6
7 again to take it off mute.

8 Please do not put the call on hold
9 at any point, but hang up and dial back in if
10 you need to leave. And that's it. It's all
11 yours, Gen.

12 CHAIR ROESSLER: Thank you, Ted.

13 Our last Work Group meeting was
14 September 2nd. And at that time, it was
15 actually our first meeting to look at the SEC
16 Petition Evaluation.

17 And at that meeting, Chris Crawford
18 made a report, a Microsoft Word -- or
19 Microsoft PowerPoint report. And if you have
20 that, I have that on my computer, if we need
21 to refer to it. But basically his bottom line
22 was that NIOSH OCAS recommends that for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period at Linde from January 1st, 1954 to July
2 31st, 2006, radiation dose estimates can be
3 constructed for compensation purposes. So
4 that's our bottom line, really, today, is to
5 look at that.

6 I sent out an agenda which is
7 online. It only had three items on it, but I
8 would like to add one item to that and
9 actually start with that item.

10 And that was the SC&A Report
11 following through on our last meeting, sent
12 out on time by Steve Ostrow. And because it
13 was on time, it was -- I filed it and I forgot
14 about it. He sent it out, I think, on
15 September 21st. So if you have that with you,
16 it's called Review of Linde Ceramics Plant
17 Special Exposure Cohort Petition 00-107 and
18 the NIOSH SEC Petition Evaluation Report. And
19 it has addendum in a little box on it. So if
20 you are looking for that, that's what it looks
21 like.

22 And I've asked Steve to make a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 brief summary of his report to get us started.

2 DR. OSTROW: Okay. At the
3 September 2nd Work Group meeting that Gen
4 mentioned, a question came up whether SC&A
5 addressed all the petitioner's concerns that
6 were in the Petition 00-107.

7 We had put out a big report on June
8 18th where we evaluated -- we looked at what
9 NIOSH had done and the question is, did we
10 address all the petitioner issues.

11 We had originally in our June 18th,
12 2009 report -- there were 11 findings that we
13 had, and we went ahead and identified from the
14 petition, we found the petitioners had nine
15 distinct issues. The reason I say distinct
16 issues that we looked at that there were a lot
17 of different issues. There was a lot of
18 repetition, overlap. But we came up with nine
19 petitioner issues.

20 So we had reported all of that. We
21 had 11 findings, nine petitioner issues. So
22 the question is was everything covered. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this report that Gen had mentioned that we put
2 out on September 21st, the addendum, basically
3 didn't supply any new information. It just
4 produced, basically, a table that correlates
5 the -- our 11 findings to the nine petitioner
6 issues, and we concluded that our big June
7 18th report addressed all the petitioner
8 issues that we could identify.

9 And the table that is attached to
10 our addendum basically just makes a
11 correlation between the issues and the
12 findings.

13 CHAIR ROESSLER: Okay. Ted, I
14 should ask your advice. Since Antoinette's on
15 the phone, we'll probably want to give her a
16 chance to talk. At what point should we put
17 that on the agenda?

18 MR. KATZ: I mean, I think it would
19 be most advantageous for her -- I mean,
20 Antoinette, you can speak up if you have a
21 preference. But I would think she would like
22 to hear all the presentations first before she

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would address us.

2 Antoinette, do you have a different
3 wish?

4 MS. BONSIGNORE: No, that's -- I
5 would like to just sort of make a general
6 statement before we get started if that would
7 be all right.

8 MR. KATZ: Oh, that's quite all
9 right.

10 MS. BONSIGNORE: Okay. I received
11 a copy of Mr. Crawford's report via email last
12 week. And I would -- after reviewing the
13 report, a recurring issue keeps coming up for
14 myself and for the workers that I represent.
15 And that is, what specific steps will OCAS
16 take at this point to provide the Linde
17 petitioners with a clear and easily understood
18 translation of these documents that they are
19 providing, either from the Evaluation Report
20 or in response to SC&A's review of the
21 Evaluation Report?

22 That's an issue that is a serious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 problem for not only myself, but for my
2 ability to answer questions for the people
3 that I represent. And they are very concerned
4 that all of these documents that are going
5 between OCAS and the Working Group and OCAS
6 and SC&A are not provided in a manner that
7 they can understand.

8 And I think that -- it is my belief
9 that OCAS has a responsibility here to provide
10 a translation of these documents for the
11 petitioners so they can understand that
12 because they feel that they are being
13 marginalized in the technical aspect of the
14 evaluation process. And it's really the
15 technical review of this evaluation process
16 that, in the end, will be dispositive of the
17 outcome of this petition.

18 And I'd like to hear at some point
19 during the meeting a response from OCAS and a
20 response from the Board members on the Working
21 Group as to what steps can be taken at this
22 point to provide this kind of information to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Linde petitioners.

2 CHAIR ROESSLER: I will make a
3 comment on that, Antoinette.

4 Thank you. I understand what
5 you're saying. I guess you have to understand
6 the documents are prepared for the Board and
7 for SC&A as far as to discuss this on a
8 scientific level. So they are a little long
9 and probably not intended for a typical
10 petitioner.

11 But I will commit at the end of
12 this meeting to work with SC&A and NIOSH to
13 put something, a statement together to try and
14 summarize it as I think you've asked.

15 MS. BONSIGNORE: I'm sorry. Who's
16 speaking?

17 CHAIR ROESSLER: This is Gen.

18 MS. BONSIGNORE: Okay. The -- you
19 know, and just one other point that I would
20 like to make and I've made this point before
21 in a number of Board meetings and to Mike in
22 an email a few days ago, regarding ORAU's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contractual obligation to provide this type of
2 technical assistance, and what that
3 contractual obligation is and whether ORAU is
4 fulfilling that obligation.

5 MR. KATZ: Can I just add -- and
6 don't know, Jim, if you want to add anything
7 from OCAS's perspective but --

8 DR. NETON: I really don't have
9 much else to add. I mean we certainly would
10 work with Gen if she wants to prepare a more
11 laypersons-understandable version of what
12 we're talking about although in relation to
13 ORAU's contractual responsibility, I think
14 there were some responsibilities in relation
15 to specific communications with claimants and
16 petitioners. But I guess this sort of
17 technical exchange at the Working Group level
18 kind of falls through the cracks, I guess.

19 I think you're right. It's not
20 really been identified as something that they
21 contractually need to do.

22 MS. BONSIGNORE: Just one more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point I'd like to make about that specific
2 contractual obligation in ORAU's contract with
3 NIOSH is that directly in the contract it says
4 that ORAU is responsible to provide a
5 narrative for their decisions about the
6 feasibility of dose reconstruction in language
7 that is understandable to persons with a high
8 school education.

9 Now I understand that that specific
10 part of the contract relates specifically to
11 the dose reconstruction reports in individual
12 claimant's evaluations.

13 DR. NETON: Right.

14 MS. BONSIGNORE: But since the
15 evaluation process in the SEC petition
16 involves decisions about the feasibility of
17 being able to provide dose reconstruction for
18 the class of petitioners, I think this is a
19 serious problem, and I think it needs to be
20 addressed.

21 MEMBER GIBSON: Ted, this is Mike.

22 MR. KATZ: Hi, Mike.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER GIBSON: I totally support
2 what Antoinette is saying. I think this falls
3 squarely in the bounds of the Worker Outreach
4 Work Group. And I would like to just, you
5 know, go on record that we need, as a Work
6 Group, my Work Group, to take this up and look
7 into that.

8 MR. KATZ: Okay. And I would say,
9 Mike, that it is really covered, in a sense,
10 under the objectives of the framework that the
11 Work Group has put together so far.

12 MEMBER GIBSON: Right, right. I
13 agree with that.

14 MR. KATZ: Yes.

15 MEMBER GIBSON: I just, you know,
16 that is an item that will be on our agenda.

17 MR. KATZ: The only other thing
18 that I would note, Antoinette, which has its
19 limitations, to be sure, but there -- I mean
20 OCAS does employ a group of public health
21 advisors that are -- their jobs are to work
22 with claimants and then an SEC advisor, Laurie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Breyer, to work with petitioners, to help with
2 this translation.

3 And Denise Brock, who is employed
4 independently of OCAS as the ombudsman, also
5 does a lot of work to try to accomplish this
6 kind of translation as well as to guide people
7 through procedures. And she certainly, in
8 both groups, whether it is the OCAS stable of
9 public health advisors, or Denise Brock, I
10 mean they have provided a lot of help to an
11 awful lot of people under this program right
12 along the lines that you are discussing.

13 And I'll be the first to admit that
14 they are all limited. I mean they all have
15 their limitations in terms of the technical
16 information they can bring to bear. But, for
17 example, the OCAS group, I mean when they need
18 to, they bring a health physicist to the table
19 to discuss matters when they are not
20 understood.

21 How well that's done is an
22 independent question. But there are some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resources that have been at work throughout
2 this program to try to help with this
3 translational issue that you're talking about.

4 MS. BONSIGNORE: I'm sorry. Who
5 was just speaking?

6 MR. KATZ: I'm sorry. This is Ted
7 speaking, Ted Katz.

8 MS. BONSIGNORE: Okay. Sorry, Ted.
9 It's just difficult for me to discern who is
10 talking.

11 MR. KATZ: No, I'm sorry. I didn't
12 identify myself. I apologize.

13 MS. BONSIGNORE: That's okay. I
14 understand that there are certain people who
15 are assigned to deal with some of these
16 issues. But, again, the help that I've been
17 able to get in terms of, you know, this -- in
18 particular this report that Mr. Crawford just
19 provided, quite frankly, it might as well be
20 written in a foreign language for the workers
21 that I represent.

22 They don't understand it. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't understand what's in this document.
2 It's difficult for me to help them understand
3 what's in this document. And in the end, it's
4 their rights here that are at issue.

5 And, you know, I understand that
6 the work here that's going on is, you know,
7 the work is between SC&A and OCAS, and the
8 reports that go back and forth, but you need
9 to keep in mind that your audience is also the
10 petitioners. And they have a right to
11 understand what's in this document.

12 MR. KATZ: I agree with that. And
13 I just -- as Jim noted, I mean there are
14 limitations in what you can do in these
15 scientific reports to simplify them in a way
16 that will both serve the scientific and
17 technical staff that have to grapple with
18 those issues and the public that would like to
19 understand, you know, the issues at play. I
20 mean there are some just basic limitations to
21 that.

22 And that's why I just -- again, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 encourage you to make use of the health
2 physicists on staff at OCAS, for example, who
3 work with these public health advisors to get
4 that sort of one-on-one sort of guidance as to
5 what does this mean, what does that mean,
6 because some of it, I think, probably cannot
7 be done in written documents in a sort of
8 fulfilling, thorough way. But, you know,
9 someone could help guide you through some of
10 those issues if you, you know, avail yourself
11 of them.

12 CHAIR ROESSLER: Antoinette --

13 MS. BONSIGNORE: Well, I have
14 availed myself of assistance from Denise Brock
15 but she, quite frankly, has quite a bit of
16 work to do. And, again, you know, I hate to
17 sound like a broken record here, but I have to
18 point to ORAU's contractual obligation here.
19 And, you know, the language clearly states
20 understandable to persons with a high school
21 education.

22 Now that's in the contract. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, there has to be some resolution of
2 what the contract says and what is being
3 provided here, and the two do not meet.

4 CHAIR ROESSLER: Antoinette, this
5 is Gen. In a minute here, we're going to have
6 Chris's report. And as we are going through
7 it, I will be thinking in terms of what you
8 just brought up.

9 And what I typically do is once we
10 finish a Work Group meeting is put together a
11 very short report that I will be giving at the
12 Board meeting in February. I think that will
13 probably be at least a start to answering your
14 question.

15 I will try to summarize, you know,
16 very briefly, succinctly what Chris presented,
17 whether we have any outstanding issues and so
18 on. So let's try that as a first approach and
19 see if that will help.

20 MS. BONSIGNORE: Okay. Thank you,
21 Gen.

22 DR. MAURO: Antoinette and Mike,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this is John Mauro. Can you guys hear me
2 okay?

3 MS. BONSIGNORE: Yes.

4 DR. MAURO: I'd just like to point
5 out, coincidentally, we, SC&A, have been
6 directed by Mike's Work Group on Outreach to
7 review what's called PR-12. It is an outreach
8 procedure that is currently being used. And
9 we're looking at various aspects of it.

10 But I do not believe part of the
11 scope was to look into matters such as the one
12 you are describing right now. I believe, if
13 we're so directed to at least say something
14 about that, we could certainly raise it as an
15 issue that could be brought before the Work
16 Group as perhaps this is a subject area that
17 should be included in Outreach.

18 I'm watching folks shaking their
19 heads around the table.

20 MS. HOWELL: I don't know that it
21 is SC&A's role to try and evaluate ORAU's
22 contract and whether it is being legally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fulfilled.

2 DR. MAURO: Oh, no, I wasn't saying
3 that. I was just simply saying we're looking
4 at it from -- our mission under PR-12 as
5 Outreach's, it really comes down to the
6 effectiveness -- bringing to the table, to the
7 Work Group meeting some observations regarding
8 the effectiveness of NIOSH in communicating to
9 and receiving information from the interested
10 parties.

11 And now it seems to me that this
12 might fall within the first category
13 interpreted in its broadest sense. It's
14 really -- but anyway, certainly I would say
15 this is certainly a fair subject for the
16 Outreach Work Group to entertain.

17 DR. NETON: Yes, this is Jim Neton.
18 I totally agree with John on that. But I
19 think we need to look at the context under
20 which these Working Group discussions occur.
21 They are very detailed, technical exchanges,
22 evaluations of what was in our Evaluation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Report, which is really our communication
2 vehicle to the petitioners.

3 And at the end of the day, what
4 would happen after these discussions are done
5 is we would revise, as appropriate, the
6 Evaluation Report, at which point that would
7 be, hopefully, in more understandable language
8 to a layperson. And at that time, the
9 Advisory Board would take up the Evaluation
10 Report again in a full public discussion where
11 the petitioners would be afforded their
12 opportunities to comment.

13 So, you know, in some ways these
14 technical discussions almost have to be that
15 way to get to the end of the line on the
16 technical issues. But I understand completely
17 what you're saying.

18 MS. BONSIGNORE: Well, this is
19 Antoinette again. The Evaluation Report is in
20 the same vein as this current document that
21 we're about to discuss. It, again, is not in
22 a form that is easily understood by workers or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 petitioners.

2 DR. NETON: Okay.

3 MS. BONSIGNORE: So, you know, I
4 think, again, we're dealing with the very same
5 problem. The Evaluation Report is not in a
6 form that is easily understood. None of these
7 documents are in a form that is easily
8 understood, and that is a problem.

9 DR. NETON: Well, and I think that
10 would be a big issue if the Evaluation Report
11 is not understandable. We would certainly
12 need to take efforts to make that a more
13 reasonably understandable document because
14 that essentially is a report that the
15 petitioners would rely on for their source of
16 information.

17 MS. BONSIGNORE: And I think it is
18 important that that kind of information be
19 provided in advance of a full presentation to
20 the entire Board because, you know, it is all
21 well and good for the Board -- for the full
22 Board to discuss what is in an Evaluation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Report. But if the petitioners have not been
2 provided with an -- effectively translation of
3 that Evaluation Report, we cannot be full
4 participants in that discussion. And we
5 deserve to be full participants in that
6 discussion.

7 CHAIR ROESSLER: Antoinette, this
8 is Gen. I suggest that we delve into Chris's
9 report at this point and then after -- and I'm
10 sure that he's going to clarify things not
11 only for you but for the Work Group. That's
12 the whole purpose of the meeting today. So I
13 suggest we get started with it. And we'll
14 work this through as we go.

15 MS. BONSIGNORE: Okay. Thank you.

16 CHAIR ROESSLER: Thanks,
17 Antoinette.

18 So at our last meeting, OCAS was
19 given several assignments. The first one was
20 to -- and I'm going to read this out of
21 Chris's report actually -- provide a table
22 consolidating the information currently found

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in Tables 5-1 and 5-3 of the Linde Ceramics
2 SEC Evaluation Report, and he has done this.

3 He provided all of us with a very
4 nice color table. It is a matrix of dates on
5 the x-axis versus buildings on the y-axis.
6 And because it is in color and because it is
7 very large, it took us a little effort for us
8 all to get it through email. But for those of
9 you who have that, you can be looking at that.

10 And then the other objective was to
11 really break down the items that SC&A had
12 brought up. Basically the bottom line is can
13 a plausible upper bound be put on exposures
14 during the Linde residual period. And Chris
15 has grouped some of these into, I think, three
16 different categories. And we'll turn it over
17 to you to review it for us.

18 MR. CRAWFORD: Great. This is
19 Chris Crawford from NIOSH. And I agree, to
20 the layman, this would be a very confused
21 report. But I think -- confusing, I should
22 say, but I think you have to keep in mind,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again, this is experts talking to experts. It
2 was never intended to be a document that was
3 to be understood by the layperson. So forgive
4 us for that. But the eventual result of all
5 this should be a document that is, in fact,
6 understandable by the layperson.

7 We looked at several issues that
8 seemed to be the main issues for the
9 Evaluation Report and for SEC-107, a residual
10 period SEC. The first of those was the radon
11 in the building, and for Antoinette and anyone
12 else's benefit who may be listening, radon is
13 a radioactive gas that is given off. It is a
14 natural substance that is given off from the
15 decay of uranium.

16 It is found in many buildings
17 throughout the United States. It isn't -- in
18 other words, it doesn't only come from atomic
19 weapons production or people that handle
20 uranium. It's found in the soils, it
21 percolates into people's basements and that
22 sort of thing. So that's the gas that we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talking about when we say radon.

2 Our position, given the evidence
3 that we have, is we only have a single radon
4 measurement during the residual period. Well,
5 we actually have -- sorry, we discarded one.
6 We have some 1976 measurements which we
7 discarded because they were low. In other
8 words, it was claimant-favorable for us to
9 discard these measurements.

10 And we had some 1981/82
11 measurements of several buildings on site.
12 Those readings were much higher. And those
13 are the readings that we are using to estimate
14 the radon for the entire residual period.

15 The main question was how can we
16 take a single reading -- it's a series of
17 readings but a single time in 1982 and say
18 that those readings are representative of the
19 entire history of the building from 1954
20 through to 2006.

21 Well, the answer to that is we
22 believe that -- and to review a little bit,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the building was decontaminated -- buildings
2 were decontaminated in the early '50s. What
3 that means is the process equipment that was
4 used to handle the uranium ores and oxides was
5 removed. The source -- what we call a source
6 material, source term, in other words the
7 uranium -- either the oxides, the ores,
8 whatever, the residues, was all removed also
9 at that time.

10 Then the building itself was
11 thoroughly -- value term, we won't use that --
12 was decontaminated according to the standards
13 of the time. That means it was physically
14 chipped and sandblasted, the concrete of the
15 building, the walls, and the floors. It was
16 washed. It was vacuumed. It was -- I think
17 it is called scarfed. It was flame-torched to
18 further chip the concrete. All of the
19 material that was removed, the chips of
20 concrete and the dust and so forth was then
21 taken away and buried.

22 So now we're left with a building

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was turned over for unrestricted use to -
2 - the owner at that time was Linde Ceramics --
3 and a question is was there something that
4 would produce a public-health hazard left in
5 the building as a result of the atomic weapons
6 work that was done in the `40s.

7 We concede that there was some
8 contamination left in the building. We can
9 see that from the reports that were left to us
10 from 1950 after the decontamination. There
11 were definitely what we call areas of fixed
12 contamination in the building where uranium of
13 some sort had been physically embedded in the
14 concrete of the walls or ceilings. There was
15 also some dust in various places. You can't
16 get all of that so there is some residual dust
17 remaining, as well.

18 Then the question for the SEC
19 Petition itself is okay, we have a
20 contaminated building, less than when it was
21 an operational building but still with some
22 contamination. Can we put an upper bound on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how contaminated the building is and how much
2 dose a worker in that building could possibly
3 have received?

4 Our finding is yes in each case.
5 We'll start with radon. The reason we think
6 that the radon measurements in 82 should be
7 bounding is that the building was not used
8 after 1950 really for -- I'm talking about
9 Building 30, which is considered the most
10 contaminated building. There are other
11 buildings present as well. We always tend to
12 place workers in Building 30, again, as a
13 claimant-favorable assumption. So I'll talk
14 about Building 30.

15 Since there was no what we call
16 source term, no new uranium entered the
17 building to our knowledge, certainly it had
18 nothing to do with any atomic weapons program,
19 there is no reason to think that the radon
20 concentration should have changed over time.
21 We have some measurements during the process
22 period. In the TBD, you'll see that those are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ten picocuries per liter as the accepted
2 standard during the third step of the
3 production period. That would be from late
4 `47 through the end of `49.

5 That amounts to -- there's a lot of
6 different -- sorry, Antoinette, again, for
7 your benefit, there are a lot of different
8 ways to speak about exposures, exposure
9 levels. For radon we use something called a
10 working level-month, which I won't go into in
11 any great detail, but ten picocuries per liter
12 of radon in the air corresponds to .48 working
13 level-months per year for worker exposure,
14 just to put that in some perspective.

15 The measurements that were taken in
16 1982 show less radon in the building at that
17 time than that. In fact, the first part of
18 the report that we sent out -- and the author
19 is on the line luckily, Mutty Sharfi, shows
20 that -- basically I did a little -- he showed
21 we had .0168 working levels in the building
22 based on those readings. I did a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 calculation and with a 2,000-hour year, it
2 comes out to about .198 working level-months
3 per year, which is a little less than half of
4 the last production period.

5 To interpret that, let's look at it
6 another way. You would expect the levels to
7 be much higher during the production period.
8 You had literally tons of uranium on the site.

9 You had the contaminated machinery still on
10 the site, and the building had not been
11 decontaminated in any way. So it's not
12 surprising that the 1982 levels would be less
13 than say the 1949 levels.

14 DR. MAURO: Chris, just from when I
15 listen to these numbers, any chance when you
16 give us your working level-months or working
17 level-months per year for my own benefit,
18 could you also say what that would translate
19 to equivalent to picocuries per liter? Do you
20 have that one to one? Or if you don't --

21 MR. CRAWFORD: No, it's about 4.2
22 in this case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: So you are saying that
2 4.2 working level-months --

3 MR. CRAWFORD: No, no, no,
4 picocuries per liter is what it translates to.

5 DR. MAURO: Okay, 4.2 picocuries
6 per liter corresponds to what?

7 MR. CRAWFORD: To about .198
8 working level-months per year. Now these are
9 my off-the-cuff calculations.

10 DR. MAURO: Yes.

11 MR. CRAWFORD: Mutty may want to
12 check these. And I think they should be
13 checked.

14 CHAIR ROESSLER: And that was
15 during what period?

16 MR. CRAWFORD: Well, we're saying
17 for the entire residual period based on the
18 1982 measurements.

19 DR. MAURO: But you also mentioned
20 some numbers that were in the earlier years
21 during operations.

22 MR. CRAWFORD: The ten picocuries

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 per liter?

2 DR. MAURO: Yes, good. Okay. So
3 what we're saying is that you were seeing --
4 so it's clean for me -- you were seeing ten
5 during operations. Later on -- which is great
6 that way, I guess back in the `40s --

7 MR. CRAWFORD: In the late `40s.

8 DR. MAURO: -- the `40s and now in
9 1982, you were seeing half that value.

10 MR. CRAWFORD: Right.

11 DR. MAURO: Okay. Great.

12 MR. CRAWFORD: That's essentially
13 right. I also should say again, I think for
14 Antoinette's benefit at least, that the 1982
15 survey also looked at background levels of
16 radon in the Tonawanda area and found that they
17 were -- they approached the levels that were
18 actually measured in Building 30 and 31. In
19 other words, the added radon compared to what,
20 say, any warehouse worker any place in the
21 Buffalo area would get is small.

22 We're not taking that into account.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We're not subtracting the background level
2 from the measured radon level in Buildings 30
3 and 31. So that's a claimant-favorable
4 assumption also.

5 CHAIR ROESSLER: So you're saying
6 that the background levels that would be
7 experienced in buildings that were not
8 associated with Linde would be on the order of
9 a few picocuries per liter --

10 MR. CRAWFORD: Yes.

11 CHAIR ROESSLER: -- during that
12 time period.

13 DR. MAURO: It might be helpful --
14 the EPA's recommended standard for natural
15 levels of radon in anyone's home, your home,
16 is four picocuries per liter. We like to stay
17 below that. I know in my house right now, my
18 basement, and I work there all the time, is
19 two picocuries per liter. So just to get a
20 feel, just so you have a, you know --

21 MS. BONSIGNORE: I just have a
22 quick question, Chris. Didn't Building 31

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have the highest radon levels, not Building
2 30?

3 MR. CRAWFORD: For radon, you may
4 be correct.

5 MS. BONSIGNORE: I believe I am
6 correct, yes.

7 MR. CRAWFORD: Yes. I believe that
8 the author did take that into account. I'm
9 speaking of Building 30 mainly because it is
10 the most externally contaminated. But that's
11 correct. The difference, however, was not
12 great between the buildings. They were very
13 similar.

14 And also, just informationally, as
15 you'll see on page two of our latest report,
16 the background levels were .01 working level.

17 Whereas the calculated radon concentration
18 was .0168. So it's not a whole lot more.
19 Well, it's nearly twice -- 68 percent more,
20 right?

21 DR. MAURO: Sorry, one more
22 question. The ten picocurie per liter number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was observed, is that a single value?
2 Was that a long-term value that was collected?
3 Multiple values taken at different locations
4 because I know -- radon is -- gaseous
5 radionuclides that could change substantially
6 over place and time. So I'd like to get a
7 feel for whether this tends to sort of
8 captures the sense of the aerial and time
9 variability of radon.

10 MR. CRAWFORD: Well, first of all,
11 that was taken directly from the TBD.

12 DR. MAURO: Right.

13 MR. CRAWFORD: Now the source of
14 that data I'm not too clear on. Mutty, have
15 you looked into this at all, may I ask?

16 MR. SHARFI: Now I'm trying to
17 remember where they're from. I didn't think
18 that that -- I thought that was a bounding
19 estimate. But let me look at it, and I'll get
20 right back with you.

21 DR. NETON: Yes. I think it is in
22 the site profile or TBD. In the latter period

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of production when they weren't processing
2 pitchblende ore, the Belgian Congo type ore
3 where the lower source concentration of radium
4 -- I think the ten picocuries per liter was
5 bounding. And I think that was actually
6 measured in areas outdoors or something like
7 that.

8 Mutty will have to look into that.
9 But that was a value that was in the site
10 profile that has been reviewed. And we all
11 agreed that those values were appropriate for
12 dose reconstruction purposes. At least at
13 that time they were.

14 MR. CRAWFORD: That would have been
15 with respect to the SEC petition. I'm using
16 that figure mainly as a contrast.

17 DR. NETON: For a reference like if
18 it was ten picocuries per liter during
19 production and we're saying it is about half
20 that now after they cleaned extensively --
21 since they cleaned up the site -- it appears
22 to us to be a fairly reasonable value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: And I recall having
2 these discussions before. I'm just really
3 refreshing my memory.

4 All I know is when I see ten
5 picocuries per liter, that's a very low
6 number. And, you know, I hear that is
7 associated with some operations. Even if it
8 is post-operations operations, it is still a
9 pretty low number. And I just wanted to get a
10 feel for where it comes from.

11 DR. NETON: Right. And I think --
12 did you mention that, Chris, about the
13 comparison of the radiological survey data? I
14 mean that's sort of one of the points of this
15 write-up was that was the amount of
16 contamination constant over that entire time
17 period.

18 And Table 1 in the report attempts
19 to demonstrate that. The radiological survey,
20 at least the gamma surveys are very similar in
21 1981 and in 1954. So there is no indication
22 of some influx of contamination that may have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 altered the amount of radium available to be
2 generating right out of the cask.

3 MR. CRAWFORD: Exactly. That was
4 another reason that we suspected -- we have
5 every reason to believe that the radon
6 concentration is reasonably representative
7 from 1982.

8 MS. BONSIGNORE: I would just like
9 to know -- I have two questions about the
10 radon issue. And I would just like to know
11 when would be an appropriate time for me to
12 ask them.

13 MR. CRAWFORD: I don't see why you
14 shouldn't ask them now.

15 MS. BONSIGNORE: Okay. My first
16 question is you are basing the radon data from
17 direct gamma readings in Building 30. And so
18 you have two different gamma readings
19 beginning in 1978. Is that correct?

20 MR. CRAWFORD: Not really,
21 Antoinette. What we're basing the radon on is
22 specific readings taken in 1982 of radon, not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the gamma in the building. The gamma --
2 external gamma that we're measuring in a
3 building, we're just using that information to
4 show that it didn't change much between 1950
5 and 1982 or later.

6 The reason that's important is it
7 is an indirect measure of how much embedded
8 uranium and uranium progeny were in the
9 building. And that's what produces, in the
10 end, the radon. It's just a check on the
11 other hand, on our assumption that the radon
12 levels were probably stable through the
13 history of the building after 1954.

14 MS. BONSIGNORE: Well, I guess what
15 is confusing me is that if you are basing this
16 on the 1982 data, how do you account for the
17 fact that you don't have any data between 1954
18 and -- you are not relying on any data from
19 1954 through 1982, particularly because you
20 don't have any information about ventilation
21 rates, mainly the number of room changes per
22 hour due to ventilation fans and open doors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the number of volume changes per hour and
2 how those parameters would effect the radon
3 equilibrium values.

4 MR. CRAWFORD: You are pointing
5 out, which is true and it is what John was
6 just saying also, taking radon measurements at
7 different times of the day or the year or
8 under different ventilation conditions can
9 give you quite different results.

10 MS. BONSIGNORE: Right. Well,
11 that's my point. I mean if you were taking --
12 if you were relying on -- you have radon
13 measurements during the processing period.
14 Now I would assume that during the processing
15 period there would have been some significant
16 level of ventilation going on in the facility
17 at the time, whereas the data that you are
18 relying on from 1982 or any data from after
19 1954 when there wasn't actually any processing
20 going on, the ventilation rate would have been
21 much -- would have been not as significant
22 because there would have been no -- presumably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there would have been no reason to have
2 significant ventilation in that building.

3 MR. CRAWFORD: You're making --

4 MS. BONSIGNORE: How do you account
5 for that?

6 MR. CRAWFORD: -- you're making a
7 lot of assumptions there. This building was
8 an active building. It was a warehouse and it
9 was owned by a chemical company basically.
10 That's what Linde Air, Linde Ceramics is and
11 was.

12 Well, we can't obviously know what
13 the ventilation conditions were on a day-by-
14 day or even year-by-year basis. There is no
15 reason to think they changed either.

16 DR. NETON: But I think this 1981
17 measure, was it not taken when the building
18 was fairly inactive? I mean it was -- they
19 certainly weren't producing uranium or
20 anything like that where they would have had a
21 need to have a high ventilation rate.

22 MS. BONSIGNORE: Right. That is my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point. There may have been chemical
2 processing of some sort going on. But with
3 respect to the issue of radon, the
4 significance would be uranium processing. And
5 that was not going on.

6 DR. NETON: Right. But I mean -- I
7 don't know what was actually transpiring in
8 this building in 1981. I mean that's sort of
9 the question, I guess. I don't really know
10 that.

11 MR. SHARFI: This is Mutty Sharfi.
12 The lower ventilation rate would actually
13 just result in higher radon levels.

14 DR. NETON: Right.

15 MR. SHARFI: The air turnover rate
16 reduces your radon levels, not increases it.
17 So the fact that the building would have been
18 less -- the ventilation would have been
19 reduced would have only increased your radon
20 levels. That would only make these numbers
21 more maximizing than less conservative.

22 In addition, they do have multiple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- during these various years, they do have
2 multiple readings during multiple times in
3 multiple areas. And we did take the highest
4 of all those readings. We didn't really do a
5 statistical average of the area. We took the
6 upper bound of those numbers. So we're taking
7 the upper bound of a non-turning-over, really,
8 room.

9 MS. BONSIGNORE: I understand that.

10 But my point is that from 1954 through 1981,
11 and you're relying on this 1981 data, that
12 between those two periods, you don't have
13 information on radon. You don't have any
14 information on the ventilation rate in that
15 building or in Building 31. So this to me
16 seems problematic.

17 MEMBER LOCKEY: Chris, this is Dr.
18 Lockey, Chris, in '76, you threw that level
19 out, right, because it was low?

20 MR. CRAWFORD: By almost a order of
21 magnitude as I recall.

22 MEMBER LOCKEY: So in '76, we do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have data. It was a low value so perhaps the
2 ventilation was higher in '76 which would
3 account for the lower value.

4 MR. CRAWFORD: In terms of readings
5 in the summer, for instance, the building was
6 probably open. If you took them in February
7 in Buffalo, I can assure you that all the
8 windows and doors would be closed.

9 MEMBER LOCKEY: Okay.

10 MR. CRAWFORD: So that could
11 account for it right there. We're not sure.
12 But we decided to throw out the low values
13 because they weren't claimant-favorable
14 basically.

15 MEMBER LOCKEY: Okay, so --

16 DR. MAURO: Typically, I've been
17 looking at radon levels for a long time in
18 lots of buildings. Typically between the
19 summer and winter, there is an approximately
20 three-fold difference. Is that the kind of
21 differences you are seeing?

22 MR. CRAWFORD: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LOCKEY: That's what I was
2 thinking, that the `76 values were low. It
3 probably was -- the building was more
4 ventilated at that point. And the `82 values
5 were higher, and the building was probably
6 less ventilated at that point. That's what
7 the data source shows.

8 MS. BONSIGNORE: Well, it just
9 seems like we're making a lot of assumptions
10 here about the ventilation rates when you have
11 absolutely no data about that.

12 MEMBER LOCKEY: Well, the
13 assumption was made but, I think, based on
14 what the data they do have, the assumption was
15 to make it most claimant-favorable by taking
16 the highest values when assumingly the
17 building was less ventilated. So at least of
18 the values that were available, they took the
19 value that was the highest with the assumption
20 that that might have been the lowest
21 ventilation rate at that time.

22 MS. BONSIGNORE: I understand why

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're not using the 1976 data. But, again,
2 my point is is that particularly in Building
3 30 during the 1960s, and this is a point that
4 I'll expand on a little bit further when Chris
5 gets to this section of the report, but during
6 the 1960s, there was a lot of renovation work
7 that was going on in Building 30 that I don't
8 believe has been accounted for here that I
9 provided workers' statements regarding what
10 was actually going on on the floor,
11 particularly in Building 30, but also in
12 Building 14, that I don't believe has been
13 accounted for here, including such things as a
14 jackhammering of concrete floors when they
15 were moving heavy pieces of equipment.

16 And I have a lot of documentation
17 from workers' statements that speak to that.
18 And that hasn't been taken into account
19 either. So my point being that during the
20 1960s, the levels of radon could have been
21 much higher as a result of that kind of
22 renovation work. And the fact that you don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have any data from that time period and you
2 don't have any data about the ventilation rate
3 during that time period, I think is a serious
4 problem here.

5 MR. CRAWFORD: It's not at all
6 clear to me, Antoinette, that the renovations
7 would have increased the radon level. For
8 airborne contaminants like particles of
9 uranium and other radioactive particles that
10 would be true. And we have allowed for that.

11 For the radon, I don't see any
12 mechanism to increase the radon concentration
13 just because you're doing a building
14 renovation.

15 MS. BONSIGNORE: Well, but you're -
16 - this report is clearly not considering the
17 fact that -- you are only considering, I
18 believe it said, vacuum cleaning as the
19 parameter for the renovation work. That
20 wasn't the only thing that was going on during
21 that time period.

22 And I provided a lot of statements

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from workers. And I have actually a lot of
2 additional statements from workers testifying
3 to that fact.

4 MR. CRAWFORD: The reason we chose
5 vacuuming is that -- well, we have to look at
6 the difference between the decontamination
7 effort and a remodeling effort. The
8 decontamination effort deliberately set out to
9 disturb the existing contamination. They went
10 to where the contamination was greatest and
11 they chipped it, burned it, sandblasted it,
12 and vacuumed it. Okay?

13 In a renovation, most of that
14 building wasn't heavily contaminated as you
15 can see from the 1950 survey. It was
16 concentrated in areas. And that's where the
17 decontamination efforts were concentrated.

18 Contrast that with, say,
19 renovations that happened in the '60s where in
20 some sort of chance basis, they would dig a
21 hole in the concrete to put in a post or
22 something. The only document we actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have, by the way, from the '60s, and I don't
2 mean to say there weren't other renovations,
3 was a Building 30 addition that was added to
4 the side of the building.

5 So they weren't trying to loosen up
6 every bit of contamination in the building.
7 We can expect that their efforts resulted in
8 less, much less contamination than the
9 original decontamination efforts.

10 MS. BONSIGNORE: Well, with all due
11 respect to whatever document you are referring
12 to about Building 30, I have workers'
13 statements, people who were working in that
14 building during the time that that renovation
15 work was going on during the 1960s, testifying
16 to a great deal of work that was being done
17 that created huge amounts of dust and huge
18 amounts of contamination, people talking about
19 dust falling from the rafters, about huge
20 pieces of equipment that were moved around
21 that building that required jackhammering of
22 the floors, continual jackhammering of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 floors for years at a time while this
2 renovation work was going on.

3 And that has not been accounted
4 for. And I've provided workers' statements
5 here testifying to that fact. And I have
6 additional statements that I have received
7 ever since -- because when I read the report
8 and I saw that you were only considering the
9 vacuum cleaning as a parameter there for the
10 renovation work, I felt the need to get some
11 additional documentation from workers who were
12 actually there.

13 CHAIR ROESSLER: Well, I think what
14 we're doing here is this is all interrelated.

15 But we have certainly gone into a later part
16 of Chris's report when we talk about the
17 remodeling. That comes a little bit later on.

18 I wonder if maybe we could hold
19 that part and finish the radon. And, again, I
20 agree they are interrelated. But I think
21 maybe we should finish the radon part of it.

22 MS. BONSIGNORE: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: And essentially I
2 think we have finished the radon part as far
3 as we can go at this point.

4 CHAIR ROESSLER: Why don't you just
5 summarize then. What -- give us -- I guess
6 from my point of view in terms of the numbers,
7 the upper bounds you are using. And then
8 comparing that to some of these other numbers.

9 MR. CRAWFORD: Right. We are using
10 -- well, as you will see in the report, we're
11 using a calculated radon concentration of
12 .0168 working levels.

13 CHAIR ROESSLER: And as John said,
14 can you put that into the picocuries per
15 liter?

16 MR. CRAWFORD: My calculation --
17 and I want to stress mine -- is 4.2 picocuries
18 per liter.

19 CHAIR ROESSLER: So you are using
20 that for the upper bound?

21 MR. CRAWFORD: Right.

22 CHAIR ROESSLER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I would like to add one
2 thing. The areas that is important, I always
3 think in terms of OTIB-0070, which is one of
4 my favorites. This is the OTIB that says
5 you've got a big time span when a facility was
6 operating. And it stopped operations. And
7 this happens over and over again.

8 And all of a sudden you have -- we
9 have lots of measurements are taken during
10 operations, maybe during D&D, at the end of
11 operations are confirmed that you cleaned
12 everything up pretty good. And this usually
13 takes place, let's say, back in the `50s.

14 And then very often, this happens
15 over and over again, the next time you revisit
16 the site is when the FUSRAP program kicks in
17 in the `70s and the `80s. And you very often
18 have a 30-year period between these two time
19 periods.

20 Now the thing that I always like to
21 look at is okay, what were the, in this case,
22 radon concentrations in the air at the earlier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 days, let's say. And what I'm hearing is the
2 radon levels that were observed at some time
3 in the earlier days under the certain context
4 -- I'm not quite sure what -- about ten
5 picocuries per liter.

6 Let's, for the sake of discussion,
7 assume that that is a pretty good number
8 representing the state of affairs of radon
9 levels in the late 1940s --

10 CHAIR ROESSLER: During operations.

11 DR. MAURO: Well, let's say either
12 during or immediately after operations.
13 Perhaps even after some D&D. Sort of like at
14 the beginning of when all this -- at around
15 that time. I find ten picocuries per liter to
16 be a low number to be associated with
17 operations, certainly if they were handling
18 ore. But let's -- so let's assume -- but that
19 represents a good starting point.

20 And so when I hear that number, I'm
21 very assured, and I will explain. If the next
22 step is 30 years later, you take a bunch of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measurements, you take the high-end
2 measurements and they are around 40, which
3 means that the facility was relatively clean
4 in the early days and it got a little bit
5 cleaner later.

6 So we're going from ten down to
7 four over a 50-year period. So my -- I guess
8 from everything I heard, you know, and I've
9 been talking to Steve about this, you know the
10 four number certainly looks like a really good
11 number for the back end of the process, when
12 you get to the 1980s, if not conservative,
13 especially since you left background in, which
14 could easily account for all of it, you know,
15 could actually account for all of it or half
16 of it.

17 But the front end, the ten is the
18 place where I guess I heard a little softness.

19 I'm not quite sure what that ten is. And if
20 I heard a little bit more about what the ten
21 is and a sense of assurance that no, that's a
22 pretty good representation of what was in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 air, you know, just, you know, at the end of
2 operations, back in the late `40s, early `50s,
3 then my sense is that this does represent --
4 the numbers you selected certainly are
5 reasonably bounding. I mean I guess that's --
6 so the ten is the only place right now where
7 I'm a little like this about, you know, what
8 that number is.

9 DR. NETON: My impression is the
10 ten was taken during production prior to any
11 decontamination in the building.

12 MEMBER GIBSON: The ten is after
13 `47, which -- pre-`47 when the African ore was
14 processed, the numbers were higher than ten.
15 But the `47 to `54 time period, the back end
16 of the African ore processing, the bottom end
17 was ten. So they assumed that the -- after
18 the production stopped, that the ten
19 maintained through the clean up part.

20 DR. MAURO: That was inside
21 buildings or outside buildings?

22 MEMBER GIBSON: It was -- I believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the measurements were originally from inside
2 but they assumed that that maintained on the
3 outside, too.

4 DR. NETON: That's right. That's
5 what it was.

6 CHAIR ROESSLER: So, John, just to
7 summarize then, do you agree that the ten is a
8 good number to rely on?

9 DR. MAURO: Yes. What I just heard
10 is what I was looking for. That ten -- there
11 were a number of measurements made. I didn't
12 look at all the data, but a number of
13 measurements were made at the back end of the
14 operations period, not when there was lots of
15 ore present when you would expect relatively
16 high radon concentrations, but at a time when
17 you had relatively little ore. The potential
18 for radon was certainly reduced, so it
19 represented what you would consider to be the
20 beginning of the decontamination process
21 perhaps. A time period that represents a good
22 start point at when the levels should have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been relatively high as compared to the back
2 end of the process, you know, when they
3 measured it in the 1980s.

4 So what we're really talking about
5 are radon concentrations that go from a
6 reasonably upper bound in the early days, not
7 including ore, at about ten, to a reasonable
8 upper bound of 4.8 at the back end of the
9 process.

10 Now that all rings very true to me
11 as being a line, you know, that goes from ten
12 to four. Now a good question could be well,
13 maybe you should use OTIB-0070, which says no,
14 let's start at ten and go to four over that
15 time period of people working, or just go with
16 the four.

17 Now to me, you could go flat four.

18 And the argument for going flat four is your
19 gamma levels stayed the same the whole time.
20 So I mean there is a lot of weight of evidence
21 here.

22 And there are alternative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strategies. You could go to OTIB-0070 where
2 you got to go slow. You can argue that no,
3 no, no, that would be too conservative because
4 there is good reason to believe you didn't
5 have ten. You did all those things you
6 described. You cleaned the place up. You
7 would expect it to come down.

8 So my sense, we're at a point in
9 the process where I think that you've got a
10 tractable problem. And there are a number of
11 strategies you could adopt that could be
12 considered to be reasonable and to varying
13 degrees of inherent conservatism. You could
14 start at ten and bring it down to four, and
15 then build your dose reconstruction around
16 that. And I wouldn't argue that it would be
17 unreasonable. No, no, no, we're going to go
18 with four across the board, especially when we
19 know it is inherent in the four. The four was
20 picked specifically because it was a bad guy.

21 And not only that, it didn't subtract
22 background.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So you collect all this and you
2 look at it. And it seems to me that the radon
3 problem, in my opinion, is well in hand.
4 There might be some fine structured
5 disagreement that reasonable people could
6 have. But it is well in hand.

7 CHAIR ROESSLER: So I think what
8 you are saying, based on all these numbers and
9 all the supporting documentation and
10 rationale, that OCAS can reconstruct radon
11 doses for that time period, that they are
12 properly using an upper bound.

13 DR. MAURO: I would say yes, it is
14 within -- what they've decided to pick
15 certainly would be within a realm of
16 reasonable and perhaps even upper bound.
17 There are others that you could drive a little
18 more conservative if you really wanted to,
19 push it to ten in the beginning. That may be
20 a little over conservative given the reasons
21 we've heard.

22 So I mean this is the level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resolution I can operate at. I mean I really
2 can't get better than that. But what I'm
3 getting at is it sounds to me like a tractable
4 situation.

5 CHAIR ROESSLER: I think Mike --

6 MEMBER GIBSON: Gen, this is Mike.
7 Could I ask a question?

8 CHAIR ROESSLER: Sure.

9 MEMBER GIBSON: These surveys that
10 were taken in the '80s, they were done, if I'm
11 understanding this right, for like an EPA or a
12 FUSRAP-kind of like free-release survey,
13 correct?

14 MR. CRAWFORD: Yes, they -- my
15 understanding is they were FUSRAP in origin.

16 MEMBER GIBSON: So these readings
17 were not related to personnel exposures. It
18 was not worker monitoring. It was just --

19 MR. CRAWFORD: Definitely not.

20 MEMBER GIBSON: -- an environmental
21 free-release criteria. Right?

22 MR. CRAWFORD: They were trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evaluate whether the buildings, in fact,
2 needed further decontamination. They decided
3 Building 30 did. And Building 31 did not, by
4 the way, just parenthetically. That doesn't
5 exactly impact our Evaluation Report. But
6 that was the purpose of the measurements.

7 CHAIR ROESSLER: I'm trying to
8 figure out, Mike, what your question is. If
9 you have the measurements in terms of the
10 units that we're using the 4.2 picocuries per
11 liter, that is the measurement that is
12 typically used to evaluate exposures to people
13 to radon -- to people. I can't think of
14 something else that might have been done
15 instead.

16 MEMBER GIBSON: Well, I guess my
17 point is, you know, to me there is a
18 difference between surveys in building
19 monitoring for free-release criteria as
20 opposed to personal employee monitoring for
21 radiation exposure.

22 DR. NETON: Yes, Mike, this is Jim.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think I know where you are going with this,
2 but remember, in this particular situation,
3 the source term, that is the contamination of
4 the building, was fairly spread. It was a
5 fixed contamination.

6 It wasn't like there was a pile of
7 something that a worker could have had some
8 unique operation to where the concentration
9 could be elevated. So in that respect, I
10 think the measurements taken in the main parts
11 of the building are fairly representative of
12 what anyone would be exposed to in that
13 building.

14 MS. BONSIGNORE: I have a question.
15 How is air concentration data representative
16 of what people are inhaling and ingesting?

17 DR. NETON: Well, because people
18 breathe the air. And what is in the air is
19 what ultimately they receive for exposure.

20 MS. BONSIGNORE: And so air
21 concentration data would also be
22 representative of what people were ingesting?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Well, for radon,
2 really, it is a noble gas. I mean you don't
3 ingest radon gas per se. But if it were a
4 particulate, which I think we're going to talk
5 about later, you know, airborne particulate,
6 then yes, air concentration would be used to
7 decide what they were inhaling. And the
8 ingestion part we'd have to look at from
9 another perspective.

10 MS. BONSIGNORE: Well, I was under
11 the impression that we would need bioassay
12 data and air concentration data to get an
13 accurate picture of what people would inhale
14 and/or ingest.

15 DR. NETON: Well, not for radon.
16 There are no bioassay techniques available for
17 determining a radon exposure in a person.
18 That just doesn't exist.

19 CHAIR ROESSLER: In fact, the air
20 concentration is really what you do want,
21 which does give you the level of exposure.

22 DR. NETON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LOCKEY: And that's what the
2 EPA uses for their guidelines, the air
3 concentration.

4 DR. NETON: Radon is somewhat
5 unique in that respect.

6 MS. BONSIGNORE: Okay. Thank you.

7 MEMBER LOCKEY: Can I ask you a
8 question about -- are you certain that ten
9 picocuries was what the levels were at the
10 last part of production? And then the
11 building was -- however they decontaminated
12 it, is there any type of situation, post-
13 contamination, that that could be higher
14 through disruption or building destruction,
15 renovation, additional renovation?

16 DR. MAURO: If there is any
17 radionuclide that probably wouldn't be
18 affected by that it would be radon. Radon is
19 going to be ubiquitous -- it's being -- if
20 there were pockets, for some reason, wherever
21 it might be, along pipe chases or --

22 MEMBER LOCKEY: Beams or something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 DR. MAURO: -- yes, beams, it's
3 being emitted all the time. And it is
4 diffusing. It's a noble gas.

5 MEMBER LOCKEY: Right.

6 DR. MAURO: It's not one of the
7 radionuclides that yes, for example, if you
8 were renovating a building and you thought you
9 cleaned up really well 20 years ago and now
10 you are going to come in and you are going to
11 rip it up, rip up the floors, rip buildings
12 down, and the building contained uranium,
13 thorium, radium, okay, and all of a sudden --
14 and you thought you did a good job cleaning it
15 up back in 1950, and now you are going to come
16 in and you are going to rip it up again, I
17 would be the first to say that I would want a
18 comprehensive air sampling program, perhaps
19 even a bioassay program, for the workers
20 involved because there are always surprises
21 when you are ripping up a building that
22 formerly you thought was decontaminated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I would be worried about
2 uranium, radium, and thorium. I wouldn't be
3 worried about radon because I think radon
4 would have revealed itself well before because
5 of its noble gas nature, it is going to come
6 up. It's going to emit. It's going to emerge
7 from wherever it is and show itself.

8 So my answer is I understand the
9 concern about the renovation but I never --
10 quite frankly, I never felt that radon was one
11 of the radionuclides that are all of a sudden
12 going to create these big surprises for you
13 when you start to rip a building down.

14 You would have seen it all along.
15 The radon -- for example, the radon that is in
16 the dirt outside, you know, it is going to
17 find its way in this building. You know there
18 is just no stopping it. It's a noble gas.

19 And so my answer is, you know,
20 there's never an absolute answer to all of
21 these things, but as a health physicist, I've
22 been looking at radon for a long time. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not one of the radionuclides I'd be especially
2 concerned about during the renovation process.

3 MEMBER LOCKEY: Thank you.

4 CHAIR ROESSLER: Steve, do you have
5 any comments to add or questions on --

6 DR. OSTROW: No. John and I
7 discussed this before the meeting. This is
8 our --

9 DR. MAURO: I'm sorry -- I'm sorry.

10 DR. OSTROW: No, John --

11 DR. MAURO: I've got a t-shirt my
12 daughter gave me. It says he started talking
13 and we can't stop him.

14 (Laughter.)

15 CHAIR ROESSLER: Your daughter
16 knows you well.

17 I wonder if we -- it seems to me we
18 have reached the end of this part of the
19 presentation. And my understanding is that
20 SC&A agrees with the approach and does feel
21 that an upper bound on radon exposures is
22 reasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. OSTROW: Yes. Our conclusion
2 is that NIOSH can reasonably reconstruct the
3 radon exposure during the residual period.
4 And they make a good case for it in the -- in
5 Chris's report. That's our conclusion.

6 CHAIR ROESSLER: All right. I
7 think that's what we need. So we can move to
8 the next part.

9 MR. KATZ: I mean does the Work
10 Group want to take action on that? This
11 point-by-point resolution? Or are you going
12 to wait and do that at the end?

13 CHAIR ROESSLER: I think we could
14 take action on this.

15 MR. KATZ: I mean it's up to you
16 how you want to do it.

17 CHAIR ROESSLER: Well, we have the
18 whole Work Group either on the phone or here.
19 So on this one point --

20 DR. OSTROW: Let me just say this
21 covers our -- we had, I think, 11 findings.
22 And this covers findings one through three, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think, right?

2 CHAIR ROESSLER: Right.

3 DR. OSTROW: Yes, one, two, and
4 three. So this would resolve our comments one
5 through three.

6 CHAIR ROESSLER: Does anyone on the
7 Work Group want to make a motion on this?

8 MEMBER BEACH: Gen, I just want to
9 make sure I'm completely -- this is Josie --
10 we are talking about Building 30, 31, 38, 14,
11 and 37. Is that correct? On these three
12 findings?

13 CHAIR ROESSLER: Right.

14 MEMBER BEACH: For radon?

15 CHAIR ROESSLER: Right. All
16 buildings.

17 MEMBER BEACH: All the buildings,
18 okay. I just wanted to make sure I was clear
19 there.

20 CHAIR ROESSLER: We'll put that on
21 here for all buildings. I'll make a motion.
22 I move that the Work Group accept the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendation that NIOSH can reasonably
2 reconstruct radon exposures during the
3 residual period for all buildings.

4 MEMBER LOCKEY: I'll second.

5 CHAIR ROESSLER: Josie, or Mike, or
6 Jim, is there any discussion on this point?

7 MEMBER GIBSON: No discussion but -
8 - this is Mike -- I disagree with NIOSH and
9 SC&A.

10 MEMBER LOCKEY: Maybe we should
11 have a discussion on why.

12 CHAIR ROESSLER: Yes. What is your
13 main point of disagreement?

14 MEMBER GIBSON: Well, again, you
15 know, these were basically environmental-type
16 surveys taken in the `80s. And they are just
17 assuming things couldn't have been different
18 during the working days. And I just disagree
19 with that premise.

20 MEMBER BEACH: I am going to have
21 to say -- this is Josie -- I agree with Mike
22 that I do disagree with SC&A and NIOSH on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one also.

2 CHAIR ROESSLER: Do either of you
3 feel there is any additional work or any
4 additional work that OCAS or SC&A should do to
5 resolve your concerns?

6 MEMBER GIBSON: This is Mike. You
7 know, I just feel that there is a lack of
8 data. And NIOSH and SC&A are making
9 assumptions. And I just don't agree with
10 them. And I feel that the weight of the
11 evidence should go toward the claimants.

12 DR. NETON: Mike, this is Jim
13 Neton. Could I ask a question? Would you
14 agree that the concentration of radon in the
15 buildings after 1954 could not be plausibly
16 higher than during the production period in
17 1954 of ten picocuries per liter? This is
18 while the source term is still there. They
19 have not decontaminated anything. They are
20 still actively producing uranium.

21 So do you believe that ten
22 picocuries per liter in itself would not be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bounding value?

2 MEMBER GIBSON: Well, Jim, you
3 know, my background is maintenance and
4 represent workers. I don't have the technical
5 background. I'm just going on what I believe
6 is the intent of the Act. And I think there
7 is a lack of data.

8 CHAIR ROESSLER: I suppose we could
9 vote on the motion although I think it is
10 quite clear that --

11 DR. OSTROW: This is Steve. I just
12 wanted to comment that I think this echoes
13 what John was talking about before. I don't -
14 - I'm trying to think -- I can't think of a
15 physical mechanism that would increase the
16 radon concentration during the residual period
17 higher than it was during the end of the
18 operations period.

19 MEMBER LOCKEY: This is Jim Lockey.
20 I think that's the point. There's no -- it
21 is not feasible for the level to be greater
22 than ten picocuries. It's just not feasible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's not in the biological possibility.
2 It's not a physical possibility to be higher.
3 So that can't happen.

4 CHAIR ROESSLER: My interpretation
5 is that the concern is not with the science.
6 It seems that at least those of us around the
7 table all agree that this scientifically,
8 which is what we're supposed to be following
9 on this evaluation, that this has to be an
10 upper bound. And we're probably at an
11 impasse.

12 MEMBER LOCKEY: I think we're
13 supposed to make decisions, be worker-
14 favorable but also based on what the science
15 presents us. I think that's our mandate.
16 Always be favorable to the worker. But it has
17 to be science based. It can't be based on
18 something else.

19 And in this case, the science
20 indicates it really can't be higher than that
21 value. We could say that all right accept ten
22 and extrapolating it down to four, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 even more worker-favorable. And that's
2 something we can talk about.

3 But I think we have to look at our
4 mandate. Our mandate is to be worker-
5 favorable as much as possible as we can, I
6 think, which we have in this case. But the
7 science is the science. You can't go above
8 that value.

9 MEMBER GIBSON: Well, Jim, can I
10 make a comment? Dr. Lockey, I agree at some
11 point with you. But, you know, I think we
12 also have to look at the intent of the Act and
13 the intent of how the President made up this
14 Board. And it was for scientists, doctors,
15 and labor representatives, those of us that
16 have been in the field.

17 So it can't all be based on
18 science. There are some things that happen in
19 the field that maybe the scientists just don't
20 understand and the science can't account for.

21 MEMBER LOCKEY: I agree with you,
22 Mike. And I think that labor brings an awful

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lot to the Board. I think everybody on the
2 Board, at least from my perspective, is always
3 asking the right questions to try to make sure
4 that we take into consideration all the
5 possibilities that could affect the worker in
6 these environments.

7 But, Mike, if we follow your
8 rationale, with things that science just does
9 not yet understand, that is applicable to
10 everything we're doing. And then that would
11 go back to whether we can ever, ever reach a
12 threshold that would satisfy everybody.

13 And so we have to have some type of
14 guideline that we're following. Be cognizant
15 of the worker, be as worker-favorable as
16 possible.

17 But when the science is clear, I
18 think that has to be a threshold at which we
19 have to accept. Otherwise, we're then dealing
20 in non-science issues. And then all the time
21 and effort that we're spending on these types
22 of reviews are really for naught.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, Mike, I'm very appreciative of
2 what you're bringing to the table when you are
3 concerned and your compassion. And I'm right
4 there with you because I've seen a lot of
5 workers who have been injured for a lot of
6 different things.

7 But I'm also a scientist. And
8 there has to be a threshold at which we set
9 something.

10 CHAIR ROESSLER: I think I would
11 add to that, Mike, I think your concerns are
12 very broad. They perhaps apply to a lot of
13 different situations. And in my view, on this
14 particular issue, I think we've made a
15 scientifically sound conclusion, at least I
16 think my motion was a scientifically sound
17 one. And it has been agreed upon by SC&A. I
18 really can't see that we can go any farther on
19 this.

20 DR. MAURO: This is John, Mike. I,
21 you know, I do a lot of soul searching on
22 these things. And think about -- and say to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 myself, you know, if we were talking about
2 radium, thorium, uranium, I would agree with
3 you. But we're talking about radon.

4 And I would have two problems with
5 these other radionuclides. One, you want
6 breathing zone samples when you are dealing
7 with particulates that could be generated in a
8 localized area. A general air sample, as
9 typically is collected for radon, I would be
10 troubled by that, relating general air
11 measurements to what a person might have
12 inhaled when we're dealing with particulates.

13 Second, when there is renovation
14 going on, I would be concerned that yes, some
15 things could have been broken free, generating
16 localized areas of elevated particulates. And
17 the only reason why I'm coming down where I'm
18 coming down is we're dealing with radon. And
19 in general, ambient measurement -- if taking
20 sufficient number of ambient measurements are
21 taken, radon being a noble gas is going to
22 permeate and diffuse throughout an area.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And also if there was residue of
2 radium, let's say, somewhere on the rafters,
3 the radon that would be generated from that
4 residue, let's say it was residual, post-D&D,
5 it would have made its way into the air.

6 So because we're talking radon --
7 now the conversation may change a little later
8 when we move on to other radionuclides, but
9 right now -- again, there is always a weight
10 of evidence. And you reach a point with
11 yourself and you say well, it is good enough
12 for me.

13 I would be the first to admit,
14 though, everyone sees the world the way they
15 see it. And how much evidence is necessary.
16 For me, I have to say -- and SC&A -- and I
17 think the weight of the evidence is coming out
18 in favor of NIOSH's position on this matter,
19 if that helps any.

20 CHAIR ROESSLER: Well, what our
21 Work Group assignment is is to conduct this
22 meeting, come up with our conclusions as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Work Group, and report it to the Board. It is
2 the Board who votes. And I'll try to do my
3 best to represent what we've done at this
4 meeting. And we'll certainly get all Work
5 Group input on it.

6 But I think we ought to take an
7 official vote since I made the motion. So all
8 in favor of my motion, say aye.

9 (Chorus of ayes.)

10 CHAIR ROESSLER: And against?

11 MEMBER GIBSON: Nay.

12 MEMBER BEACH: Nay.

13 CHAIR ROESSLER: Okay. And we will
14 report that. So I think we should then go on
15 to the next item.

16 MR. CRAWFORD: The next item is
17 particulates in the air during the residual
18 period. We have a similar situation in one
19 sense in that we have a measurement done --

20 CHAIR ROESSLER: Oh, somebody says
21 it is probably time for a break.

22 MR. KATZ: A ten-minute break for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you folks on the phone, too, who also might
2 need it. So it will be 11 o'clock about we'll
3 start up again.

4 CHAIR ROESSLER: Sure. Ten
5 minutes.

6 MR. KATZ: Is that good?

7 CHAIR ROESSLER: Sounds good.

8 MR. KATZ: Thank you everyone on
9 the phone. I'm just going to put the phone on
10 mute while we're on break.

11 (Whereupon, the foregoing matter went off the
12 record at 10:47 a.m. and resumed at
13 10:55 a.m.)

14 MR. KATZ: Okay. We are back and
15 even a couple of minutes early, which is rare
16 form for a Work Group. Let me just make
17 certain we have everyone back on the
18 telephone. Work Group members, are you with
19 us?

20 MEMBER BEACH: This is Josie. I'm
21 here.

22 MR. KATZ: Mike? Mike, do we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you back yet?

2 (No response.)

3 MR. KATZ: Okay. Well, we told
4 them 11:00, and it is a couple of minutes
5 early here.

6 CHAIR ROESSLER: We'll wait.

7 MR. KATZ: So let's wait for Mike.
8 (Whereupon, the foregoing matter went off the
9 record at 10:56 a.m. and resumed at
10 11:00 a.m.)

11 MR. KATZ: This is Ted. I'm just
12 checking in again for Mike. Have you rejoined
13 us?

14 MEMBER GIBSON: Yes, I'm here, Ted.

15 MR. KATZ: Oh, great.

16 And, Josie, you are still with us?

17 MEMBER BEACH: Yes, I'm still here.

18 MR. KATZ: And, Antoinette, are you
19 still with us?

20 (No response.)

21 MR. KATZ: Maybe you are on mute?
22 Antoinette, are you -- have you rejoined the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meeting?

2 (No response.)

3 MR. KATZ: Okay. Well, it is time
4 to get going.

5 CHAIR ROESSLER: I think we should
6 continue.

7 MR. KATZ: We're under the gun.
8 Let me just note that Dr. Lockey has to leave
9 at 1:30 so we really have to press through the
10 technical discussion, you know, being the
11 first priority so that at least Dr. Lockey can
12 participate in the technical dialogue.

13 When Antoinette rejoins us, if she
14 has, you know, further discussion she wants to
15 give, she can ask for clarification. But if
16 she wants further discussion, we'll take care
17 of that after so that we can be sure to get
18 through the technical matters.

19 MS. KROLCZYK: This is Laura
20 Krolczyk from Senator Gillibrand's office.
21 Ben Rosenbaum had to drop off so I'm taking
22 his place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: All right. I'm sorry.
2 I couldn't hear. Who is this?

3 MS. KROLCZYK: I'm Laura Krolczyk
4 from Senator Gillibrand's office.

5 MR. KATZ: Laura Krolczyk?

6 MS. KROLCZYK: Yes.

7 MR. KATZ: Okay. Can you spell
8 your last name?

9 MS. KROLCZYK: K-R-O-L-C-Z-Y-K.

10 MR. KATZ: Oh, I'm sorry, I'm sorry
11 to make you do this. But can you repeat that
12 again?

13 MS. KROLCZYK: I'm on a cell phone.
14 You're probably not going to be able to hear
15 me.

16 MR. KATZ: But give it another
17 whirl.

18 MS. KROLCZYK: K-R-O-L-C as in cat-
19 Z as in zebra-Y-K.

20 MR. KATZ: Y-K, great. And that's
21 from Senator --

22 MS. KROLCZYK: Gillibrand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Gillibrand. Okay.
2 Thank you very much. Sorry about the tortuous
3 repeat. Okay.

4 CHAIR ROESSLER: So the next part
5 then, Chris, will be your resolving Findings 4
6 and 6, I think, or is it 4 through 6 on the
7 SC&A.

8 MR. CRAWFORD: It's supposed to be
9 4 and --

10 CHAIR ROESSLER: It says 4 and --
11 okay.

12 MR. CRAWFORD: We're now dealing
13 with the particulates suspended in the air
14 during the residual period. We have a
15 somewhat similar situation in that we have a
16 1976, '78, whatever it is, I've seen both,
17 measurement of the particulates suspended in
18 the air at that time. But we don't have
19 anything in between that and the
20 decontamination period in the early '50s.

21 The way we approached it was to
22 take the existing measurement and apply GSD --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I believe -- was it -- I thought it was 2.73,
2 but I see a 95th percentile of 2.9 -- assume
3 that we'd gotten the 95th percentile level.

4 The other way we came at it is we
5 did some calculations. Knowing approximately
6 how much uranium and progeny were embedded in
7 the walls and floors, we applied a
8 resuspension factor and did a calculation as
9 to what we might have seen as of 1978. And we
10 find out that that under-predicted, that is
11 the calculated quantity was actually less than
12 the measured quantity at that time.

13 The question then is how to apply
14 the knowledge we have. Now the TBD, which I
15 -- we have to distinguish whether we are
16 working with the evaluation report for the SEC
17 -- petition, which is primarily a reasonable
18 bounding problem. We also had the TBD to
19 consider.

20 Well, the TBD uses a GSD of five on
21 the projected air concentrations, that is for
22 inhalation and for ingestion. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 corresponds to a factor of 14 times the given
2 concentration. So we have a very wide range
3 in values that we are already taking into
4 account because of the uncertainty in the data
5 that we have. We feel that is very claimant-
6 favorable because these are actually -- this
7 wide range is taken into account by the IREP
8 program through its thousands of repetitions.

9 We also -- I'm not going to get
10 into it exactly yet, but we also have to
11 consider the effect of remodeling or
12 restructuring inside the various buildings
13 during the '60s, which Antoinette has already
14 referred to. But right now I'd like to just
15 look at the general case without remodeling.

16 So we are saying basically that we
17 can limit the exposure to airborne
18 radionuclides and providing a method to do it.

19 And with a high degree of uncertainty, which
20 is generally a claimant-favorable assumption.

21 Let me go on with the problem with
22 the remodeling. We don't have any data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whatsoever for any remodeling done at any
2 time, particularly witness statements that
3 suggested there was a lot of remodeling done
4 in the various buildings, not just one of
5 them, during the `60s. The way we handled
6 that was to take the decontamination levels
7 from the vacuuming operation and say that they
8 were reasonably representative of what a
9 random reconstruction effort might stir up,
10 random in the sense that they weren't seeking
11 out radioactive material embedded in the
12 walls. They were working to some plan which
13 had nothing to do with the residual
14 radioactivity.

15 We applied that to all people in
16 all buildings at all times during the `60s.
17 Now the only exception to that -- Jim, correct
18 me if I'm wrong -- but I believe is if we know
19 that there was a laboratory worker who was
20 confined to Building 14, they will not get
21 this particular dose. But for anyone else, we
22 really can't tell you which buildings they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 worked in. But that's not unusual. That's
2 pretty standard.

3 So we just apply it to everyone for
4 the whole ten-year period. We feel that is
5 claimant-favorable for several reasons, one of
6 which it applies to everyone. And, of course,
7 probably not everyone was always in a
8 construction zone the whole ten years; two, it
9 is very unlikely, in fact, that there was
10 continuous construction for the ten-year
11 period. It is almost bound to be
12 intermittent. Just from our real-life
13 experience, we can see that.

14 We could do another data recovery
15 effort. I mean nobody ever thought to do it
16 because it was totally irrelevant to any
17 radionuclides present. But we could go look
18 for building permits if we had to try to put
19 some further bounds on this. But what we're
20 proposing right now is we just do it for the
21 entire period of the '60s.

22 DR. NETON: Chris, could you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clarify for me, at least, the vacuuming, the
2 biweekly vacuuming, is a good surrogate or --
3 I don't want to use that word -- a good
4 measure of the exposure?

5 MR. CRAWFORD: I think basically it
6 had to do with the difference in the
7 operation. One operation is the physical
8 removal of the decontaminants working only in
9 the parts of the building where the
10 decontaminants are -- or the contaminants, I'm
11 sorry, are most concentrated and using pretty
12 heavy duty methods.

13 Another way to look at this is if
14 you have a contaminated area floor, you are
15 going to chip or hammer that entire area of
16 the decontamination. And for Antoinette's
17 benefit if she's with us --

18 MS. BONSIGNORE: I am.

19 MR. CRAWFORD: Great. The
20 contaminants are contained in a very thin
21 layer on top of the concrete of the floor or
22 the wall, an eighth to a quarter inch thick at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 most. So it wouldn't matter if you drilled a
2 hole entirely through the floor or through the
3 wall, it doesn't really add much. It is that
4 top layer that is going to be resuspending the
5 contaminants.

6 We think that any -- how can I say
7 it -- any chipping or jackhammering, it would
8 be a matter of chance whether it was in a
9 heavily contaminated area. It could be. But
10 it would be a matter of chance.

11 And, therefore, it would be
12 unlikely to achieve the levels of contaminants
13 in the air during the decontamination period
14 when they were only working on such
15 contaminated areas.

16 So, Jim, that's basically a way to
17 depict it. Now vacuuming is not an innocuous
18 resuspension factor either. But it is a
19 fairly healthy dose.

20 MS. BONSIGNORE: I'd just like to
21 briefly -- and I know I've been asked to limit
22 my comments for Dr. Lockey's schedule -- but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just have to, again, renew my objection here
2 to -- and I'm reading directly from Mr.
3 Crawford's report -- that breathing zones and
4 sandblasting, pneumatic hammering, and flame
5 cleaning was eliminated from consideration. I
6 fail to understand how you can do that with
7 all the documentation that I provided,
8 workers' statements. I have additional
9 documentation that I plan to submit to the
10 Work Group, including testimony from a
11 Workers' Compensation Board hearing in 1996
12 that detailed what was going on in these
13 facilities -- in these buildings, particularly
14 Building 30.

15 I fail to understand how you can
16 eliminate those activities from consideration
17 and still consider this a claimant-favorable
18 evaluation of what was going on in the
19 building.

20 MR. CRAWFORD: Well, some of the
21 activities probably didn't happen.
22 Sandblasting, for instance, I don't know if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you have evidence of that.

2 MS. BONSIGNORE: I do.

3 MR. CRAWFORD: Secondly, breathing-
4 zone samples would not be very appropriate for
5 ordinary occupants in the building. In other
6 words, it is one thing if you are the guy
7 doing the jackhammering or the guy doing the
8 sandblasting. Quite another if you are a
9 worker, a warehouse worker in the building
10 while such work is going on. You are not
11 exposed at the same level. Your breathing
12 zone isn't the breathing zone of the operator
13 of the equipment.

14 MS. BONSIGNORE: But, again, I have
15 to again state you have absolutely no data to
16 account for anything that was going on during
17 that time period. You have no air data. You
18 have no bioassay data for anything that people
19 could have been inhaling or ingesting in terms
20 of radionuclide particulates. And, again, I
21 want to stress this particularly to the Board
22 members, I don't believe this is a claimant-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 favorable analysis.

2 MR. CRAWFORD: This, of course, is
3 the general case for residual periods,
4 especially for AWE sites. This one is a
5 little complicated because it was declared a
6 DOE site for some reason. And it still has a
7 residual period.

8 But at any rate, the point being
9 once these sites are released back to the
10 private corporation or building owner, there
11 was no reason to have any measurement. So
12 this is common to all such sites. It is not a
13 Linde problem that there were no measurements
14 done with personal dosimetry or urinalyses,
15 and that sort of thing.

16 MS. BONSIGNORE: I understand that.

17 But still the fact remains you don't have the
18 data. So to say that this is a claimant-
19 favorable analysis and you have an accurate
20 depiction of what people were exposed to
21 during that time period, particularly in
22 Building 30, again, you know, someone help me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out here because I don't understand how this
2 is possibly in any realm of possibility
3 claimant-favorable.

4 DR. NETON: Let me ask a couple of
5 questions then maybe -- I think -- this is Jim
6 Neton. We have a fair amount of monitoring
7 data that occurred during the D&D era. Is
8 that not right, Chris?

9 MR. CRAWFORD: Yes.

10 DR. NETON: It's fairly good data
11 when the facilities were fairly heavily
12 contaminated. So I think at an upper bound,
13 it would be hard to argue against the fact
14 that the exposures in the residual period were
15 any higher than that because people are
16 actively -- like Chris said -- actively
17 focused on eliminating the contamination that
18 occurred primarily in the top quarter inch or
19 so or less of the contaminated surfaces.

20 So these people were definitely in
21 a much higher potential for exposure than
22 after it had been cleaned up. So with that as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a starting point then, I think there is a
2 starting point for an upper bound, to some
3 degree.

4 And then the debate then turns into
5 how close the people were in the residual
6 period to that upper bound. If it should be
7 equal to that or if it should be some fraction
8 of that. And I think that's sort of the issue
9 under consideration right now.

10 So I think there is -- in some way,
11 there's very little debate, in my opinion,
12 that the upper bound is represented by the D&D
13 operation. And then whether it is vacuum
14 cleaning or something else, I think is
15 probably open for discussion.

16 MS. BONSIGNORE: I just want to
17 make sure that the statements that have been
18 provided by workers are actually being
19 considered here. And not -- because they feel
20 that the statements that they have made --
21 they were the people that were in these
22 buildings, they were the eyewitnesses to what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was happening. They don't want -- they want
2 to know that they are not being ignored and
3 that their recollection of what happened
4 during that time period is not being
5 dismissed.

6 CHAIR ROESSLER: Perhaps the way to
7 go on this particular issue, and it seems that
8 maybe -- have you combined two --

9 MR. CRAWFORD: Yes.

10 CHAIR ROESSLER: -- different
11 parts? You were talking -- we started talking
12 about Findings 4 and 6. And then went into
13 Findings 7 and 8. Maybe if we resolve or
14 discuss 7 and 8, we will have resolved 4 and
15 6, also.

16 But I think we should do, at this
17 point, since we're discussing the validity of
18 this report and since SC&A is looking after --
19 not only to make sure that we have considered
20 all the petitioners' comments but looking at
21 the science here -- is this an appropriate
22 time for SC&A to comment on Chris's report?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Are you prepared to do that?

2 MEMBER GIBSON: Gen, this is Mike.

3 CHAIR ROESSLER: Yes, Mike.

4 MEMBER GIBSON: You know I just
5 have to say that, you know, again, you know, I
6 have to side with Antoinette. This is just --
7 there seems to be a lack of data. And we're
8 relying on what I heard, you know, some people
9 say assumptions in their scientific data. And
10 I just -- I'm just -- I'm having a hard time
11 with that. I just wanted to make that
12 comment.

13 CHAIR ROESSLER: Yes, I think -- I
14 understand, Mike, your concern. I think at
15 this point what we need to do is make sure
16 that those of us who are looking at the
17 scientific evaluation have our concerns
18 addressed. And from that point of view
19 personally anyway, I would like to have SC&A's
20 response to the way that OCAS has decided that
21 they can handle this.

22 DR. OSTROW: Well, I'd like, if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may, Chris, to go a little bit further before
2 we comment on -- you were sort of interrupted
3 -- how did you actually determine the levels
4 that you used for the residual period? You
5 sort of set the background here that you
6 looked at the D&D period.

7 Then you looked later when you were
8 talking about the residual period, you assumed
9 vacuum cleaning operations. So maybe if you
10 go a little bit further, you know, what did
11 you do with the actual numbers?

12 MR. CRAWFORD: Okay. This is
13 difficult. We're also, in essence, talking
14 about two different things here. One is the
15 evaluation report. But we originally started
16 this process also talking about the TBD, which
17 is why I keep referring to the two things.
18 And there are different numbers in both of
19 those documents.

20 That's okay because in the
21 Evaluation Report, we're trying to say, yes,
22 we can bound the dose. And in the TBD, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to provide a method for reconstructing
2 the dose. Two quite different objectives.

3 Given that, I'm basically not
4 prepared to tell you how the numbers came
5 about in the TBD itself. We can see what they
6 are, Table 6-2, Steve.

7 DR. OSTROW: Yes.

8 MR. CRAWFORD: And we can see that
9 they have a very high GSD, indicating a large
10 degree of uncertainty. And they were
11 undoubtedly based on measurements during the
12 decontamination period, which is what we had
13 to go on. There are no measurements after
14 that time.

15 MEMBER GIBSON: No. Keep going.
16 I'm just watching.

17 MR. CRAWFORD: Then in the
18 Evaluation Report, we tried to show that the
19 reading that we have from the '76/'78 period
20 is reasonably related to a calculation based
21 on the embedded radionuclides present in the
22 building after the cleanup. That is, we tried

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to, using the resuspension factor, we
2 calculate what we might have expected to see
3 in the air. And we see that the measured
4 amount is actually somewhat higher than that.

5 What happened in between, now this
6 is where TIB-0070 may have to come into play
7 at some point, but I would say that that is a
8 question that is more dose reconstruction-
9 related for us rather than Evaluation Report
10 for SEC-107-related.

11 MEMBER GIBSON: I don't want to
12 interrupt you. To me, when I look at these
13 questions, we like the fundamental approach
14 that TIB-0070 has adopted. It is a strategy
15 for coming at a class of problems. The
16 strategy is very simple. I mean, you have six
17 steps in there, but one of the steps we
18 especially like. The other steps we're not so
19 happy with, but one of the steps we like, the
20 step being this.

21 I'll say it again. You have an
22 operations period. You finish operations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You may be doing some D&D, and you're taking
2 plenty of air samples. There's always lots of
3 data -- usually, not always, usually lots of
4 data where you are pulling air samples. You
5 may have dpm per cubic meter, gross alpha, you
6 may have some alpha-specific information. But
7 you've got air sampling data. You may even
8 have some breathing zone data.

9 So you've got what I call the
10 beginning of the residual period. In other
11 words, whatever was going on at the end of
12 operations, you could say well, let's assume
13 that's the beginning of the residual period.
14 Not bad. In other words, I would say that is
15 certainly a philosophy that would peg -- place
16 an upper bound on what you might expect to see
17 at the beginning of a residual period.

18 I realize this site is a little
19 more complicated than that. All right. So
20 pegging -- now you have a distribution of
21 numbers. You pick a number that you believe
22 to be reasonable. In my mind, picking the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 geometric mean and standard deviation, not the
2 95th percentile, in the beginning is a
3 reasonable place to start.

4 I'm not saying you should be
5 picking a 95th percentile at the beginning of
6 the period. Again, these are commonsense
7 arguments because what we're really asking is
8 well, we're not interested in what the
9 concentration was during operations. We are
10 interested in what it is during the residual
11 period.

12 So by picking the geometric mean
13 and standard deviation of the airborne dust
14 loading at the end of operations and assigning
15 that as if it were the conditions at the
16 beginning of residual sounds pretty good to
17 me. Common sense argument. You're really
18 putting it up there.

19 Then the residual period begins.
20 And assuming there is nothing new being added,
21 that's going to start to come down. Now, we
22 do have a problem with your slope. You have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generic one-percent-per-day slope as one of
2 the strategies you could use. Okay. How does
3 it drop? We don't like that.

4 But you have another approach. I
5 said, well, listen, if I know the upper
6 numbers here -- and we know 30 years passes,
7 okay -- and then you make some more airborne
8 measurements when the FUSRAP program starts,
9 maybe before you actually start to rip
10 anything up. In other words, these are fairly
11 quiescent. And you have no data.

12 But you do have data at the back
13 end of the process before you started your
14 FUSRAP program. It's usually the
15 characterization stage. Before you go into a
16 FUSRAP program, you first go into a
17 characterization phase to find out what do we
18 have here before we go in and rip things up
19 and start to clean up.

20 So you've pegged the front end,
21 we've pegged the back end. And one could say
22 that well, this certainly represents the upper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 end of what the beginning of the residual
2 period would look like. And this certainly
3 represents some reasonable representation of
4 what it was like at the back end of the
5 residual period, assuming nothing crazy
6 happened in between.

7 So the way your approach or
8 philosophy is, well, we'll draw a line. And
9 that gives us the concentration in the air.
10 Let's say dpm per liter, gross alpha. It
11 starts here, goes here. We're going to place
12 our people in there and say that's what they
13 are breathing, okay? And that basic approach
14 makes sense in my mind.

15 Now, so if you started -- and I
16 believe that's what you did -- you know, I
17 believe you did, and correct me if I'm wrong,
18 you started on the front end in the place
19 where we have consistently said that's a good
20 place to start, your residual period. Now,
21 but there are two problems with the rest of
22 the story in my mind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Problem number one is on the back
2 end, you said, well, what's at the back end?
3 And you used a resuspension factor approach at
4 the back end, which used -- in other words,
5 it's not going to add air samples. Let's say
6 you had lots and lots of air samples. What
7 I'm understanding you did is that you had some
8 estimate of what the activity was on surfaces,
9 and then you applied a resuspension factor of
10 ten to the minus six per meter to what is on
11 the surfaces.

12 Now, we are on the record. We do
13 not like ten to the minus six resuspension
14 factor. It is too low. We think ten to the
15 minus five, preferably even ten to the minus
16 four is a better resuspension factor.

17 If you are going to start with
18 activity on surfaces as how we are going to
19 predict what is in the air, and a resuspension
20 factor is just an empirical number. It simply
21 says if someone went out there and measured,
22 they measured how much radioactivity is on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surface and how much radioactivity is in the
2 air. It is basically picocuries per liter or
3 picocuries per meter squared.

4 So the units -- well, picocurie per
5 cubic meter or picocurie per meter squared, it
6 is per meter. And the empirical data show ten
7 to the minus six, as a measure of what becomes
8 airborne, is a good number if the site has
9 been thoroughly cleaned up and, you know, you
10 don't really have very much -- the NRC
11 published widely on this. We have just lots
12 and lots of literature.

13 There are times when ten to the
14 minus six is a good number. But you have to
15 be very careful. The data shows that when you
16 have an area that is dusty, contaminated, and
17 maybe some residual radioactivity on surfaces,
18 people might be walking around, ten to the
19 minus five and even ten to the minus four is
20 probably a better resuspension factor.

21 So what I'm getting at is if you
22 have lots of good air sampling data --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. OSTROW: John, John?

2 DR. MAURO: Yes.

3 DR. OSTROW: Could I just break in?

4 DR. MAURO: Sure, yes.

5 DR. OSTROW: Hold the fort.

6 DR. MAURO: Okay.

7 DR. OSTROW: Just on this
8 resuspension factor, just a little bit of
9 inconsistency. NIOSH put out a report, a
10 short one, on September 2nd that was
11 supporting our -- just before we had our last
12 Work Group meeting in Cincinnati. You had
13 like a really short report, just a couple of
14 pages, you know, answering our findings.

15 And in that, unless you had a typo,
16 you had a resuspension factor of ten to the
17 minus six in that, responding to the same
18 question. So I don't know, did you change
19 your mind by a factor of ten? Or did you have
20 a typo?

21 MR. CRAWFORD: Not that I am aware
22 of. I thought we had used e to the minus six

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all the way through.

2 DR. OSTROW: No, you had --

3 MR. CRAWFORD: It's pretty much a
4 textbook --

5 DR. OSTROW: No, no, I know. But -

6 -

7 DR. NETON: But the fact is that we
8 didn't use that value in our calculations
9 anyway.

10 MR. CRAWFORD: That's the other
11 point.

12 DR. NETON: I mean so it's sort of
13 -- not irrelevant, but it --

14 MR. CRAWFORD: We did the
15 calculation to see whether it would give us a
16 surprise one way or the other.

17 DR. NETON: And it didn't.

18 MR. CRAWFORD: We didn't use it as
19 --

20 DR. OSTROW: I understand. I was
21 just a little bit confused whether you
22 deliberately changed it or whether it just,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, sort of came out that way.

2 CHAIR ROESSLER: Can you explain
3 why you didn't use it? You're using it just
4 to --

5 DR. MAURO: Check.

6 CHAIR ROESSLER: -- check, yes.

7 DR. NETON: Some sanity check on the
8 value.

9 MR. CRAWFORD: In the same way that
10 by showing that the fixed gamma was about the
11 same in 1950 as it was in the `80s, which is
12 why we said probably the radon is related to
13 that and is probably fairly stable. We're
14 saying well, we know what the fixed gamma was
15 in 1950. If we used a resuspension factor and
16 calculated what we thought the air
17 concentration would be, would we be surprised
18 or not compared to the measured value?

19 Well, it came in below the measured
20 value. So --

21 CHAIR ROESSLER: So is it just --

22 MR. CRAWFORD: -- to me, it was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conclusive. But we didn't use it. We used
2 the measured value.

3 DR. MAURO: The resuspension factor
4 approach came in below the measured value.

5 DR. NETON: Well, I don't disagree
6 with you. I mean what if it came out higher?
7 Then we would probably use that.

8 MR. CRAWFORD: Well, that's why we
9 checked.

10 DR. MAURO: Me, I would -- if I
11 have real airborne measurement data, a good
12 set of data of gross dpm per cubic meter, I
13 use it. I forget about these. In fact,
14 that's what the rules say. You don't use a
15 model when you have data.

16 DR. NETON: I don't disagree with
17 you. It's more of a sanity check.

18 DR. MAURO: And that's fine. And,
19 by the way, those kinds of things are useful
20 for the debate regarding resuspension factors.

21 I would argue that you just made a case why
22 the resuspension factor may not be very good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because there are other places where you do
2 use it. All right? But that's an aside.

3 CHAIR ROESSLER: So am I clear on
4 this? At the beginning of this period you are
5 talking about there is real data?

6 MR. CRAWFORD: Yes.

7 CHAIR ROESSLER: And then John
8 talks about a slope or some way of estimating
9 what the values would be in between. And at
10 the end it appears you have measured data.

11 MR. CRAWFORD: Yes.

12 CHAIR ROESSLER: What we're really
13 talking about is the extrapolation between
14 dates. What is the reasonable value in
15 between? Okay.

16 MEMBER LOCKEY: We have -- the real
17 data in '78 was your document resuspension.

18 MR. CRAWFORD: The '78 data was
19 measured data at the site by the FUSRAP
20 people. That was airborne measured --

21 DR. MAURO: Before they started to
22 rip up anything --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: -- general area.

2 DR. MAURO: -- before they started
3 the FUSRAP operations.

4 MR. CRAWFORD: That's correct.

5 DR. MAURO: Okay. That's a good
6 time to take it. You wouldn't want to take it
7 during because during you are going to be
8 stirring up radioactivity.

9 MR. CRAWFORD: And, in fact, the
10 initial data that we have was basically -- my
11 understanding it was during decon. I think
12 we'd want to use the, for instance, the
13 general air samples as being representative
14 even during decon. But general air rather --

15 DR. MAURO: Yes, the breathing zone
16 -- I mean we've been hung up on breathing zone
17 for a long time. And there is a very good
18 reason for it. When you are in operational
19 mode, there is lots of evidence that shows
20 that if you are working at a glove box or
21 working at a unit where you are grinding metal
22 or drilling something, an operation, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's what generating the aerosol, that
2 particular thing you are doing, well, what
3 your nose is breathing has no relationship to
4 the air sampler that is sitting over there.

5 And we all know that. ICRP 75
6 talks about that. You can be off by a factor
7 of ten- to 20-fold.

8 But in a D&D operation, it's not
9 the same. You know everything is being --
10 it's a dusty place. So I'm not that concerned
11 when it comes to -- when we're dealing with a
12 setting where the airborne activity is sort of
13 ubiquitous. If you have general air samples
14 that capture what is in the air in that room
15 while these operations are going on, I'm not
16 as concerned about breathing -- breathing
17 zones always vary. You know just like
18 bioassay data always vary.

19 But I'm more concerned when I hear
20 there is an operation that was going on and
21 you don't have breathing zone. So, again, you
22 got front-end data, collection of data, in my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opinion, using the geometric mean and the
2 standard deviation of a good set of dpm per
3 cubic meter alpha activity on the front end,
4 and you have a good set of dpm per cubic meter
5 gross alpha at the back end before -- or
6 during is even better.

7 And I'd like to know how different
8 they are, by the way. Did they drop by orders
9 of magnitude between the front end and the
10 back end?

11 MR. CRAWFORD: Oh, yes.

12 DR. MAURO: They dropped by orders
13 of magnitude.

14 DR. NETON: Yes, because these were
15 actual samples taken during D&D operations.

16 DR. MAURO: Okay, so you really
17 pegged it up high on the front end.

18 DR. NETON: Very high.

19 DR. MAURO: Okay. And on the back
20 end, you've got some other numbers that are
21 lower. See, now in my mind, during that -- as
22 long as there wasn't anything unusual going on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in between, you know, then this is a good way
2 to come at this problem.

3 But there was something going on in
4 between. And this is a little troubling to
5 me. There was this operation where they went
6 in and they apparently renovated, which is a
7 perturbation. And all of a sudden, that
8 perturbrates this slope.

9 CHAIR ROESSLER: Should we then
10 take these two separately? Let's --

11 DR. MAURO: Let's take them
12 separately. I think we should take them
13 separately.

14 CHAIR ROESSLER: Yes, the first
15 subject right now are Findings 4 and 6, SC&A's
16 Findings 4 and 6, which would be during this
17 period under the -- what you call operation,
18 where there were no --

19 DR. MAURO: Nothing special.

20 CHAIR ROESSLER: -- nothing
21 special. So let's try and deal with that one
22 and then go on to the remodeling period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: And then I have a
2 question, too, if I could ask one.

3 CHAIR ROESSLER: Sure --

4 MEMBER BEACH: This is Josie.

5 CHAIR ROESSLER: -- Josie.

6 MEMBER BEACH: I just -- you're
7 talking about data, the front-end data. I
8 believe you mentioned the year `78 and then
9 back-end data. Can you just describe briefly
10 what data you actually have? What samples?

11 MR. CRAWFORD: What data we have?

12 MEMBER BEACH: Yes. You said
13 front-end data, samples in `78.

14 MR. CRAWFORD: Right. In the
15 decontamination period, roughly 1950 --

16 MEMBER BEACH: Okay.

17 MR. CRAWFORD: -- that work was
18 done. By the way, Building 30 was
19 decontaminated in about two weeks during 1950.

20 So we're not talking about years and years of
21 this kind of work.

22 MEMBER BEACH: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: But that's
2 parenthetical. We have breathing zone samples
3 from breathing zones of the workers who were
4 doing the decontamination. That is the
5 sandblasters, chippers, torch people, and so
6 forth.

7 We also have general air samples
8 from -- just general air in the building, not
9 right next to the workers who were doing that
10 kind of work.

11 MEMBER BEACH: So do you have
12 several samples or --

13 MR. CRAWFORD: We have many samples
14 --

15 MEMBER BEACH: Many samples.

16 MR. CRAWFORD: -- during that
17 period. And we also have many surface
18 samples, in fact, thousands of them for
19 external contamination embedded in the
20 concrete. We have before and after readings
21 in thousands of locations.

22 MEMBER BEACH: Okay. That's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: Just to give you an
2 idea, there's a lot of data at that time,
3 during that decontamination. After that
4 though we don't have any data until 1978 when
5 we have an air sample which -- a sample -- I
6 think all three of the prime contaminants, as
7 I recall, uranium, radium, and thorium, and
8 those are the two endpoints we're talking
9 about right now.

10 MEMBER BEACH: Okay. So --

11 MR. CRAWFORD: 1951 and 1978.

12 MEMBER BEACH: -- you have one
13 sample for that time.

14 MR. CRAWFORD: That's right. It
15 was a general air sample.

16 MEMBER BEACH: Okay. I wanted to
17 make sure I was clear. That's what I have in
18 front of me also. Thank you.

19 MS. BONSIGNORE: And if I could
20 just ask just a brief question -- so if I'm
21 correct in what I'm hearing, there is
22 absolutely no bioassay data at the front end

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or the back end for this in terms of worker
2 exposure and then in between the two time
3 periods, there is no air data or bioassay
4 data. Am I correct?

5 MR. CRAWFORD: Basically correct.
6 There was bioassay data, but I believe the
7 last of it was in 1949 during the last Step 3
8 production step. I do not believe urinalyses
9 were done -- or at least we don't have the
10 data from the decon workers in 1950. There is
11 --

12 MS. BONSIGNORE: Okay. And am I
13 correct in the idea that in order to have an
14 accurate depiction of what people are inhaling
15 and/or ingesting during any time period that
16 we're talking about, to have a sufficiently
17 accurate depiction of that, you need to have
18 both air data and bioassay data?

19 MR. CRAWFORD: It would be
20 preferable. But I would say no because even
21 on an active site, there are people who do not
22 get bioassay data taken from them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. BONSIGNORE: You mean during
2 the operational period? During the '40s and
3 '50s at active sites, sometimes bioassays were
4 not conducted?

5 MR. CRAWFORD: Even today at active
6 sites. If you are a secretary, in other words
7 working in the office in, say, Savannah River
8 while there are active operations going on,
9 you may not be on the bioassay program.

10 I would say that that -- I picked
11 secretary, it could be any job title,
12 engineer, draftsman. If you're not working in
13 the production area, you won't be bioassayed
14 typically. And that is the case with these
15 workers.

16 MS. BONSIGNORE: I understand that.
17 But what you are telling me here is you have
18 absolutely no bioassay data as a reference
19 point for any of this analysis.

20 MR. CRAWFORD: During this period,
21 that's true. During the residual period,
22 absolutely true.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. BONSIGNORE: Okay. And you
2 think that you can perform a claimant-
3 favorable evaluation of this entire time
4 period without any bioassay data? Based
5 solely on some breathing zone data and air
6 sampling data from the '50s and from general
7 air sampling from the '80s?

8 MR. CRAWFORD: Yes. In fact, if we
9 threw out the '78 data and just used the
10 decontamination period data, for instance, it
11 couldn't possibly be worse than that. That's
12 what we're saying. It can't be worse. And
13 most people would have gotten much less.

14 MS. BONSIGNORE: I don't understand
15 that.

16 MR. CRAWFORD: What?

17 MS. BONSIGNORE: I don't understand
18 how you can say that when you don't have
19 bioassay data.

20 MR. CRAWFORD: We can predict the
21 bioassay data. I mean, there are two ways to
22 work the data. If we know the air sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data, we can predict the bioassay data. Those
2 two things can check each other. It
3 essentially can run the other way, too. If
4 you have bioassay, you can pretty much tell
5 what people were breathing. And that's what
6 we're basing this on. That's where the
7 scientific basis of this is.

8 MS. BONSIGNORE: Okay. Well, you
9 know, I'd like to, again, renew my objection
10 here to the fact that there is no bioassay
11 data. And I certainly hope that John and
12 Steve will take a serious look at this issue.

13 CHAIR ROESSLER: I think what
14 they're doing is looking, Antoinette, in this
15 case of looking at what could the highest
16 possible exposures be, given the data that
17 they have. Again, it is an upper bound. It
18 can't be higher than a certain number.

19 MS. BONSIGNORE: Yes. I understand
20 that, Gen. But I think -- I find it troubling
21 that all of this analysis is going on with
22 very little -- with no bioassay data. I mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that was one of the major objections
2 that SC&A identified as a deficiency in the
3 2006 site profile, if I'm correct.

4 CHAIR ROESSLER: Well, let's let
5 SC&A respond to that. And I think John
6 already had a start on that.

7 MS. BONSIGNORE: Okay. Thank you.

8 DR. MAURO: Yes, the preference
9 always is to have bioassay data. When you
10 don't have bioassay data and you have to
11 resort to air sampling data, you have to use
12 it cautiously. And make sure that you are
13 using it in a way that you feel confident.

14 And, again, this is a judgment call
15 made by people with, you know -- and everyone
16 may see it a little differently -- but the
17 very fact that they would pick air sampling
18 data collected during a D&D operation where
19 the potential for dust loadings are fairly
20 high. And then they are going to assign those
21 numbers to a time period during residual
22 radioactivity where the dust loadings are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expected to be much lower.

2 You have sort of accounted for the
3 fact, listen, yes, we'd like to have bioassay
4 data, but this is a good way to deal with the
5 fact that you don't have bioassay data and
6 still have a degree of confidence that you are
7 being claimant-favorable.

8 I look at these things. If the
9 regulation said you can't do dose
10 reconstruction unless you have bioassay data,
11 I would agree with you. But I think the
12 regulations have taken a position where if you
13 don't have bioassay data, you can still get at
14 the problem other ways. And certainly air
15 sampling data is one of the Tier 2 approaches.

16 But you have to be a little bit
17 more cautious that your air sampling data
18 represents the upper bound. And by taking the
19 approach that they've taken, by using the air
20 data that they have, which would be a time
21 period which would be an upper bound, now SC&A
22 comes down saying that's not bad.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You know you're doing the thing
2 that would reasonably place an upper bound.
3 It's not perfect. You sure would like to have
4 bioassay data. If someone were to ask me what
5 do you think, if they actually had some
6 bioassay data during the residual period, do
7 you think that the bioassay data would confirm
8 that the approach taken is conservative, I
9 would say yes. That's what my expectation
10 would be.

11 Now I could be wrong. But my
12 health physics judgment is that that approach
13 would place an upper bound. Now, the issue --
14 so, I mean so I'm comfortable with the
15 strategy by taking that air sampling data
16 during that time period as pegging your upper
17 end.

18 Now, you know, you want to go with
19 95th percentile, you want to go with full
20 distribution, you want to go with geometric
21 mean, I would say those were all -- the 95th
22 percentile would be extremely conservative.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But I would not have a great problem with even
2 going with the geometric mean given where and
3 when you collected that data.

4 Now, if it turns out because of
5 concerns about what happened in between -- now
6 I know we want to stay with this, but --

7 CHAIR ROESSLER: Yes.

8 DR. MAURO: -- if you, I mean in
9 theory you could assume it is flat. It's not
10 going down. We're going to stay up at that
11 level. Now one could argue, well, wait a
12 minute, that's not plausible.

13 And we're going to get into lots of
14 discussions on plausibility in the future. So
15 this is almost like it foretells some of the
16 places where we're -- there are ways of coming
17 at problems such as this one where we go,
18 listen, you know what we'll do, we'll just
19 assign that upper bound for the whole time
20 period, right up until FUSRAP even though we
21 know by the time FUSRAP shows up, it is always
22 a magnitude lower.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But, you know, if push comes to
2 shove because of certain uncertainties and
3 what may have taken place in the in between,
4 just peg it right up there right across the
5 board.

6 Now someone would say this is not
7 plausible. And that's a whole other
8 conversation. But I think we, the Work Group
9 and the Board as a whole, there are many SECs
10 before us right now where strategies are being
11 adopted to place an upper bound in a way that
12 one could argue it's really not plausible.

13 And I think there is a lot of
14 discussion that has to be held here. If
15 that's the approach we end up going with --
16 because it sounds like you really haven't
17 nailed down the exact approach that you are
18 planning on using -- you have a tractable
19 problem.

20 If everyone agrees that using that
21 upper bound and just make it flat is something
22 that could be done and done within the realm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of what one would consider to be plausible
2 because that would be an upper bound. There's
3 no doubt about it. So that's where we come
4 out.

5 Now, on the other extreme, the
6 approach of using the data that you have pre-
7 FUSRAP without taking into consideration this
8 perturbation that occurred in between where
9 they were ripping things up -- now I guess
10 we'll get to that -- if there was no
11 perturbation, if there was no special activity
12 going on in between, SC&A's position is that
13 approach works. They are going to be pegging
14 it, upper end value, pegging the lower end
15 value, drawing the line.

16 We've taken that position on OTIB-
17 0070. And that would be the classic
18 application of OTIB-0070. The fly in the
19 ointment here is what was going on in between
20 that could upset that.

21 CHAIR ROESSLER: Let's deal with
22 that next.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes.

2 CHAIR ROESSLER: But let's hear
3 from Steve then also on this situation where
4 we have nothing special going on in between
5 and the approach of using the high levels,
6 measured data -- using measured data at the
7 beginning and at the end and coming up with an
8 extrapolation in between.

9 DR. OSTROW: I echo John's opinion
10 here that it is a reasonable approach to take.

11 You have data at the beginning. You have
12 data at the end. Nothing happens in between.

13 The data at the beginning is very
14 conservative data so you can put a reasonable
15 upper bound on the exposures.

16 DR. MAURO: And this is entirely
17 consistent with our position on OTIB-0070.
18 OTIB-0070 is a very important document. It is
19 one way to come at -- it's the OTIB that is
20 going to be used universally for all residual
21 periods.

22 And if used properly, taking into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consideration some of the concerns we have,
2 but in this case, it is being used just in the
3 way we said it should be used. So, you know,
4 our position in this matter is very consistent
5 with our position on OTIB-0070.

6 CHAIR ROESSLER: I think we've
7 gotten to a point where we have thoroughly
8 discussed another section here, this second
9 part. And I actually think we should stop
10 here and perhaps do again what we did before
11 and make a motion, take a vote. And then go
12 into the next part which is evaluating
13 Findings 7 and 8. What do you think, Jim?

14 MEMBER LOCKEY: I agree.

15 I have a question, Steve, if taking
16 the highest value we had during D&D, right --

17 MR. KATZ: Can you hold just one
18 sec? There is a -- I don't know if these
19 people on the phone can hear it --

20 MEMBER BEACH: I can hear it.

21 MS. BONSIGNORE: Yes, I can hear
22 it, too.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay. It went away.
2 Okay. So carry on.

3 MEMBER LOCKEY: So we take that
4 highest value for, say, 1950, the same
5 question I asked about radon. Is there any
6 demolition -- additional demolition work done
7 on an area-by-area basis up to 1978? Putting
8 in the machine? Tearing out some flooring?
9 Tearing out a wall? Could it exceed?

10 DR. MAURO: Yes. That could upset
11 the apple cart. Yes, that's what the next
12 step is. This is why my -- in other words --
13 oh, could it exceed the upper end value?

14 MEMBER LOCKEY: Right, upper end?

15 DR. MAURO: I tell you, I find that
16 hard -- I mean, you know --

17 DR. NETON: Let me interject.

18 DR. MAURO: Okay.

19 DR. NETON: The upper end value in
20 the D&D was while the contamination was still
21 there, being remediated. And so after that
22 point, you have, like, 4,600 contact surface

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measurements to demonstrate what the
2 contamination levels were brought down to.

3 And so in my opinion, there is no
4 conceivable way that once you have taken away
5 all the contamination and documented that it
6 is available for free release under the
7 requirements of that time that you could
8 generate a higher aerosol than someone, for
9 instance, sandblasting an extremely highly
10 contaminated surface is my feeling.

11 CHAIR ROESSLER: Well, I think, to
12 answer your question, even with the
13 remodeling, which we are going to discuss, it
14 appears that the numbers could not be higher
15 than --

16 DR. MAURO: Yes, I think the
17 question you were really asking is is it
18 possible that okay, the front ends, 1950, you
19 got some measurements during D&D -- okay, is
20 it possible that 20 years later, or whatever,
21 30 years later, they start to fool around,
22 they're digging things up, is it possible it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could actually get higher than it was then,
2 and it is very unlikely.

3 MEMBER LOCKEY: That was the
4 question I was asking.

5 DR. MAURO: That was the question,
6 okay.

7 MEMBER LOCKEY: So the 1950 value
8 is sort of a -- take the conservative
9 approach, picked the highest value, did the
10 standard mean, standard deviation or geometric
11 mean, standard deviation. Okay. That was the
12 question I was asking. All right.

13 CHAIR ROESSLER: Mike and Josie, do
14 you have any questions on this part? Mike and
15 Josie?

16 MEMBER BEACH: This is Josie. I
17 don't, but I have to say I disagree with the
18 discussion. And the same basis is lack of
19 data.

20 CHAIR ROESSLER: Mike?

21 MEMBER GIBSON: And this is Mike.
22 I agree with Josie.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR ROESSLER: Well, then I'll go
2 ahead and make -- to move things along -- make
3 a motion that on this part, the Work Group's
4 conclusion is based primarily on SC&A's
5 evaluation of this is that the strategy of
6 using air sampling data can put an upper bound
7 on this in the way of using OTIB-0070.

8 And so that's my motion, that the
9 doses can be reconstructed.

10 MEMBER LOCKEY: I second.

11 CHAIR ROESSLER: And then is there
12 any further discussion within the Work Group?

13 I think Josie and Mike maybe have
14 already -- but go ahead, Mike.

15 MEMBER GIBSON: Yes, this is Mike.
16 You know I'd just like to say that SC&A is
17 our contractor to give us evaluations. I just
18 want to go on the record to say I disagree
19 with them on this issue.

20 CHAIR ROESSLER: Okay. Well, then
21 let's take a vote. All in favor of the
22 motion, say aye.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Chorus of ayes.)

2 CHAIR ROESSLER: All opposed?

3 MEMBER BEACH: Aye, as opposed.

4 CHAIR ROESSLER: Okay.

5 MEMBER GIBSON: Nay.

6 MEMBER BEACH: Nay.

7 CHAIR ROESSLER: All right. Then I
8 think we have that on the record, and we'll go
9 on to the next step.

10 MR. CRAWFORD: The next step, I
11 believe, is the remodeling --

12 CHAIR ROESSLER: Yes.

13 MR. CRAWFORD: -- period.

14 CHAIR ROESSLER: Yes.

15 MR. CRAWFORD: I believe that the
16 original proposal was that we use a reduction
17 factor of eight. That is, we took the
18 supposed embedded contamination levels that
19 were measured pre-decon, reduced it by a
20 factor of eight, and then used that as a basis
21 to say how much might have been resuspended.

22 In -- Joe Guido is the author of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this section -- in his latest evaluation, he
2 proposes using a reduction factor of only two,
3 which is a sizable difference, and apply that
4 to what happened during any remodeling effort,
5 which we are defining so far as being the
6 1960s.

7 So this results in a heightened
8 dose compared to the rest of the residual
9 period, but not quite as high as the initial
10 decontamination period, which we think is a
11 reasonable result.

12 DR. OSTROW: Chris, go over it
13 again maybe, it wasn't quite clear to me, but
14 I've read it a lot of times, what is the
15 reduction factor? What are you reducing? You
16 know you had eight -- a factor of eight,
17 originally. Now you went down to a factor of
18 two. What are you reducing to what?

19 (Laughter.)

20 MR. CRAWFORD: It's the embedded
21 uranium progeny, basically.

22 DR. NETON: The dose rate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: Reduced, that's
2 right. And then from that, we assume that it
3 has a like effect on the likely air
4 concentration. So it's quite a bit heightened
5 over the endpoint, the '78 endpoint. But it
6 is not as high as the 1950 decon rate. About
7 half as high in other words.

8 DR. MAURO: We did have a problem
9 with that factor of eight in our original
10 report.

11 MR. CRAWFORD: Yes.

12 DR. NETON: You see, a factor of
13 two seems to be fairly conservative or
14 claimant-favorable because if you -- first of
15 all, when you -- as Chris mentioned earlier --
16 when you are doing active D&D, you are
17 concentrating on the source term itself. You
18 are right -- you are identifying the hottest
19 areas and trying to remove them. And you are
20 going to generate airborne based on that.

21 When you are doing remodeling
22 operations, you are not. You are -- you may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inadvertently run across these pockets and
2 such, but like Chris's example, I liken it to
3 if you are drilling a hole in a floor or
4 concrete, you are not scabbling the entire
5 100-meter square floor. You are drilling a
6 half-inch diameter hole through it.

7 So, therefore, the ability to
8 release contamination is minimal compared to
9 the active D&D. Basically, the relative
10 contact dose rates, it seems to be a
11 reasonable approximation of how much
12 contamination was available to be resuspended,
13 yet assuming that they were doing those sort
14 of aggressive operations such as scabbling or
15 other types of things that they did.

16 DR. OSTROW: Now SC&A originally
17 had a problem with the factor of eight. We
18 looked at the same data that you guys were
19 looking at and thought a factor of eight was
20 too large. We couldn't really see that.

21 We saw there was some difference,
22 but it didn't look like eight. Now if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 went down to a factor of two, that seems to be
2 more reasonable.

3 MEMBER LOCKEY: Weighted average?

4 MR. KATZ: No. I'm sorry -- I
5 shouldn't really interject, except just
6 sitting here, I'm just missing something. Can
7 you explain what the basis is for going from
8 eight to two? I mean how did you get to two?

9 MR. CRAWFORD: It's a tricky
10 calculation in the sense that of the 4,600
11 measurements, the vast majority of those
12 measurements, in the beginning, were below the
13 level of concern. You might say they were
14 zero. They weren't zero, but they were below
15 the measurement level they were looking at,
16 okay?

17 The contamination level, which is
18 highly concentrated in certain areas of the
19 building, under a certain machine, or, you
20 know, at the loading dock, wherever, so it
21 depends on how you look at the zero values --
22 what you are going to say the average is. Jim

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is referring to the weighted average.

2 Joe's first pass at it, he said it
3 looked like they got about seven-eighths of
4 the total mass, you might say, of the
5 contaminants they were looking for. He went
6 back and looked at it again. And he probably
7 left out the zero values and said, well, it
8 would be more claimant-favorable, we'll say
9 they only got half of the contaminants out
10 instead of seven-eighths.

11 So basically we were responding to
12 SC&A's objection that, well, a factor of eight
13 is too high. So we're saying, well, okay,
14 let's go for a factor of two.

15 DR. MAURO: I seem to remember,
16 and, Steve, remind me if I'm right, that the
17 eight -- there were some measurements made
18 before D&D at one location where you were
19 getting positive hits and then some other
20 measurements made at some other location after
21 D&D, and there was a factor of eight there.

22 So there were two different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 locations that there was no reason to believe
2 that the ratio between what was observed
3 before over here and after over there is any
4 good measure of what the overall effectiveness
5 of D&D was. So we found that strategy as
6 being unconvincing.

7 And I'm not sure whether we -- you
8 know, so now, Steve, I have to apologize. I
9 didn't look at this part of the analysis on
10 how the factor of two, you know, is now being
11 adopted and how you came to the factor of two.

12 I think I remember how you came to
13 the factor of eight. We had a real problem
14 with that.

15 MR. CRAWFORD: Right. We do have a
16 comparison on -- Steve, this is the -- I
17 believe it is the Heatherton document, 1950.

18 DR. OSTROW: Yes.

19 MR. CRAWFORD: I can get the SRDB.
20 On page six of that document, Table 1 --

21 CHAIR ROESSLER: What -- where are
22 you? Are you on your document?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: No, this is offline
2 from that discussion a little bit ago.

3 CHAIR ROESSLER: Oh, okay.

4 MR. CRAWFORD: I want to give Steve
5 and John an idea of where we're taking our
6 data from.

7 These are comparable areas. There
8 is one place here, for instance, the comp
9 room, whatever that is, for some reason they
10 don't have certain readings. But you can see
11 most of the time they have readings in the
12 same area pre- and post.

13 DR. MAURO: Before you worked in
14 the more area, if I remember, you went and
15 looked at the more area in one place and then
16 someplace else in another area. And that's
17 where you got the eight. I remember that
18 work.

19 MR. CRAWFORD: Right.

20 DR. MAURO: Now you're saying --

21 MR. SHARFI: John?

22 DR. MAURO: Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SHARFI: This is Muttu. The
2 factor of eight originally occurred from when
3 they took the highest value pre-decon compared
4 to the highest value post-decon, which were
5 from different areas.

6 DR. MAURO: Okay.

7 MR. SHARFI: The change in the
8 highest value was a factor of eight.

9 DR. MAURO: Oh, okay. I see. So
10 that was a way to try to get a handle on it.
11 I know we were uncomfortable with that because
12 --

13 MR. SHARFI: You know, I think that
14 was done by the original contractor when they
15 did the work. They claimed there a reduction
16 rate of a factor of eight, which is what we
17 just used based on what their original
18 analysis was.

19 Now when Joe did an analysis of all
20 the data, he calculated a weighted average of
21 contamination pre- and post-decon. And then
22 came up -- based on a weighted average, it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a factor of two.

2 MR. KATZ: Okay. Thank you.

3 DR. MAURO: That's a great answer.

4 I appreciate it. And that strategy, coming
5 up with a weighted average where you have many
6 locations, where you look at each location and
7 compare location by location, before and
8 after, that's what we were looking for.

9 I can't say that we can say that is
10 the right number. But that approach is the
11 right one.

12 Steve, did we have anyone, you
13 know, go through those numbers and run them?

14 DR. OSTROW: We did, by eye. We
15 didn't actually do the calculations.

16 DR. MAURO: We didn't go back to
17 the original data and go check and say yes, it
18 look like it's --

19 DR. OSTROW: Well, we did look at
20 the original data.

21 DR. MAURO: Oh, you did?

22 DR. OSTROW: Yes, but we didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually run through a whole calculation like
2 they did. It just looked, you know, a factor
3 of two looked sort of reasonable. I mean it's
4 -- how much less are you going to make it?

5 DR. NETON: And when you think
6 about this, you are applying this factor of
7 two to the geometric mean, right, of all the
8 values, which were presumably driven by these
9 higher -- more highly contaminated areas,
10 which were apparently reduced by up to a
11 factor of eight.

12 DR. OSTROW: Right.

13 DR. NETON: I mean, your efficacy
14 of contamination reduction goes down as you
15 get closer and closer to background. And so
16 there is some real conservatism built into
17 that calculation.

18 DR. MAURO: I mean, you know,
19 because I didn't study all the numbers, but
20 there is a gratuitous sense you have. Okay,
21 they went through -- what you are really
22 saying is they went through a decon operation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and you are saying, in effect, it was only
2 minimally effective in getting activity down.

3 You know, you got a factor of two out of it.

4 In any decon operation, you would
5 expect a lot better than that. But they are
6 only taking credit for a factor of two. I
7 mean that's the extent to which I could
8 comment on this at this time.

9 DR. OSTROW: Well, I think the
10 point is, and NIOSH brought it up, the
11 contamination wasn't uniformly spread out over
12 everything. You had certain areas, you know,
13 under a particular machine, in this particular
14 piece of the floor where somebody had spilled
15 something, those were high. And they probably
16 did a good job of decontaminating those
17 particular areas, you know.

18 But if you average over the whole
19 thing, because a lot of it wasn't particularly
20 contaminated, if it is not particularly
21 contaminated, you can't reduce the
22 contamination. So taking the geometric mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over all these thousands of measurements over
2 the whole place, there, a factor of two is
3 reasonable.

4 DR. MAURO: I mean it sounds like
5 I'm really leaning towards NIOSH, but the
6 common sense argument is that, you know, you
7 go into a decon operation, you are going to go
8 find a place that are the screamers, the hot
9 areas, we're going to get rid of that.

10 And yes, you might miss a lot of
11 the low areas that may be a borderline of even
12 detectable. So then you say okay, if I had x
13 curies sitting in this building before decon,
14 how many -- in fact, you can probably go into
15 the literature and there is tons of literature
16 on this -- how many curies do you have in the
17 building after decon?

18 Well, I can tell you one thing for
19 sure, there is no doubt the number of curies
20 at every decon operation did better than a
21 factor of two. I'll tell you that right now,
22 but you're only taking credit for a factor of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two.

2 So I mean, you know, on first
3 blush, looking at this and what I'm hearing,
4 it certainly sounds reasonable. That's all I
5 can say.

6 And, Steve, you looked at it?

7 DR. OSTROW: Yes. It sounds
8 reasonable. And the argument is plausible.
9 And the question is whether you can calculate
10 the exact number. And the answer is no, you
11 can't. But can you make a reasonable bounding
12 calculation, then yes.

13 DR. MAURO: And, again, what I'm
14 hearing is -- if you wanted to really drive
15 it, you don't take any credit for any, as if
16 decon didn't do anything. Then you're really
17 putting an upper bound. Now that would be
18 unplausible.

19 So we're stuck between a rock and a
20 hard place, you know, so --

21 CHAIR ROESSLER: So would you
22 explain now when you talk about taking -- you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, using this factor of two, how that
2 applies so that it covers this remodeling
3 period or these special things that were going
4 on during the period. I think that's what
5 we're focusing on.

6 I think you understand what you are
7 talking about. But for everybody else who is
8 listening, I think we need to know -- to focus
9 on how this suggested calculation takes care
10 of any questions with regard to the remodeling
11 that took place. Isn't that what we're
12 dealing with?

13 DR. MAURO: I think it is. And I
14 will tell you how I understand it, and you
15 tell me if I understand it right, you could
16 have just gone flat from the 1950s right
17 across. But you decided not to.

18 During the remodeling period,
19 rather than going flat, you went down by a
20 factor of two. In other words, so it is
21 almost like this, there was no modeling during
22 that period, it went from here to here, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 straight line. And you're okay with that.

2 However, right in here you've got a
3 remodeling period. What are you going to do
4 there? Because with one straight line, you
5 know, you are going to be low over here. But
6 no, no, no, we realize that something happened
7 over here. And what they did is they raised
8 it. But they didn't raise it all the way up.
9 They raised it only half way up.

10 CHAIR ROESSLER: So during that
11 period of time, they raised it half the way
12 up.

13 DR. MAURO: Right.

14 CHAIR ROESSLER: And you feel like
15 that is a reasonable upper bound for that
16 period of time?

17 DR. MAURO: Yes.

18 CHAIR ROESSLER: Okay.

19 MR. KATZ: So that was Antoinette's
20 question. What do I say to the person who was
21 jackhammering somewhere in that middle period?

22 And John's answering, that person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 jackhammering would get half the level of a
2 person who was actually doing the D&D when all
3 the contamination was --

4 DR. MAURO: Was still there.

5 MR. KATZ: -- there and
6 jackhammering.

7 DR. MAURO: Bingo. I mean there is
8 your common sense argument.

9 MR. CRAWFORD: Not only that but if
10 you weren't jackhammering, you also got it.

11 MR. KATZ: No, no, jackhammering is
12 just one example that Antoinette threw out of
13 many activities that were underway.

14 DR. MAURO: You know I'm the first
15 one, you know, to me I look at these things
16 pretty simply in the end. I try to get it
17 down to the common sense argument. Does it
18 make sense? And this makes sense to me.

19 Now if there are aspects to it --
20 for example, the fact that we don't have
21 bioassay data, the fact that we don't have a
22 lot of air sampling data, you know, yes, I'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like to have that. But in the end, is what's
2 being done a reasonable way to come at the
3 problem when you lack the data, and really
4 this goes to the heart of the philosophy of
5 the statute and the regulation.

6 There are so many times when you
7 don't have adequate data. The question is,
8 are you using the available data in a way that
9 there is a level of confidence that you could
10 reconstruct the doses with sufficient accuracy
11 and plausible and et cetera, et cetera, and
12 that's, unfortunately, very much a judgment
13 call.

14 In the end, in the end, you know,
15 all the number crunching in the world, you
16 know, and if your test, if your personal test
17 is no, listen, I'm not happy unless I see lots
18 of bioassay data, lots of breathing zone data,
19 well, then, this doesn't pass that test.

20 DR. NETON: There wouldn't be much
21 of the dose reconstruction either. But it
22 wouldn't be required. If you had all the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 DR. MAURO: If you had the data,
3 you wouldn't need --

4 DR. NETON: -- you wouldn't call it
5 a dose reconstruction.

6 DR. MAURO: Yes. Then you could do
7 the dose reconstruction.

8 MS. BONSIGNORE: I'd just like to
9 add a question here. The reduction factor of
10 two for the remodeling period that is being
11 proposed here from NIOSH, that is only bearing
12 in mind vacuum cleaning data? Am I correct?

13 MR. CRAWFORD: I believe that is
14 correct.

15 MS. BONSIGNORE: Okay. So I'm
16 going to have to object to that. And I
17 understand that SC&A thinks that this is
18 reasonable and that NIOSH thinks this is
19 reasonable.

20 But I'll tell you straight out the
21 workers who are looking at this kind of
22 information feel that their testimony, their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 affidavits, their statements from people who
2 were there, who actually saw what was going
3 on, is being ignored.

4 DR. NETON: We can revisit this
5 document.

6 DR. MAURO: Could you tell us a
7 little more about it? Because, you know, I
8 guess --

9 DR. NETON: That's why I asked that
10 question earlier. I think after hearing some
11 of these other discussions, I think that
12 possibly we need to rethink the use of the
13 vacuuming data as the middle value for
14 distribution of exposures during the
15 renovation period.

16 MS. BONSIGNORE: And to that point,
17 I do have a number of other documents that I
18 would like to submit to the Working Group,
19 testimony that is -- consistently shows what I
20 have been discussing about what was actually
21 happening in these buildings during the
22 remodeling period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And, I mean, you know, this is a
2 housekeeping detail. But just to let me know
3 if I should just send that to everybody in the
4 Working Group or how I should handle that
5 situation.

6 DR. NETON: I think you should send
7 it at least to the Working Group and NIOSH in
8 particular. We'd certainly like to be able to
9 see --

10 MR. KATZ: If you send -- if you
11 don't want to -- you have this electronically,
12 or you have this in hard copy?

13 MS. BONSIGNORE: I have it
14 electronically so it is not a problem to just
15 send it to everybody.

16 MR. KATZ: Yes. So you are welcome
17 to send it to the Work Group as well as to
18 OCAS -- to the -- but anyway, wherever you
19 send it, it will get distributed to everybody
20 involved, including SC&A and OCAS.

21 DR. NETON: This doesn't
22 necessarily include Privacy Act information,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 does it? Protected information?

2 MS. BONSIGNORE: There's nothing in
3 there that -- it's the workers' names, that's
4 pretty much it. But that's the same
5 information that would have been -- that I
6 have already provided in prior affidavits.

7 MR. KATZ: It's okay, Antoinette.
8 I mean you can even just send it to me if you
9 don't want to bother with everyone, and I will
10 distribute it to all parties. Or you could
11 send it to the Work Group and me. Everyone
12 just should note in the Work Group that this
13 includes Privacy Act information. So they all
14 know to take proper precautions with people's
15 names and so on with that Privacy Act
16 protected information, Antoinette.

17 But go ahead, send it to the Work
18 Group. You can send it to me, too. We'll
19 make certain that everybody, including the
20 contractors, get this information.

21 MS. BONSIGNORE: Ted, is that you
22 speaking?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, that's me. I'm
2 sorry. It's Ted. It's me, again.

3 MS. BONSIGNORE: Okay. Thank you.

4 DR. NETON: I will say that, you
5 know, hearing some of these -- this other
6 information about possible sandblasting and
7 jackhammering, that sort of activity during
8 the remodeling period does give me some pause.

9 Notwithstanding that, though, I
10 think that the approach that we just
11 discussed, which is applying half the value
12 that was experienced during the D&D operation,
13 is still a valid approach. We just need to
14 maybe fine-tune or reevaluate, you know, what
15 we consider to be representative exposure that
16 we would apply from the D&D era.

17 MS. BONSIGNORE: I'd just like to
18 note that I have provided this kind of
19 documentation prior to this date. And NIOSH
20 has had access to it.

21 MR. KATZ: So just so I'm clear
22 because maybe Antoinette's not clear and she

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a layperson like I am. So what you are
2 saying is right now the D&D period used
3 vacuuming data and you would use potentially
4 other activity during the D&D period as that
5 top end?

6 DR. NETON: We would reevaluate
7 that.

8 MR. KATZ: Possibly, right,
9 reevaluate that. Okay. Thank you.

10 CHAIR ROESSLER: So it appears we
11 can't resolve this right now, that we're going
12 to wait until we get the data from Antoinette.
13 It goes through NIOSH. They take another
14 look at it. How do you think --

15 MR. KATZ: Well, the data that Jim
16 is going to rely on is the data from the D&D
17 period. The data that Antoinette is providing
18 is just testifying to the types of activities
19 that occurred during the residual period. So
20 what is critical is what data OCAS has on the
21 D&D period.

22 MS. HARRISON-MAPLES: I believe we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do have the testimonies that Antoinette is
2 responding to.

3 DR. NETON: Yes. I have not been
4 as close to this as possibly I should be.
5 But, again, it is not clear to me how we ended
6 up with the vacuuming being representative.

7 MS. BONSIGNORE: I'd actually like
8 an answer to that question because, as I
9 stated previously, I have submitted affidavits
10 from workers prior to this point attesting to
11 the fact that this kind of work was going on.

12 And I'm just wondering if that material was
13 considered.

14 MR. CRAWFORD: Of course it was
15 considered. And we don't deny that other
16 kinds of activity -- we're not even saying
17 that vacuuming was the only activity or the
18 primary activity.

19 What we are trying to do is,
20 however, model the situation as realistically
21 as possible and in a claimant-favorable way.
22 Now if you can tell me that jackhammering was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done eight hours a day for ten years, I will
2 reconsider this. But instead, we did ten
3 years worth of vacuuming as a more reasonable
4 estimate.

5 MS. BONSIGNORE: You know, I'm
6 sorry, I have to object to the expectation
7 that I would be able to provide you with
8 detailed information that jackhammering was
9 going on for eight hours a day for a ten -- I
10 mean, I'm sorry.

11 MR. KATZ: Antoinette, I think
12 Chris was being rhetorical there.

13 MR. CRAWFORD: Yes.

14 MR. KATZ: He was not asking for
15 such --

16 MS. BONSIGNORE: Well, I understand
17 that he was being rhetorical. But we're
18 talking about people's lives here. And I
19 would appreciate it if there would be some
20 regard for that at times here.

21 MR. KATZ: Right.

22 MS. BONSIGNORE: I don't appreciate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it. And I know the workers don't appreciate
2 it.

3 DR. NETON: I understand. This is
4 Jim Neton. And we're going to go back and
5 take a look at this in that light from what
6 I'm hearing here and see where we end up.

7 We certainly would end up using the
8 distribution or some type of a distribution
9 from the D&D era. But I would just like to
10 get a little closer to it and look and see
11 exactly how we analyzed it and help move this
12 forward.

13 CHAIR ROESSLER: So timing-wise,
14 what can we expect on this?

15 DR. NETON: It shouldn't be a very
16 lengthy review I wouldn't think.

17 CHAIR ROESSLER: And how do we want
18 to handle it then? Would we have something
19 where we could have perhaps -- I don't know, a
20 phone call or something?

21 MR. KATZ: We need a -- it seems
22 like so much of the discussion that has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occurred, you know, he's following up on the
2 data question, it seems like we could deal
3 with it in a teleconference without it being a
4 face-to-face meeting.

5 CHAIR ROESSLER: Before the Board
6 meeting.

7 MR. KATZ: Absolutely --

8 CHAIR ROESSLER: Because we have
9 plenty of time.

10 MR. KATZ: -- before the Board
11 meeting.

12 MEMBER LOCKEY: Jim, could you tell
13 me what you are going to do with the D&D data?
14 What are you going to go back and look at?

15 DR. NETON: Well, actually I'd like
16 to sit down with our folks and look at some of
17 the more -- some of the documentation that was
18 provided in support of the different types of
19 activities that occurred during the remodeling
20 activities and help to make a determination if
21 the vacuuming is really truly representative
22 of the potential exposures, you know, in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 era.

2 MEMBER LOCKEY: You would compare
3 that to the D&D period then? Is that --

4 DR. NETON: Well, it is a matter of
5 picking what is the most representative work
6 activity from the D&D era to apply to the
7 remodeling era. Right now it seems to be a
8 little bit fuzzy in my mind as to whether we
9 picked the appropriate model. I'm not saying
10 it isn't.

11 At the end of the day -- and I
12 haven't been as close to it as I should -- but
13 I'll look through our analysis and maybe come
14 to the same conclusion. But I don't have a
15 comfort level in what I'm hearing right now
16 that we have completely justified that.

17 MEMBER LOCKEY: If you look at the
18 activities during D&D and see if some of those
19 spilled over into the future --

20 DR. NETON: Well, what types of
21 activities that were occurring during the
22 remodeling era, how close they related to what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might have occurred during the D&D era. Sort
2 of get a feel, a flavor. And then that factor
3 of two would be applied that we talked about.

4 MEMBER LOCKEY: Understand.

5 CHAIR ROESSLER: Okay. So I think
6 we have come to the end of what we can do on
7 this particular item unless people have other
8 questions or concerns.

9 Then I think we have one left,
10 Finding 10, on page 9 in your report.

11 MR. CRAWFORD: And for the purposes
12 of SEC-107, beyond the embedded residual
13 contamination, there were no raffinates
14 present. The raffinates were actually removed
15 during the ore-processing period prior to the
16 Step 3 production period.

17 In other words, the SEC covers --
18 the existing SECs, granted, cover the period
19 when raffinates were present on site. After
20 that time, they had been removed to Lake
21 Ontario Ordnance Works and/or Ashland Oil.
22 They were stored there for a while, too.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But they are not a factor at Linde
2 during the residual period. They are
3 accounted for in the suspended particulates.
4 That is what was embedded in the walls is,
5 indeed, accounted for there.

6 MS. BONSIGNORE: I have a question
7 about this issue. Do you have any data with
8 respect to the level of contamination in the
9 tunnels beneath Building 30 and Building 14
10 and the possible spill-over of the effluents
11 in those areas?

12 MR. CRAWFORD: The FUSRAP study did
13 look at boreholes. They looked at tunnels
14 under the site. They looked at the creek
15 contamination. Yes, there is data for that.
16 We are, however, unaware that anybody worked
17 in those locations for any --

18 MS. BONSIGNORE: They did.

19 MR. CRAWFORD: -- demonstrable
20 period of time.

21 MS. BONSIGNORE: They certainly
22 did. And I can provide you with testimony of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that as well.

2 MR. CRAWFORD: One thing is to have
3 inspected or worked in the tunnel. Another
4 was to have been stationed in the tunnel.

5 MS. BONSIGNORE: Well, people
6 weren't stationed in tunnels. But people
7 certainly did work in tunnels on a continuing
8 basis. And I can -- I know of two people --
9 two workers that I have spoken to in the past
10 few years who have talked about working in the
11 tunnels.

12 MR. CRAWFORD: Perhaps we could get
13 that information as to what their exposures
14 were in the sense of how many hours did they
15 spend in the tunnel per year or month. That
16 would be useful. And what they did there.

17 MS. BONSIGNORE: Okay. I can
18 arrange that. All this documentation is
19 probably going to take me a few days to
20 gather.

21 CHAIR ROESSLER: Is there any other
22 question on this section? Steve? Or John, do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you have any comments on it? Other than
2 getting the information to Chris about the
3 tunnels, have we explored everything here?

4 DR. MAURO: The only question --
5 and, you know, I have been following this, but
6 it was my understanding that -- so what I'm
7 hearing is there were locations on site after
8 operations, after D&D, where there still was a
9 substantial amount of raffinates, such as the
10 tunnels. Is that what I'm hearing?

11 MS. BONSIGNORE: Yes, that's
12 correct. And, in fact --

13 DR. MAURO: Well, that is this
14 conversation, that assumption.

15 MR. CRAWFORD: Well, all I saw were
16 radon readings.

17 MS. BONSIGNORE: Well, there are
18 underground wells that FUSRAP has not even
19 touched.

20 MR. CRAWFORD: There were injection
21 wells.

22 DR. MAURO: Injection wells.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. BONSIGNORE: Yes. I mean the
2 Army Corps has essentially decided that they
3 can't decontaminate those wells.

4 CHAIR ROESSLER: How would that
5 impact the worker exposures?

6 MS. BONSIGNORE: Well, I think that
7 would impact the worker exposures in terms of
8 the material from those wells being in the
9 tunnels.

10 CHAIR ROESSLER: Anybody have a --
11 I don't picture --

12 DR. MAURO: Yes, I guess from our
13 perspective, if there are locations on site
14 where raffinates could have remained or been
15 picked up during D&D, and where there is
16 evidence that people might have worked in
17 those areas, that is important.

18 DR. NETON: Yes. I mean we need to
19 see this tunnel -- this tunnel information.

20 DR. MAURO: Yes, because right now
21 we've been operating on the premise that it
22 wasn't there. If it is there, that does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 change the picture. And it is important that
2 we put that to bed.

3 CHAIR ROESSLER: Do you remember
4 why this was a finding to begin with? Did we
5 put this on the list because it was something
6 SC&A brought up?

7 DR. MAURO: Because it was -- I
8 believe the original -- I'm sorry, Steve, but
9 I believe the original report was silent on
10 raffinates.

11 DR. OSTROW: That's right. We
12 wanted to know basically did NIOSH take a look
13 and see if there were any raffinates present.

14 And we heard in the report that as far as
15 they know, there weren't any. And now we may
16 be having new information.

17 MR. CRAWFORD: I don't know that
18 this is new information. That is, I still
19 don't know that there were any raffinates in
20 the tunnel, for instance.

21 We know that they did take
22 effluents, liquid effluents, and put them into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 injection wells, which should be quite
2 separate. They also took all the solid
3 residues and took them offsite. That's what
4 we know during the main production of the ore
5 periods.

6 We have measurements in the FUSRAP
7 era of radon measurements in the tunnel area.

8 I don't even know how large they were, by the
9 way, or what was in them, or why they were
10 there, but showing elevated radon
11 concentrations. That could be just uranium
12 residues.

13 We don't know what was in the
14 tunnels. But as far as I know, they weren't
15 used as, you know, main drains for the main
16 raffinate effluent.

17 MEMBER BEACH: This is Josie. The
18 original finding also talked about renovation
19 activities. And to consider if raffinates
20 might have been present. And the airborne
21 dust needed to be qualified.

22 MR. CRAWFORD: That was done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Okay. And then I
2 also have a note that FUSRAP data is needed
3 for the later years. Is that a possibility?
4 Or did that end in `96, I believe?

5 MR. CRAWFORD: As far as I know,
6 and, Monica, maybe you can add to this, but as
7 far as I know, we have been unable to locate
8 any further data after 1982. There was FUSRAP
9 activity at the site, Corps of Engineers
10 activity.

11 We have written to the Corps of
12 Engineers. They say they don't have any data.
13 Somewhere it probably exists. But we can't
14 get it.

15 MEMBER BEACH: Okay.

16 MR. CRAWFORD: That would primarily
17 have been -- well, it would have been
18 interesting if we had it. It also probably
19 would have a lot of relevance to the people
20 who actually did further decon under the
21 FUSRAP program.

22 MEMBER BEACH: But why can't we get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it, though, if it is available? And it should
2 be out there.

3 MR. CRAWFORD: Well, we have asked
4 for it. And we have asked the right people.
5 They say they don't have it.

6 MS. BONSIGNORE: They say they
7 don't have it because they can't find it or
8 because they don't think it exists?

9 MR. CRAWFORD: My understanding is
10 they don't think it exists. We're talking
11 about data in the `90s and early 2000s here.

12 MS. BONSIGNORE: Right. So --

13 MR. CRAWFORD: Why it doesn't
14 exist, I don't know.

15 MS. BONSIGNORE: -- so I'm just
16 trying to get an understanding here because
17 I've raised issues about the destruction of
18 documents at this facility, that I submitted
19 affidavits from two -- actually three workers
20 talking about the destruction of documents.
21 And I'm wondering if there could be a possible
22 relation there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CRAWFORD: These, by the way,
2 would not have been Linde documents or
3 Praxair, their successors. They would have
4 been documents from the Corps of Engineers.
5 Oak Ridge did work for them, for instance.

6 MR. SHARFI: Chris, this is Muttu.
7 We have 1995 data. That was actually even
8 used in the gamma analysis for the radon part.

9 MR. CRAWFORD: Oh, okay.

10 MR. SHARFI: We have FUSRAP data for
11 Building 31. That was done by, I believe,
12 ORNL.

13 MEMBER LOCKEY: Does anybody know
14 what the tunnels were used for? Anybody have
15 any idea?

16 MS. HARRISON-MAPLES: My
17 understanding is they were primarily utility
18 tunnels.

19 MR. KATZ: People can't hear. Can
20 you say it louder?

21 MS. HARRISON-MAPLES: I just said
22 my understanding is they were primarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 utility tunnels. Antoinette may know more
2 about it.

3 MS. BONSIGNORE: Yes. I believe
4 that is correct. But I can get a clearer
5 picture from some of the workers over the next
6 couple of days about that.

7 MR. KATZ: Thank you, Antoinette.

8 MS. BONSIGNORE: You're welcome.

9 CHAIR ROESSLER: Okay. I see in
10 your report, Chris, one more item. On page
11 10, I think we've taken care of that. There
12 was an additional request. I think maybe it
13 was Josie who brought this up, that the two
14 tables that you had in your Evaluation Report,
15 it would be much more helpful if all that
16 information were combined.

17 And you did that in the color
18 graphic that you sent us. So I think we've
19 finished that part.

20 MEMBER BEACH: One thing I have on
21 the addendum that was passed out, I believe.
22 It was an NTS.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR ROESSLER: Is it --
2 Antoinette, is that you?

3 MR. KATZ: No, that's Josie.

4 MS. BONSIGNORE: No.

5 CHAIR ROESSLER: Josie, okay, I
6 couldn't hear everything you said.

7 MEMBER BEACH: Oh, I'm looking at
8 my notes really quickly here. I'll get back
9 to you. I haven't got it right in front of
10 me.

11 CHAIR ROESSLER: I think maybe it
12 was NTS that you are thinking of because I
13 think we've gone through the agenda items I
14 had.

15 MEMBER BEACH: No, it was actually
16 in the Linde documents. There was a table
17 that had NTS as a heading. And it may have
18 been NIOSH's document. It was probably -- oh,
19 it was on page 11 of the radon findings NIOSH
20 report. And it just talked -- NTS Work Group
21 Issues. So I am assuming that you just cut
22 and pasted a table there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR ROESSLER: Point that out
2 again. What page are we on?

3 MEMBER BEACH: Page 11 at the top
4 of the first column.

5 CHAIR ROESSLER: Oh, I see. Yes.

6 MR. KATZ: Jim Neton just said it
7 is a typo.

8 CHAIR ROESSLER: So that should be
9 Linde.

10 MR. KATZ: Right.

11 CHAIR ROESSLER: Thank you, Josie.

12 DR. NETON: I left that in there.

13 MR. KATZ: None of us saw it.

14 CHAIR ROESSLER: I didn't see it.
15 We'll fix it.

16 It appears then that we have two
17 items on -- that we have covered on the agenda
18 today that we thoroughly discussed. The Work
19 Group has voted, and I have something on that
20 I'm going to summarize for the Board meeting.

21 However, we have two items that
22 need more information. And what we need to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on those two items is set up a teleconference
2 that will occur after NIOSH gets the
3 information from Antoinette.

4 And so I think before we wrap up
5 here, we need to find out when we can expect
6 the information to get to NIOSH, when NIOSH
7 will be able to evaluate it, and when we can
8 set up the teleconference.

9 MS. BONSIGNORE: Well, Gen, I
10 expect that I should be able to provide some
11 of the documentation actually later today.
12 And then the rest of the documentation,
13 particularly regarding the tunnels and some
14 additional statements from workers regarding
15 the remodeling effort, within the next week
16 and a half.

17 MR. KATZ: Week and a half, okay.

18 MEMBER LOCKEY: You will supply
19 that to Ted?

20 MS. BONSIGNORE: I'll send that to
21 everybody. It's pretty easy to do.

22 MR. KATZ: That's great. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 great. Thank you.

2 CHAIR ROESSLER: So that would be
3 next week. And that would be -- you would
4 have it to everybody -- let's use this as a
5 date -- before Christmas.

6 MS. BONSIGNORE: Okay.

7 CHAIR ROESSLER: Before the
8 holidays, I want to be appropriate here.

9 MS. BONSIGNORE: Right.

10 DR. OSTROW: Hanukkah began
11 already.

12 CHAIR ROESSLER: Yes, okay, so
13 let's say we'd have this by the 25th? Does
14 that sound --

15 MS. BONSIGNORE: I will try to give
16 everybody a Christmas present before the 25th.

17 CHAIR ROESSLER: Okay.

18 MR. KATZ: Okay. And, Antoinette,
19 don't kill yourself for --

20 MEMBER LOCKEY: Yes, around the
21 holidays, that's fine.

22 MR. KATZ: But -- and then OCAS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 needs to do some evaluation of their own data.

2 DR. NETON: I can't see us having a
3 good response until like the second week of
4 January at the earliest.

5 MR. KATZ: Okay.

6 DR. NETON: Partly because we have
7 a Work Group meeting scheduled --

8 MR. KATZ: Sure.

9 DR. NETON: -- at the beginning of
10 January.

11 MR. KATZ: So do people want to
12 check their calendars then for the -- if this
13 is a reasonable time frame, the week of the --
14 well, there's the week of the 18th and there's
15 the week of the 25th. We have some Work
16 Groups already during that time span. But we
17 have days open.

18 CHAIR ROESSLER: Let's try and get
19 a date picked now.

20 MR. KATZ: So is a teleconference -
21 - I mean my guess, you all tell me, is that is
22 probably not more than an hour or two of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion. At this point, you've --

2 CHAIR ROESSLER: I would think an
3 hour.

4 MR. KATZ: An hour?

5 CHAIR ROESSLER: Yes.

6 MR. KATZ: So you don't need a
7 whole day free to be able to fit this in.

8 CHAIR ROESSLER: Let's look at the
9 week of the 18th. I would prefer doing it
10 that week. There is a health physics meeting
11 in Albuquerque starting on the 24th.

12 MR. KATZ: Okay. So you want to do
13 it ahead of that.

14 CHAIR ROESSLER: Since it was only
15 an hour, I could probably get away, but if we
16 could look at the week of the 18th my calendar
17 looks open. What about the rest of you?

18 MEMBER BEACH: I need a moment to
19 grab my calendar, so I'll be away from the
20 phone for about a minute.

21 MR. KATZ: That's okay. Thanks.

22 MEMBER LOCKEY: I am available the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 20th and 22nd that week.

2 MR. KATZ: The 20th and the 22nd?

3 MEMBER LOCKEY: Yes.

4 CHAIR ROESSLER: That's January
5 we're talking about.

6 MR. KATZ: Okay. The 20th is
7 Wednesday. The 22nd is Friday.

8 CHAIR ROESSLER: It's probably
9 preferable to do --

10 MR. KATZ: And both of those are
11 open for me. So both of those could work.

12 DR. NETON: The 20th is good for me
13 in the afternoon.

14 MR. KATZ: The 20th is good.

15 Chris, the 20th, does that work for
16 you?

17 MR. CRAWFORD: No problem.

18 MEMBER LOCKEY: January 20th, 1:00
19 p.m.

20 CHAIR ROESSLER: Mike? Mike, are
21 you on the phone?

22 MR. KATZ: We need to wait for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Josie to get her calendar.

2 CHAIR ROESSLER: Yes.

3 MR. KATZ: Mike, how is January
4 20th for a teleconference, probably not more
5 than an hour.

6 MEMBER GIBSON: It looks good.

7 MEMBER BEACH: This is Josie. The
8 20th doesn't work for me.

9 MR. KATZ: Okay. Well, how about
10 the 22nd? That's another option.

11 MEMBER BEACH: I'm actually
12 traveling the 18th through the 22nd.

13 CHAIR ROESSLER: And I'm traveling
14 on the 22nd also.

15 MR. KATZ: But you're -- okay. But
16 --

17 MEMBER BEACH: It's vacation. I'll
18 be out of town.

19 MR. KATZ: Oh, I see, I see.
20 You're out. That whole week you are out --

21 MEMBER BEACH: Yes.

22 MR. KATZ: -- that's what you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying, Josie?

2 MEMBER BEACH: Right.

3 MR. KATZ: Okay. And then -- well
4 then can you break away, Gen, at any point the
5 26th or 27th? Let me just -- the 26th is
6 open, January 26th. The 27th is a
7 Subcommittee meeting.

8 CHAIR ROESSLER: I will be --

9 MR. KATZ: The 25th or the 26th are
10 open.

11 MEMBER LOCKEY: I have an
12 appointment.

13 CHAIR ROESSLER: The 25th after
14 lunchtime.

15 MR. KATZ: After lunch the 25th?
16 Eastern time?

17 CHAIR ROESSLER: Well, now that
18 would be Albuquerque time. What time is that?
19 That would be after two.

20 MR. KATZ: After two?

21 CHAIR ROESSLER: Yes.

22 MR. KATZ: But I mean that's okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We don't need a whole half day.

2 MEMBER LOCKEY: The 25th is open
3 for me.

4 MR. KATZ: The 25th is open for
5 you? How about Mike and Josie? The 25th of
6 January?

7 MEMBER BEACH: Yes, that works for
8 me.

9 MR. KATZ: And Mike?

10 MEMBER GIBSON: Yes, that's good.

11 MR. KATZ: It's a Monday. So we're
12 talking about Monday afternoon.

13 CHAIR ROESSLER: About two o'clock.

14 MR. KATZ: Two o'clock, 2:00 p.m.
15 Eastern time.

16 CHAIR ROESSLER: And what time is
17 that in Albuquerque? One o'clock? Okay.

18 MEMBER LOCKEY: So earlier is
19 better.

20 CHAIR ROESSLER: No, it wouldn't
21 be.

22 MR. KATZ: She can't do it until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after lunch.

2 (Simultaneous speakers.)

3 CHAIR ROESSLER: Wait a minute,
4 wait a minute.

5 COURT REPORTER: One conversation
6 please.

7 MR. KATZ: Yes.

8 CHAIR ROESSLER: Yes, thank you.

9 MR. KATZ: You actually really
10 don't need to transcribe that.

11 COURT REPORTER: Well, I'm happy to
12 go off the record if you want to.

13 MR. KATZ: No, you don't need to go
14 off the record. We'll try to be disciplined
15 here.

16 CHAIR ROESSLER: I think I'm not
17 available until after noon, Albuquerque time,
18 on the 25th.

19 MR. KATZ: Okay, that's 2:00 p.m.

20 CHAIR ROESSLER: Is that too late
21 for you?

22 MEMBER LOCKEY: 2:00 p.m.?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR ROESSLER: Yes.

2 MR. KATZ: Eastern time.

3 MEMBER LOCKEY: That's all right.

4 I mean I'll have about two hours.

5 MR. KATZ: Oh, that will be plenty.

6 MS. JESSEN: Gen, are you talking
7 about 2:00 p.m. Eastern time?

8 MR. KATZ: Yes.

9 CHAIR ROESSLER: 2:00 p.m. Eastern,
10 which would be --

11 MEMBER BEACH: Eleven o'clock.

12 CHAIR ROESSLER: And eleven Josie's
13 time.

14 MR. KATZ: Right. So that works?
15 Mike? Did we hear from Mike?

16 MEMBER GIBSON: Yes. That works.

17 MR. KATZ: Okay. And Antoinette?
18 Did we hear from her?

19 MS. BONSIGNORE: Okay. So are we
20 on January 25th at 2:00 p.m. Eastern?

21 MR. KATZ: 2:00 p.m. Eastern. So,
22 you know, 11:00 a.m. your time, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. BONSIGNORE: Right. Yes.
2 Okay.

3 MR. KATZ: Okay. January 25th,
4 2:00 p.m. Eastern time, teleconference at 2:00
5 p.m. And I'd appreciate -- I guess,
6 everybody, if they set aside two hours, we
7 probably don't need two hours but --

8 CHAIR ROESSLER: We'll say two
9 hours.

10 MR. KATZ: Yes. Just to -- I'm
11 just saying -- your calendars, we won't --

12 CHAIR ROESSLER: But we'd expect
13 within an hour.

14 MR. KATZ: It seems like the
15 discussion won't last longer than that.

16 DR. OSTROW: And NIOSH will do
17 something written a couple of -- you know,
18 beforehand?

19 MR. KATZ: Well, yes.

20 DR. NETON: It will come out a week
21 or two before.

22 MR. KATZ: Yes, that gives an extra

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 week for that. So that's helpful, too.

2 DR. NETON: Yes, I don't expect
3 this to be a lengthy analysis. A couple page
4 summary of what we've discussed.

5 CHAIR ROESSLER: Well, okay. Well,
6 I appreciate everybody's attention today. And
7 your time. And I think we are finished.

8 MS. BONSIGNORE: Gen, I just have -
9 - just two additional questions.

10 I just wanted to know is there any
11 possibility in the Work Group's mind that this
12 petition will go before the full Board in the
13 February Board meeting in California?

14 CHAIR ROESSLER: I think that is
15 our intent is that we would resolve everything
16 during the teleconference to the point that we
17 could make a Work Group report to the Board.
18 And then it is up to the Board.

19 MS. BONSIGNORE: Okay. I just
20 raise that issue because I know that there is
21 going to be a Board meeting in May in Buffalo.

22 And I would hope that the Board would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consider that factor in the scheduling of this
2 because I know that all of the workers who are
3 involved in this would like to be present.

4 MR. KATZ: So you are asking for
5 this to be delayed by the Board until May? Is
6 that what you're saying, Antoinette?

7 MS. BONSIGNORE: That would be the
8 preference of a lot of the workers, yes.

9 MEMBER LOCKEY: This is Jim Lockey.
10 I think that is a reasonable request.

11 CHAIR ROESSLER: Yes. I guess my
12 first goal was to take care of it as soon as
13 possible because, you know, we don't want to
14 delay the workers. But if that is your
15 preference, it sounds fine to me.

16 MS. BONSIGNORE: Okay. Thank you.

17 CHAIR ROESSLER: Thank you,
18 Antoinette.

19 MS. BONSIGNORE: And one last
20 thing, and this is something that I had sent
21 to Steve Ostrow, there were a couple of memos
22 that I had sent to Steve. I don't know if he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is still with us.

2 DR. OSTROW: Yes, I'm still here.

3 MS. BONSIGNORE: Okay -- regarding
4 some activity at Linde dealing with P-539
5 studies. And I was wondering if SC&A and OCAS
6 could shed some -- I don't know if OCAS has
7 seen those memos, but I was wondering if
8 someone could shed some light on what P-539
9 is.

10 MR. CRAWFORD: We don't know. But
11 anything that can kill a dog in a few hours is
12 unlikely to be a radiological hazard. It's
13 probably an organic poison of some sort
14 because that was in the memo that it was
15 highly toxic. And they were concerned about -
16 - it was a catalytic chemical of some sort.

17 From the description, it doesn't
18 seem to have any radiological component that
19 we could identify.

20 MS. BONSIGNORE: Okay. Because I
21 have a safety guidelines document from that
22 time period that one of the workers provided

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to me a few weeks ago that talks about P-539
2 and something else called C-33. And they seem
3 to be connected in the same type of --
4 delineated in the booklet with respect to how
5 workers should be handling those compounds.

6 And I'll scan a copy of the
7 guideline -- it's like rules and practices
8 safety guidelines for the facility. I would
9 just appreciate, if possible, if there could
10 be some information as to what P-539 is and
11 what C-33 is, particularly if that would
12 relate to information that should be added to
13 the site exposure matrix.

14 DR. OSTROW: Antoinette, this is
15 Steve. This guideline that you have, whatever
16 it is that you are going to send us, it
17 doesn't say in it what these compounds
18 actually are? It just refers to them just by
19 the, you know, their code names or whatever?

20 MS. BONSIGNORE: Yes, that's
21 correct. That's why I'm raising the issue
22 because I don't know what it is and none of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the workers know what it is.

2 CHAIR ROESSLER: Did you get this,
3 Steve?

4 DR. OSTROW: I got the original
5 one. And the whole Board got it. We
6 distributed it.

7 MR. KATZ: And OCAS.

8 DR. OSTROW: But, you know, we
9 weren't -- SC&A wasn't directed to actually do
10 an investigation. And I don't think OCAS was
11 either. I don't think you guys knew what it
12 was either.

13 DR. NETON: We looked at it, and we
14 are familiar with a lot of code names that
15 were used for uranium and ores in that era.
16 And none of those ring a bell with any of our
17 research that we've done thus far.

18 CHAIR ROESSLER: Is this an item
19 that we could, if we had more information,
20 could do something with at our teleconference?

21 MR. KATZ: Yes, that is something
22 else to report out on if there is any new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information.

2 DR. NETON: I would also suggest
3 though, I mean if this is a potential chemical
4 exposure that has been unnoted, maybe the
5 Department of Labor, you know, maybe
6 Antoinette should send those to the Department
7 of Labor concerns because that is sort of a
8 Part E issue, I think.

9 MR. KATZ: Right.

10 MS. BONSIGNORE: Right. Well, I
11 just want to make sure that whatever these
12 codes are that they don't relate to anything
13 that was radiological in nature. And if we
14 can establish that, then I will certainly
15 forward the information to the Department of
16 Labor if someone could tell me who at the
17 Department of Labor I would do that -- you
18 know, send that information to? Would it be
19 John Vance?

20 DR. NETON: He would be a good
21 person.

22 MR. KATZ: John would work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. BONSIGNORE: Okay.

2 CHAIR ROESSLER: So it would be --

3 MR. KATZ: So that's a third point
4 to follow up on in the teleconference, if
5 there is any new information.

6 CHAIR ROESSLER: That she will give
7 us the information. Then does OCAS have any
8 assignment to --

9 MR. KATZ: Right. To just follow
10 up to the extent that you can pull the thread.
11 But it sounds like they have already
12 discussed --

13 DR. NETON: We talked about it
14 internally. And short of going through every
15 document, looking for this code name
16 material, I don't know what else we would do
17 on it. It's not something we've run across in
18 the thousands and thousands of pages of
19 documents we've reviewed.

20 MR. KATZ: No, I was actually
21 curious and did a Google search and couldn't -
22 -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. OSTROW: Yes, me, too.

2 MR. KATZ: -- couldn't find
3 anything either.

4 MS. BONSIGNORE: Yes, I did a
5 Google search as well and didn't come up with
6 anything. Came up with 538, 536, no 539.

7 MR. KATZ: So it sounds like
8 although we will wrap up the work in January,
9 it seems to me -- I don't know, Gen, if this
10 is what you'd want, but the report out might
11 make more sense to do then. The Work Group --
12 it would make more sense for the Work Group to
13 report out in New York than to report out in
14 California.

15 CHAIR ROESSLER: I think that's
16 what --

17 MR. KATZ: And since the Board is
18 going to take up the discussion in New York.

19 CHAIR ROESSLER: Yes, I think
20 that's what we agreed to do.

21 MR. KATZ: Okay. I just want to be
22 clear about what --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR ROESSLER: We will not put it
2 on the agenda for the February meeting.

3 MR. KATZ: Okay.

4 MS. BONSIGNORE: Thank you.

5 DR. NETON: Well, one of my
6 concerns, though, is if there was some sort of
7 opinion of the Work Group and the Board heard
8 it, often times then the full Board would take
9 up the issue in some other forum or format for
10 review. They could inform -- they could --

11 MR. KATZ: No, I understand.

12 DR. NETON: -- pass it over to the
13 Board and they could take action.

14 MR. KATZ: So Jim -- what Jim is
15 saying, Antoinette, and this is -- I mean I'll
16 leave this to your judgment -- if we wait
17 until New York for the Work Group to report
18 out to the Board, what may happen in New York
19 then is the Work Group reports out to the
20 Board and then the Board says oh, well, let's
21 look into X, Y, and Z now because we are
22 uncertain about certain things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So the only thing I'm saying to
2 you, Antoinette, is that the risk is that the
3 Board may not act at all in New York other
4 than to hear the Work Group and discuss it a
5 little. But it then may make more charges for
6 research as opposed to coming to a decision in
7 New York. You can't tell.

8 So another option would be for the
9 Work Group to report out in February to the
10 Board and the Board to have some discussion of
11 it but not to take action until New York.
12 That's just another option. And I'll leave it
13 to really what your wishes are, what the
14 petitioning class's wishes are.

15 MS. BONSIGNORE: Well, my main
16 focus is that any presentation that I provide
17 to the Board, I want that to be in front of
18 the people that I represent. That is
19 important to me. It is important to them.

20 And so with everyone's indulgence,
21 if I would -- can take a couple of days to
22 speak to everybody --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes.

2 MS. BONSIGNORE: -- to the workers
3 and get their view on this?

4 MR. KATZ: Absolutely. Just let me
5 know what your wishes are.

6 But I just want you to know that
7 there is an option that it could be -- the
8 Work Group could report out in February but
9 the Board not take action until -- or whatever
10 -- other than maybe assign research between
11 February and May --

12 MS. BONSIGNORE: Right.

13 MR. KATZ: -- the Board would not
14 actually take action on the petition in terms
15 of disposition until May.

16 MS. BONSIGNORE: Okay. Okay. I
17 think that sounds reasonable.

18 MR. KATZ: Yes.

19 MS. BONSIGNORE: I just want to
20 make sure that I'm respecting the wishes of
21 the people that I represent.

22 MR. KATZ: Absolutely. Just let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know. And we'll make decisions accordingly.

2 MS. BONSIGNORE: Okay. Thank you
3 very much.

4 DR. MAURO: Ted, before we close, I
5 didn't note any action items for SC&A. Is
6 that correct?

7 MR. KATZ: That is correct, I
8 believe. Right.

9 DR. OSTROW: I didn't hear any.

10 (Laughter.)

11 MR. KATZ: Okay. So are we -- I
12 think we're adjourned. And thank you,
13 Antoinette, and thank you the other folks on
14 the phone that have contributed as well. And
15 happy holidays for sure.

16 (Whereupon, the foregoing matter
17 was concluded at 12:39 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701