

This transcript of the Advisory Board on Radiation and Worker Health, PORTSMOUTH, PADUCAH AND K-25 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the PORTSMOUTH, PADUCAH AND K-25 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON PORTSMOUTH, PADUCAH AND K-25

+ + + + +

WEDNESDAY
JULY 6, 2011

+ + + + +

The Work Group convened in the Zurich Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Phillip Schofield, Chairman, presiding.

PRESENT:

PHILLIP SCHOFIELD, Chairman
HENRY ANDERSON, Member
JOSIE BEACH, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, PORTSMOUTH, PADUCAH AND K-25 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the PORTSMOUTH, PADUCAH AND K-25 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official*

ELIZABETH ALGUTIFAN, ORAU*

JOSEPH FITZGERALD, SC&A

TOM LABONE, ORAU*

JENNY LIN, HHS*

JOHN MAURO, SC&A*

JAMES NETON, DCAS

CHUCK NELSON, DCAS

JODIE PHILLIPS, ORAU*

BRYCE RICH, ORAU*

MICHAELNE RODRIGUEZ, ORAU*

MATTHEW SMITH, ORAU*

JOHN STIVER, SC&A

ELYSE THOMAS, ORAU*

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, PORTSMOUTH, PADUCAH AND K-25 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the PORTSMOUTH, PADUCAH AND K-25 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

C-O-N-T-E-N-T-S

	<u>Page</u>
Welcome and roll-call/Introductions	4
Work Group Discussion	
Paducah Issues Resolution	5
Work Group Discussion	
Portsmouth Issues Resolution	108
K-25 Issues Resolution	144
Meeting adjourned	207

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 9:00 a.m.

3 MR. KATZ: Let's get started then.

4 This is Ted Katz, Designated Federal Official
5 of the Advisory Board, the Advisory Board on
6 Radiation and Worker Health, the Portsmouth,
7 Paducah, K-25 Work Group.

8 Roll call beginning with Board
9 members in the room, and please speak to
10 conflict of interest as well.

11 (Roll call.)

12 MR. KATZ: Very good. Just let me
13 note there is an agenda for this meeting on
14 the Board's page, on the Board's webpage, but
15 we're actually going to do things in a
16 different order.

17 I think we're going to begin with
18 Paducah, and it's your agenda, Phil. Take it
19 away.

20 CHAIRMAN SCHOFIELD: Okay, Chuck.
21 Since you've been working on this so hard,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we'll let you lead off.

2 MR. NELSON: Okay. All right, Joe,
3 how do you want to do this? Do you want to
4 lead off with a comment, or do you want me to,
5 or how do you want to do this?

6 MR. FITZGERALD: I think on Paducah
7 and K-25, we provided comments. And I think
8 you've actually responded.

9 MR. NELSON: Yes.

10 MR. FITZGERALD: So, why don't we
11 just do it that way.

12 MR. NELSON: Okay.

13 MR. FITZGERALD: You can go ahead
14 and tee it off, and then I can respond.

15 MR. NELSON: Okay. Yes, in the
16 first meeting that we had was in December of
17 2010, we went over the Paducah site.

18 And while several actions were
19 closed out or items were closed out of the
20 matrix, there were some that required further
21 actions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so, what we did is we provided
2 a response. And SC&A came back on June 16th,
3 2011, that would be the date at the bottom of
4 this matrix, and they provided comment to what
5 we provided as we felt was a good resolution.

6 So, those that are closed out, I
7 don't intend on going over. But the ones that
8 required some NIOSH action which may or may
9 not be closed out right now, I'll go over each
10 of those.

11 The first item would be Item
12 Number 5. And that one there was a NIOSH
13 action. And they were asked to review the
14 available references regarding the estimation
15 of external dose to the - to skin
16 contamination.

17 And what we did is we reworded the
18 response, and we wanted to better describe the
19 process and documents used to estimate skin
20 and extremity dose.

21 And, you know, these documents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 already existed, but what we wanted to do was
2 put them in the TBD so that the DR has a clear
3 direction to where they can assign skin and
4 extremity dose.

5 And what we did in our response,
6 we talked about the modeling programs;
7 VARSKIN, Microshield and ATILLA. Those all
8 can be used to calculate skin dose, including
9 dose to the extremities.

10 And what we say in our response is
11 the TBD will be updated to include those
12 references to assist with the calculations of
13 dose to the skin and extremities. And it's
14 also going to include OCAS TIB-10 and 13.

15 TIB-10 is a best estimate for
16 glovebox workers, but it also talks about some
17 - how to deal with geometry issues.

18 And then OCAS TIB-13 is a TIB
19 that's titled "Selected Geometric Exposure
20 Scenario Consideration for External Dosimetry
21 at Uranium Facilities." So, that could be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 helpful TIB as well, and also OTIB-17 which is
2 "Interpretation of Dosimetry Data for
3 Assignment of Shallow Dose." Those will all
4 be referenced in the TBD so we had a clear
5 path.

6 Then SC&A had a response to that
7 which they provided on June 16th.

8 MR. FITZGERALD: Yes, and I think
9 we were satisfied that the references that
10 would be added, would make this a little more
11 complete in terms of that particular item.

12 The one issue that we're going to
13 come back to, I think, in all the gaseous
14 diffusion plants, though, is how skin and
15 extremity doses are addressed and what is the
16 context of technetium, or just in general.

17 I think that's an issue, you know.

18 I went back and really looked at the Site
19 Profiles and also the review comments that we
20 provided in our original review, and I think
21 there's just a discomfort - excuse me one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 second.

2 MEMBER BEACH: Sorry. Sorry.

3 MR. FITZGERALD: A discomfort about
4 how the skin doses - potential skin doses,
5 that pathway, and extremity doses are
6 addressed at the three gaseous - this is more
7 of a generic issue.

8 And, you know, certainly the SOP
9 for not just these sites, but all sites, you
10 have the VARSKIN and you have different models
11 that you apply based on the CATI interviews
12 and, you know, maybe incident records so that,
13 you know, the dose reconstructors can apply
14 those models and estimate a dose.

15 I think what I'm reading - and,
16 again, I wasn't involved in all three of these
17 reviews, but what I'm reading is a concern
18 that in some cases depending on the particular
19 work that the worker might have done at one of
20 these gaseous diffusion plants, it would have
21 been a relatively routine exposure potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to - whether technetium or other elements that
2 would have given you an elevated skin dose, in
3 some cases a fairly hefty elevated skin dose,
4 and it's not clear that's really an episodic
5 in nature in all cases, that in some cases, it
6 actually strikes me as more of a routine
7 exposure that the worker would have had to
8 deal with.

9 And if they weren't particularly
10 careful about deconning, you know, sort of
11 religiously, they probably would have picked
12 up a fairly steady, you know, skin dose over
13 time.

14 And so I just - I want to open up
15 just the discussion on behalf of the Work
16 Group on, you know, certainly in the TBDs, the
17 approach is to provide what I would call
18 illustrative examples of, you know, here's how
19 somebody might have been exposed and here's
20 what could be done in terms of modeling and
21 exposure, and I think I understand that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That's just to certainly guide a
2 dose reconstructor. Here's several different
3 ways you can deal with that, if that is an
4 issue that comes up.

5 But I think the concern that
6 underlies the comments that were made in all
7 three Site Profile Reviews is - and I'll treat
8 them, deal with this in a broader sense
9 because we pick it up for technetium, pick it
10 up for this issue, and each of the Site
11 Profile Reviews has the same sort of
12 commentary.

13 And one issue is just simply
14 providing more background, which is I think
15 what you've done. You've identified more
16 references and given more guideposts to the
17 dose reconstructor.

18 But in a broader sense, the
19 question is, is this truly leaning more toward
20 episodic where, you know, you can look at the
21 CATI interviews, you can look at, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the incident reports and decide whether or not
2 to go through this process -- this is the dose
3 reconstructor now -- to decide to assign a
4 skin dose or maybe an extremity dose, or do
5 you have a situation, which is kind of what
6 I'm reading through in terms of the operation
7 descriptions, where certain job categories
8 that would have likely been part of the job.

9 There would have been an exposure
10 potential that the worker would have
11 confronted almost every day in some way or
12 another, and the issue being that there wasn't
13 a good way from a dosimetry standpoint to
14 measure what that dose might have been.

15 I'm not saying it's an SEC issue.

16 I'm just saying that there's a certain
17 question of how one would attribute the skin
18 dose and beta dose to workers, particularly if
19 there wasn't any dosimetry, when you knew in
20 fact that there's certain operations -- and we
21 kind of know what those operations are, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, like certain parts of the cascade --
2 where you do get technetium, you do get some
3 of these elements.

4 How would you actually, you know,
5 guide the dose reconstructor to say, you know,
6 not only does this person, you know, have an
7 exposure potential, but perhaps that person
8 should get credit for a skin dose that wasn't
9 measured, but would likely have been received?

10 And that's what I'm picking up
11 more, you know, there's referencing issues as
12 far as providing enough information to the
13 dose reconstructor, but I think there's also a
14 question of whether or not we have worker
15 categories where you do have, you know, more
16 exposure potential of a chronic nature versus
17 an episodic.

18 I just want to open that up. I
19 know we have some of the authors of the TBDs
20 on the phone as well.

21 This is really for all three.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is not just one of them.

2 MR. NELSON: Yes, we're talking
3 about a broad subject here. So, maybe we
4 ought to narrow down what the issues are.

5 Because when you say that we're
6 talking about skin dose, I mean, they had film
7 badges. They monitored shallow dose.

8 So, if you're talking a
9 protactinium which is your major dose that
10 you're going to get from a shallow dose from
11 uranium, that's a pretty high-energy beta.

12 You can see it certainly on a
13 dosimeter. And if it gets to a person's whole
14 body, it's going to be on their dosimeter.

15 So, they did record shallow dose
16 on dosimetry. And so, we have a method for
17 that.

18 And then if you have an individual
19 with extremity cancers, then we have
20 methodology for assigning dose to extremities
21 based on those film badges using geometric,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you know, depending on the geometry or
2 location on the skin. And that's what we make
3 reference directly to those procedures and how
4 to calculate that.

5 MR. FITZGERALD: Yes, there's two
6 issues here.

7 MR. NELSON: Right.

8 MR. FITZGERALD: I just want to
9 make sure I -

10 MR. NELSON: I want to know which
11 issue you want to talk about.

12 MR. FITZGERALD: Okay. Let's talk
13 about both issues.

14 One issue is the source term. And
15 let's use technetium, because that's certainly
16 a bit of a bad actor at the three gaseous
17 diffusion plants.

18 And in the site description of
19 Portsmouth, I'm sure it's in all three,
20 actually, you're dealing with also not just
21 the nuclide, but the chemical compound.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And this is a quote from the site
2 description for Portsmouth, but probably would
3 apply to all three.

4 Technetium - I'm not sure if I'll
5 say this right - pertechnetate, that's the
6 technetium 04 as the compound -- is also
7 difficult to remove from the skin and can,
8 therefore, cause significant skin dose from
9 contamination.

10 And this shows up in a number of
11 cases. It showed up as well from some of the
12 Health Hazard Evaluations.

13 The particular chemical compounds
14 adhere to the skin very well. Let's put it
15 that way. And unless you're careful to, you
16 know, to really scrub this off after you're
17 exposed, you're going to get a fairly hefty
18 skin dose just because it is adhering to the
19 skin. I'm talking about the extremities, arms
20 and whatnot.

21 I don't know how you can use some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the modeling techniques to really figure
2 out what that may give you. And that's why
3 I'm just trying to find out based on the
4 approach in the TBDs, it suggests certainly
5 there's models where you can do that.

6 I don't know how you would
7 approach something where you would get
8 something that would be a chemical compound
9 that would be adhering to the skin. Not just
10 loose contamination, but -

11 DR. NETON: Yes. Well, I mean,
12 we're specifically not talking about skin
13 contamination, I guess. Not shallow dose from
14 external radiation.

15 So when we talk about external
16 contamination, certainly if our skin is
17 capable of handling a dose calculation to
18 tech-99 on the skin, which is a fairly low-
19 energy beta emitter -- at 300 Emax, you
20 average about -- so, it takes a lot of
21 contamination to give you any kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significant skin dose.

2 But this is not unlike any other
3 site where unless we have some confirmed
4 evidence of an incident that occurred with
5 some numbers, there's no way we can calculate
6 a skin dose.

7 I mean, we can't go and speculate
8 that everyone had X thousand dpm per hundred
9 square centimeters on their skin, and assign
10 all work crews that kind of dose. I mean, we
11 would have to have some knowledge that an
12 incident did occur.

13 But if it occurred, there is no
14 technical reason why we couldn't calculate a
15 dose to the skin.

16 MR. FITZGERALD: Yes, and I guess
17 that's part of the issue on technetium. I
18 think in general that at the gaseous diffusion
19 plants, skin exposure was in fact a fairly
20 significant exposure pathway.

21 And, you know, even the Site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Profiles acknowledge that, you know, by
2 operation and also by certain levels where you
3 had the, you know, rem per hour exposure. So,
4 it was a fairly hefty -

5 DR. NETON: Well, let's
6 differentiate between shallow dose to the skin
7 from an external beta source, which you can
8 get high skin doses.

9 And as Chuck said, they had
10 dosimeters that can measure the low-energy
11 betas to the skin. The dose to the skin. The
12 shallow dose. So, I mean, that's okay.

13 But, again, skin contamination, if
14 we have evidence there was an incident, we
15 would calculate it using the VARSKIN code.

16 The only other issue out there
17 then is this sort of geometrical issue which
18 is, you know, where are your hands in
19 relationship to the badge that's on your
20 lapel? And then if we know the geometrical
21 relationship, it's an easily calculable value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Well, I guess I
2 still question -

3 MEMBER ANDERSON: It's not an
4 episode.

5 MR. FITZGERALD: Well, I guess I
6 just question whether or not one can write off
7 skin dose as being -- outside of some
8 demonstrable incidents, as being not
9 noteworthy at the gaseous diffusion plants. I
10 think there is enough record.

11 And again, you know, it's hard to
12 -- and I agree it's hard to pinpoint exposure
13 that happens every day for a particular
14 operator, but even in the TBDs it notes that
15 you have technetium plating out in various
16 parts of the operation.

17 Anyone that was cleaning out the
18 cascades or involved in CIP/CUP would have
19 been more than likely exposed quite
20 significantly to skin exposure.

21 And what my concern is, is I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disagree that, you know, you have a modeling
2 process. I just am concerned that we're
3 treating it as an episodic exposure where I
4 think if you brought any workers in, it's
5 certainly not episodic.

6 Although, the dilemma is at the
7 gaseous diffusion plants because you're
8 dealing with this day in and day out unless
9 there was a release of some sort that was
10 above and beyond the normal, it would have
11 been reported as an incident.

12 So, you sort of have that dilemma
13 where you are getting exposure. But what
14 you're saying is that, well, unless it's
15 reported and flagged, it won't count as a
16 potential dose.

17 I'm just trying to understand from
18 a Site Profile standpoint, how do the workers
19 get addressed from the standpoint of this
20 routine chronic contamination to what I would
21 think would be lower levels which are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 characterized as such in the Site Profile and
2 other reviews.

3 I mean, it's not something that no
4 one says, look, it happened. It's just I
5 don't quite understand, you know, why there
6 isn't any consideration by job categories or
7 worker operations as to, you know, what is a
8 bounding, you know, dose from -

9 MEMBER ANDERSON: Would that have
10 been proportionate with all if it's an ongoing
11 day in and day out thing, to the external
12 measurements?

13 MR. FITZGERALD: Not necessarily.

14 MEMBER ANDERSON: I mean, I don't
15 know.

16 MR. FITZGERALD: I understand the
17 concept that, you know, you have weak betas in
18 your clothing, in your gloves or whatever
19 you're wearing.

20 MEMBER ANDERSON: Yes.

21 MR. FITZGERALD: Okay, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably going to get you - that's going to
2 deal with that issue. And you have your
3 stronger betas and you have your dosimetry
4 that would record that no question.

5 But it's not clear to me how you
6 deal with skin exposure where you're not going
7 to have any response unless it's so heightened
8 that it's dealt with as an unusual event or an
9 incident by the site.

10 And that would be a pretty high
11 level given the kinds of contamination you had
12 at the gaseous diffusion plants.

13 MR. STIVER: This is John Stiver.

14 It sounds like what you have here
15 is an unmonitored exposure potential here
16 that's poorly characterized in terms of who
17 may have been on the receiving end of this.

18 But it does sound based on the
19 information that Joe's provided that, you
20 know, you have a chemical form that adheres to
21 the skin, you have a lot of this material, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there's certain groups of workers, the CIP/CUP
2 workers in particular, that could have been
3 chronically exposed to skin contamination.
4 That's not something that would necessarily
5 register on a dosimeter.

6 You have the techniques, you have
7 the models in place to address it, it's just
8 how would you go about trying to -

9 DR. NETON: Well, how would you do
10 it?

11 MR. STIVER: I just put it out
12 there to talk about it.

13 DR. NETON: I understand you're
14 trying to ask us to prove a negative that the
15 skin contaminants didn't occur.

16 If we have evidence that they were
17 there -

18 MR. STIVER: Well, maybe it's -

19 MR. NELSON: It's an exposure issue
20 to the skin. You have contamination. You're
21 going to see that recorded in their medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 records if you have skin contamination, and
2 they're going to do things about it.

3 I mean, they can measure that with
4 a beta/gamma dosimeter - I mean, with a
5 frisker.

6 If you're seeing people being
7 contaminated, you're not going to let that
8 continue to be a chronic issue. You're going
9 to deal with it.

10 MR. FITZGERALD: But here's the
11 issue I have, you know. I'm trying to
12 reconcile an acknowledgment in the TBDs, and I
13 am going through the site description and
14 everything. I think it's acknowledged that
15 you have these exposure pathways and they're
16 in chemical compounds which afford close
17 proximity to skin, adhere to skin.

18 In fact, it goes on further to
19 say, you know, you really have to go through
20 some trouble to get it off your skin.

21 And the notion that one can deal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with it as an incident-based exposure
2 potential, it doesn't -- to me, it just
3 doesn't quite come together.

4 DR. NETON: I get the impression as
5 workers are out there, bare skin with open
6 hands in a contamination area with no gloves
7 on, short-sleeved shirts. I mean, I just
8 don't see that scenario, Joe.

9 I mean, where does that happen?
10 Even in -

11 (Simultaneous speaking.)

12 DR. NETON: Well, I'm talking about
13 working with material. Okay, your clothing,
14 your anti-c could get contaminated.

15 And unless you're sticking your
16 head in there, you know, you could get some
17 incidental. But again, I think that would
18 show up as an incident on some frisker at some
19 point.

20 CHAIRMAN SCHOFIELD: I got a
21 problem there as that -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I don't know how -

2 CHAIRMAN SCHOFIELD: -- with people
3 who have this chronic exposure to the
4 extremities, is that I can't find any place
5 where they wore wrist dosimeters or dosimeter
6 retainer rings or anything and, you know, I
7 don't find a good description of the equipment
8 with respect to this.

9 Did they work behind some kind of
10 shielding to protect extremities? So if
11 that's the case, your badge isn't going to
12 pick up as much as you would hope it to -

13 DR. NETON: That's true.

14 CHAIRMAN SCHOFIELD: -- from the
15 extremities exposure standpoint.

16 MR. NELSON: That's why you have to
17 deal with it on a case-by-case basis. I mean,
18 you don't calculate extremity dose to an
19 individual that has some contamination.

20 (Off-record comments.)

21 MR. NELSON: You know, one thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that might help you, there is a Reference ID.

2 It's 13683. And this is an evaluation that
3 was done in March of 2004 by Paducah.

4 And they went through these
5 scenarios you're talking about. And they used
6 some smear data. And they took worst case
7 data and they did some analyses for
8 individuals.

9 And they evaluated what the
10 exposure potential would be for those
11 individuals, you know, and they made some
12 assumptions. And that might be something that
13 would help shed light on all this.

14 MR. STIVER: So, it sounds like
15 this has been looked at, at some point in the
16 past.

17 MR. NELSON: Yes, they did. They
18 looked at -

19 MR. STIVER: At least have some
20 kind of a proof of principle as to what the
21 maximum doses could have been or worst case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 scenario.

2 MR. NELSON: Right. And, I mean, I
3 can go through the - I have it right here. I
4 mean, we can maybe write a White Paper on it.

5 I don't know if we're going to --
6 if you want to mention that, Jim, or --

7 DR. NETON: Yes.

8 MR. NELSON: I don't know to what
9 extent you want to go to that. I don't know,
10 but it may be worth reviewing that.

11 DR. NETON: But it sounds like what
12 we're talking about here is some - I won't
13 call it a justification, but some discussion
14 of why our approach to doing incident-based
15 assignment skin doses is appropriate here and
16 sort of bracket what the doses are.

17 I'm looking at the average shallow
18 recorded dose that any worker might get here,
19 and they're all pretty consistently 500, 600,
20 700 millirem per year. With maximum doses, it
21 goes hugely high. There's 11, 10, eight rem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 depending if some of those workers that were
2 working with this material, with big GSDs, two
3 to three -- GSD of three.

4 So, it was recorded. You have the
5 shallow dose measurement. You have the
6 information to say what was the shallow dose
7 exposure of these workers.

8 Then the issue then is what is
9 different about their exposure from the lapel
10 monitors and what they're doing with their
11 hands?

12 And secondly, what, if any,
13 potential for skin contaminations are there
14 that the badge wouldn't record? That's what
15 we're talking about.

16 And Chuck - I don't know about
17 this document that Chuck just referred to that
18 talks about surveys. But I could tell you
19 from my experience at other sites, skin
20 contamination on the surface, unless it's
21 huge, does not give you much dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 For uranium, you know, normal
2 uranium, you're talking nine millirem per hour
3 for 10,000 dpm per hundred square centimeters
4 or something like that continuous. Tech-99,
5 it takes a lot more. It's a very low-energy
6 beta.

7 So, you know, unless the skin
8 contamination grows to the level where they
9 were fairly significant which it would be
10 picked up as incident-based issues, we're not
11 talking about much dose here.

12 I'm not sure we want to have a
13 program that goes and starts assigning some
14 hypothetical skin contamination to all workers
15 at all times.

16 MR. STIVER: Well, because then
17 you're on the hook for -

18 MR. FITZGERALD: Yes, I'm just
19 simply saying let's reconcile the statements
20 in the current NIOSH TBDs with what you've
21 just said.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Because again, let me just go back
2 to the TBD. This is the site description on
3 Page 11 of 38 in Portsmouth. Technetium, and
4 I've named the compound, is difficult to
5 remove from skin and, therefore, cause
6 significant skin dose from contamination.

7 And then later in the tables,
8 there's facility-specific tables, and I can't
9 remember which gaseous diffusion supports it,
10 but facility-specific tables identify the
11 exposure potentials by nuclides and by
12 facility.

13 And technetium, again, is listed
14 as -- by facility as a significant
15 radionuclide of concern from the skin. And,
16 again, the organ of interest is the skin.

17 So, the TBDs characterize it as a
18 radionuclide significance as a significant
19 skin dose potential.

20 And -- but when you go back and
21 actually look at the, you know, the guidance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the dose reconstructor, it does kind of
2 point to an episodic context.

3 And now you go back to the
4 operational descriptions, and very clearly in
5 the operational descriptions, NIOSH has
6 characterized specific operations as involving
7 -- I hate to go back to technetium, but that's
8 the one that's easy - involving exposure
9 potential to technetium here, there, you know,
10 specific instances. And I'm just trying to
11 reconcile that, okay.

12 If it is an exposure potential
13 that's an apparently routine one, and by the
14 operational descriptions it appears to be, and
15 it would be a significant skin dose potential
16 as described in the site description, then I'm
17 wondering, is it enough to simply say go, you
18 know, check the CATI interviews and see if
19 there's any incident reports.

20 Because it does -- it strikes me
21 as it's characterized as more of an ongoing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 routine exposure potential of significance,
2 rather than something that happens
3 occasionally.

4 It doesn't seem like it's
5 consistent.

6 DR. NETON: This tech-99 is an
7 issue that comes up in another location. I'm
8 not sure it's relevant for this particular -
9 although, I'm confused now because SC&A has
10 recommended closure of this item.

11 MR. FITZGERALD: For the
12 references, but I want to make sure we don't
13 lose the context because this -

14 DR. NETON: Well, I think this will
15 come up again in another -

16 (Simultaneous speaking.)

17 CHAIRMAN SCHOFIELD: But in one
18 respect, I mean, what I want to say is what
19 was their criteria there for a reportable
20 incident?

21 Was it you had to have a certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 level of, say, skin contamination before it's
2 reportable? Did you have to have positive
3 nasal smear to be reportable, or, you know,
4 what was their criteria as a reportable
5 incident?

6 DR. NETON: Well, certainly in the
7 later years there would be friskers in and out
8 of the area.

9 We'd have to go back and look at
10 the early years, what they are -

11 MR. SMITH: I've got some
12 information on that. This is Matthew Smith
13 with ORAU team.

14 The Paducah section in the
15 external TBD is 6.5.2. The title is
16 "Estimating Missed and Unmonitored Shallow
17 Dose."

18 DR. NETON: Okay.

19 MR. SMITH: And as you go through
20 this, it kind of gives you the rundown of how
21 VARSKIN is -- can be used or is used to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 estimate the technetium-99 dose.

2 The specific question that action
3 level is 25,000 dpm per hundred square
4 centimeters as you go through that section,
5 you can see how a calculation was worked out
6 for situations where the dose reconstructor
7 might expect that the claimant was exposed to
8 technetium-99, but maybe there's nothing
9 specific in the record. But there's a big,
10 like you say, a description of the work they
11 did.

12 So, everything is laid out here on
13 how to calculate that dose and apply that dose
14 in the IREP. And this is also I know in the
15 K-25 TBD as well.

16 DR. NETON: But I think, Matt -

17 MR. SMITH: It's pretty well
18 addressed, I think, in the section for Paducah
19 and also for K-25.

20 DR. NETON: I agree the methodology
21 is laid out, but I guess the question is what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was the site's action levels early on for
2 identifying skin contamination as being --

3 MR. SMITH: It looks like it's
4 quoted here as 25,000 dpm.

5 MEMBER ANDERSON: How was that
6 found?

7 DR. NETON: Do you have a date for
8 that number though, or -

9 DR. MAURO: This is John Mauro.
10 Could I just step in with a couple thoughts I
11 had?

12 I understand the problem. In
13 fact, very often I'll raise this issue at
14 sites that have airborne uranium, you know, at
15 these AWE facilities, and of course the
16 gaseous diffusion plants.

17 Jim, I think I understand the
18 problem is that - well, let's assume for a
19 moment that a person does have a cancer
20 whether it's on the skin of his hand, or on
21 the neck, his face, ear. We run into that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very often.

2 And I understand that the way in
3 which you do your dose reconstruction is to
4 base it on the open-window film badge reading
5 as if the non-penetrating exposure is
6 basically something at some distance, not this
7 little particle that just happened to land on
8 the person's hand or neck.

9 And the trouble is, and I totally
10 agree, well, what are we going to assume? And
11 of course the argument could be made, well,
12 that would be picked up during his exit survey
13 scan, and he'll be decontaminated.

14 But I think we do have a lot of
15 feedback from folks who live in this world on
16 the Board that, well, you know, you don't
17 always survey the person that might be
18 leaving. Especially in the early years.

19 And there are these sites that
20 have this problem. So, well, then, how do you
21 get a handle on this? And I was listening to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the conversation and someone mentioned to me
2 that there might be a lot of data.

3 I'm visualizing a site where you
4 do get these particles becoming airborne, and
5 then settling down on surfaces.

6 So, in a way if you want to start
7 at least to say, well, what potential
8 magnitude of the kind of exposures - and, Jim,
9 you pointed out if it's technetium or it's
10 uranium, you know, the actual dose that - the
11 point that skin underneath that particle is,
12 you know, you have to make a lot of
13 assumptions. What's the size of the particle?

14 Was this specific activity? That sort of
15 thing, but I think you might actually have a
16 handle on that from two sources.

17 One would be I'm sure if and when
18 those types of things happen, that is little
19 particles are airborne and they settle, that
20 on occasion they will settle on the film
21 badge, the open-window film badge itself.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The probabilities are small, but,
2 you know, we'll say out of the thousands and
3 thousands of people that wear film badges, on
4 occasion they must have seen some hot spots or
5 some spots on the film.

6 Second, they do perform surveys of
7 surfaces, you know, whatever it is, wherever
8 the surface is, to get what is the dpm per
9 hundred centimeter squared level of
10 contamination.

11 So, what I'm getting at is through
12 the back door, there's probably a way to start
13 to get a sense of the potential magnitude,
14 let's say, of the specific activity of the
15 particles or of the surface contamination that
16 might have occurred at a site like this where
17 you could start to say, well, we -- if it did
18 occur, it's unlikely that the exposure to the
19 - that location on the skin could have been
20 greater than some number.

21 The number, the hook for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number would be either something that you
2 could get from the surveys that are performed,
3 the open-window surveys that are taken close
4 to surfaces, and also any film badge that may
5 have experienced one of these small, I guess,
6 clusters or stars that you would see on a film
7 badge from a particle that deposited.

8 I'm just trying to find a way to
9 come at a problem that is almost impossible to
10 solve.

11 MR. STIVER: In general?

12 DR. MAURO: I don't know what Jim
13 and you folks did. Do you think that this
14 strategy for starting to explore ways of
15 getting a handle on this is worth pursuing, or
16 is it really if we did chase it down, it may
17 be a dead end?

18 MR. STIVER: This is John Stiver.
19 Could I say something here?

20 DR. MAURO: Yes.

21 MR. STIVER: This SRDB 13683 that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Chuck mentions, they used swipe samples from
2 the sites with the highest values, and then
3 modeled that.

4 And also, I see that looking at
5 the TBD on Page 22, they have some numbers
6 that were modeled using VARSKIN. And the
7 shallow skin dose rate from uniform tech-99
8 skin contamination is 0.0016 mrem per hour per
9 dpm per square centimeter.

10 So, you know, if you have an
11 estimate of what the concentration of the
12 stuff would be, the aerial concentration,
13 which it sounds like there may be, then it
14 would be possible to integrate this over a
15 period of time.

16 So, you know, this is a point
17 estimate. This is a, you know, an mR per
18 hour. But, you know, over a period of time we
19 could estimate this -- they show right here
20 that the resident's half time of one-and-a-
21 half days assuming a shower would be effective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in removing that, you'd get about 0.081 mrem
2 per dpm per square centimeter.

3 So, the potential is there for big
4 doses. And it looks like we have some of the
5 information that would allow us to bound this.

6 It may have already been done.
7 It's just maybe a matter of tracking down some
8 of these proof of principle calculations that
9 were done earlier.

10 DR. NETON: Yes, I don't know. I
11 think you sort of get in this area of
12 sufficient accuracy on these things.

13 I mean, you know, you're making up
14 a number to put a number on there, and anybody
15 could have been exposed to any concentration
16 just like Joe described, you know. You touch
17 some kettle, it's got a million dpm per, you
18 know, a hundred square centimeters tech-99.

19 I mean, these numbers, although
20 interesting, I'm not sure how they really
21 depict reality.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I understand what
2 you're saying.

3 DR. NETON: These people are
4 wearing anti-c's for the most part. You got
5 a scan on your neck, or your face, or your,
6 you know, I don't know where you're not
7 wearing anti-c's.

8 It would be hard - I think it's
9 hard to come up with any kind of -

10 MR. STIVER: We spent a lot of time
11 working on this with the Atomic Veterans
12 because then you have fresh fallout.

13 DR. NETON: Yes, and that's a
14 little --

15 (Simultaneous speaking.)

16 MR. STIVER: -- doses, but here
17 you've got very low-energy betas. You still
18 have that - where you really get the dose is
19 the integration over time, you know.

20 We spent a lot of time working on
21 what's the effective removal from showering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and so forth.

2 DR. NETON: Well, right. I mean,
3 everybody is taking showers after a shift
4 presumably.

5 MR. STIVER: Yes, and then in this
6 particular case, you have a chemical form
7 that may be resistant to removal.

8 So, I see how it could be a
9 potential unmonitored dose that's kind of -

10 MEMBER ANDERSON: But we probably
11 need some written justification.

12 MR. FITZGERALD: Again, I think
13 just making - maybe making it clear in the
14 Site Profile, you know, where one does make
15 the statements that, you know, one is a fairly
16 substantial skin dose potential that it, you
17 know, exists in a number of operations as a
18 potential, and then in the methodology
19 section, you know, it sort of strikes the note
20 that, you know, if in fact it's established
21 that there was an incident, then, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one would have different tools available.

2 And I think the references help.

3 I just want to make sure the context is that
4 there still is discomfort over having it
5 described in that context, and then having the
6 methodology guidance, the dose reconstructor
7 in the context of, you know, episodic
8 exposures. It just doesn't seem like it's as
9 consistent.

10 Maybe there's an explanation
11 that's missing that says, yes, you do have
12 these high potentials and it could be a
13 significant dose, but, you know, we don't
14 believe it's a chronic issue because -

15 DR. NETON: Maybe we need to go
16 back to what Matt Smith wanted to. There
17 seems to be a lot more description in the TBD
18 than I remember about how you deal with it and
19 how we would assign someone even if there were
20 no records of incidents, some skin dose, some
21 -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. NELSON: Yes, it tells you how
2 you can make some assumptions.

3 DR. NETON: Right. And maybe we
4 can describe that a little better and maybe
5 provide an example of a case where we've done
6 that, you know.

7 MR. NELSON: One of the facilities
8 does provide an example.

9 Matt, do you know which one it is?
10 I don't know if it's Paducah or K-25, but one
11 of them gives a scenario. We can make some
12 assumptions.

13 MR. SMITH: It's really kind of
14 laid out in the section that we're talking
15 about, the 6.5.2 in the Paducah. And it shows
16 up as 6.7.2 in K-25, but it really does kind
17 of lay it out for this unknown situation. It
18 bottom lines it at about 240 millirem for the
19 year.

20 MR. FITZGERALD: What would trigger
21 the -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SMITH: And that's kind of a
2 monthly - they give the example of assuming a
3 monthly event that maybe was not captured in
4 contamination reports because, again, it's
5 tech-99.

6 But they're assuming in this
7 calculation, again, using the action limit of
8 25,000 dpm which, you know, kind of provides
9 the basis or the floor for this calculation.

10 MR. FITZGERALD: But that would be
11 -

12 MR. SMITH: The DR is still free to
13 kind of adjust this either downward or upward
14 depending on what they're seeing for work
15 activity.

16 MR. FITZGERALD: I guess that's my
17 question. What would trigger - I mean, this
18 is a - again, this is a tool that could be
19 applied, but what would trigger the use of
20 that tool by a dose reconstructor?

21 MR. SMITH: Well, again, they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be looking for, you know, what their
2 described work history is in a CATI, you know.

3 Jodi and company if you want to
4 chime in on that as well, because you three
5 are working these claims all the time.

6 MS. ALGUTIFAN: This is Elizabeth.
7 Portsmouth.

8 There's a nice writeup beginning
9 on Page 40 of the external TBD regarding how
10 to treat skin contamination.

11 Now, I will admit I have had some
12 questions from dose reconstructors over the
13 last - well, fairly recent weeks, in fact,
14 about, you know, one DR had a situation where
15 he had somebody working with magnesium traps
16 and said that they were contaminated, but
17 there were no incident reports to indicate
18 that.

19 So he said, well, you know, I've
20 got this section in the TBD. I'm going to try
21 that, because we honestly don't have a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases where we've used this section.

2 And I think perhaps it's not
3 clearly enough spelled out in the section as
4 to what job categories and what locations this
5 should be honed in on. So, that's what I'm
6 thinking in my TBD revision that I'll go into
7 more detail about how this should be applied
8 and where.

9 DR. NETON: Okay. I'm looking
10 here. I think this action limit needs to be
11 fleshed out a little better. It's 25,000 dpm
12 per hundred square centimeters for tech-99.

13 That was the actual limit for work
14 surfaces and hand tools. So, I don't know.
15 It seems like maybe we ought to go back and
16 sort of shore this up a little bit as to how
17 this is applied. That seems to be the crux of
18 the issue here.

19 I don't disagree, you know, with
20 this approach. Short of applying it to
21 everyone, though, I don't know what else we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would do.

2 And I guess the concern here is
3 that how equitable it is across the board.

4 MR. FITZGERALD: Yes.

5 DR. NETON: And I understand that.

6 MR. NELSON: And was it a chronic
7 issue for most people? I don't think so.

8 MR. FITZGERALD: No, and I don't
9 think it was either. I think -

10 MR. NELSON: Technetium was a
11 contaminant in recycled uranium which
12 constituted a small percentage of the material
13 processed.

14 MR. FITZGERALD: There was only two
15 spots.

16 MR. NELSON: And it went into
17 certain areas and concentrated in certain
18 areas. So, we're not talking about everybody
19 on the site.

20 MR. STIVER: No, it's going to be a
21 distinct category.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NELSON: We're talking about a
2 distinct population.

3 MR. FITZGERALD: No, but I think,
4 you know, I think she touched it a little bit,
5 you know. It's a bridge between, yes, it was
6 in fact a potential that, you know, if you did
7 certain things on the cascade, you would have
8 been exposed not just episodically. You
9 probably just would have exposed as, you know,
10 doing the job, whether it's dismantling the
11 cascade, CIP/CUP, or something like that.

12 And in those cases, I could see
13 applying these tools routinely and saying, you
14 know, we don't know, but, you know, you're
15 likely to have been exposed, and come up with
16 some kind of estimate.

17 And it doesn't strike me as that
18 much different than probably what we're going
19 through on recycled uranium as well that, you
20 know, trying to figure out, you know, certain
21 processes, certain campaigns, certain years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 involved, you know, transuranic contamination.

2 But you wouldn't do it for all
3 years, you wouldn't do it for all operations,
4 and possibly only certain workers in those
5 cases.

6 I think in this case, we're
7 focusing on technetium, but I think that would
8 bridge the acknowledgment that you do have
9 that potential and it could be significant.

10 And the fact that you could narrow
11 it down to aid the dose reconstructor, you
12 have certain operations, certain worker
13 categories would have likely been exposed,
14 that kind of thing.

15 MR. STIVER: Yes, and that's pretty
16 similar to the approach taken in the latest --
17 recycled uranium in the White Paper for
18 Fernald.

19 So, like at certain periods of
20 time when the - those highly contaminated
21 materials came through, those different sets

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of defaults were for those periods and certain
2 categories of workers for others.

3 MEMBER BEACH: So, it sounds like
4 NIOSH has the action here to clarify this.
5 And then do we - should we go ahead and leave
6 this in abeyance?

7 MR. FITZGERALD: No, not this
8 issue.

9 MEMBER BEACH: Not this one?

10 MR. FITZGERALD: I don't disagree
11 with Jim. I just wanted to make sure, though,
12 that it wasn't -

13 MEMBER ANDERSON: The discrepancy
14 we just -

15 MR. FITZGERALD: It wasn't an issue
16 of just simply better references. I think
17 that was one issue, but the probably weightier
18 issue is this: the references help, but the
19 context of having the tools, but not telling
20 you how to -- when to and how to apply the
21 tools is more important, actually.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I think the tools are better
2 described. So, this can be closed, Mr.
3 Chairman.

4 CHAIRMAN SCHOFIELD: Okay.

5 MR. FITZGERALD: But I was afraid,
6 you know, we would lose that thought. And
7 this will take care of the issue when we come
8 up to it later. So, we won't have to -

9 CHAIRMAN SCHOFIELD: If it happens
10 again.

11 MR. FITZGERALD: Right. So, the
12 question of the references, I think, is
13 closed.

14 MEMBER BEACH: Okay.

15 CHAIRMAN SCHOFIELD: Okay.

16 DR. NETON: Chuck, are you taking
17 notes here on these?

18 MR. NELSON: I'm hoping the TBD
19 owners are taking notes.

20 DR. NETON: It really revolves
21 around -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 MEMBER BEACH: Someone needs to be
3 taking notes.

4 DR. NETON: It really revolves
5 around the discussion of what we're doing in
6 6.5.2 of that TBD and discussing how we
7 actually equitably capture people who could
8 have been exposed to skin contamination,
9 particularly tech-99, and how we would do
10 that.

11 MR. NELSON: I don't know what it
12 would apply to besides tech-99.

13 MR. FITZGERALD: I don't either. I
14 think tech is the one that comes to mind.

15 MR. NELSON: So, Issue 5 is closed.

16 MR. FITZGERALD: Six is closed.
17 Seven is closed. Eight is closed. Nine.

18 MR. NELSON: Okay. Number 9. That
19 one was marked as in abeyance and we were
20 asked to verify maximum source term values.

21 And we went into the - this was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maximum source term that I used for
2 technetium-99, neptunium-237 and plutonium.

3 And we went directly to the
4 references, the PACE document, Table 7.9 on
5 Page 88, and verified that the max values were
6 in the current table in the TBD.

7 And we also went to Bechtel
8 Jacobs' 2001 report on Page 30 and 31, Table
9 2.4.1, that's the recycled uranium mass
10 balance report, and made sure that we were
11 using the max values and they agreed with the
12 TBD. And SC&A actually agreed to our action.

13 Any discussion on that one?

14 MR. FITZGERALD: Yes, that's fine.

15 MR. NELSON: So, that one everybody
16 agreed is closed?

17 MR. FITZGERALD: Yes.

18 MR. NELSON: All right. Number 10.

19 This one has to do with particle size and we
20 were given in abeyance. And we were - it says
21 NIOSH to verify particle size assumption.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, what we use is the current
2 ICRP 1994. We assume five micron AMAD. And
3 SC&A's question was, is -- I guess there was
4 mention in some of the other documents of
5 smaller particle sizes.

6 And SC&A wants to know that -- how
7 is that reconciled with the statement that no
8 particle size study was located for Paducah?
9 The issue is whether any data exists that
10 would obviate the use of default particle size
11 of five micron.

12 And our response to that is that
13 we don't know of any adequate particle size
14 study that was done at the site. So, we're
15 using what the ICRP recommendation is of five
16 micron, which has a distribution that Jim
17 could probably talk about more, but we think
18 that's an adequate depiction and the right
19 thing to use.

20 MR. FITZGERALD: Yes, I think there
21 - our question there was simply - and this was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the original Site Profile Reviews that
2 there's a reference to fume size particulates
3 and a 1-micron size, I think.

4 I'm looking for the reference.
5 And it was - that study is actually, I think,
6 in the SRDB.

7 So, we had a question about
8 reconciling that reference with using the
9 ICRP.

10 And what I'm hearing you say I
11 guess in a sense, is that that would not be
12 seen as an actual particle size study.

13 DR. NETON: Right. And I don't
14 think there was actually any particle size
15 study done at Paducah itself.

16 MR. NELSON: Right. If you go to
17 the PACE document, which was an evaluation
18 performed by the union and University of Utah,
19 they made the statement no actual particle -
20 this is a quote: No actual particle size
21 study - I'm saying quote, but I'm not using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the right words.

2 The quote is: no actual study of
3 particle sizes at Paducah gaseous diffusion
4 plant, and that included the location of
5 measurements, measurement methodologies and
6 the result of measurements has been located.

7 MR. FITZGERALD: So, even if there
8 was a measurement, that wouldn't be considered
9 a study for purposes not defaulting to ICRP.

10 I think it's a matter of
11 threshold.

12 DR. NETON: Yes, I mean, there may
13 be indeed some operations that have slightly
14 different particle size distributions in the
15 default ICRP.

16 But on balance, we feel using five
17 with the geometric standard deviation of 2.5,
18 it's not a monodisperse aerosol, it covers
19 adequately the waterfront of those operations
20 lacking any definitive particle size studies
21 that were conducted at that site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John.

2 In one case, I do recall - I
3 forget what site it was. It may have been
4 Bethlehem Steel -- where you had knowledge
5 that there might have been fumes because they
6 were using a torch to cut things.

7 And when that occurs, you're
8 generating fumes which have particle sizes
9 which are below one micron. I think you
10 actually assume 0.1 micron in that case.

11 So, all I'm pointing out is that
12 when there is affirmative evidence that there
13 might have been circumstances or operations
14 where the default five-micron AMAD median
15 value may not apply, it seems that, you know,
16 you have in the past.

17 And now, if we have a circumstance
18 here where there's some evidence where there
19 might be indication from studies, site
20 studies, that that distribution may not always
21 hold up here because of certain types of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 operations, that seems to be the strategy that
2 was used before, and it seems to be one that
3 could be applied here.

4 DR. NETON: Well, I don't think
5 there are any site studies here. I mean,
6 there are a lot of different operations, and I
7 would agree that five microns does not cover
8 all operations. But on balance, I think it
9 does.

10 You know, you've got typically a
11 lot of operations that have larger particle
12 sizes than five microns.

13 And you've got to be careful when
14 you talk about 1-micron atmospheres. If
15 you're talking AMAD, uranium being heavy is
16 going to be much larger than - a 1-micron mass
17 median diameter aerosol is much larger when
18 you talk about uranium as an aerodynamic mass
19 median diameter.

20 MR. FITZGERALD: Well, I think, you
21 know, what John's pointing out is kind of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situation is that we actually have a
2 referenced measurement of an operation
3 involved fumes where you had -- I'm looking
4 for the actual citation, but I think you
5 already have it.

6 DR. NETON: I don't know that it
7 was at Paducah though, was it?

8 MR. NELSON: Yes, I think there was
9 a discussion in Baker --

10 MR. FITZGERALD: It's Paducah --
11 oh, Baker. I'm sorry.

12 MR. NELSON: 1987.

13 MR. FITZGERALD: Right. And I
14 guess this gets down to maybe a broader policy
15 question which I think you touched on earlier,
16 what is the threshold by which one would
17 accept for a particular operation, a
18 documented measurement that is different than
19 the five.

20 And I think in this case, the
21 Baker study did identify fume level

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 particulate size.

2 MR. NELSON: Well, I meant the
3 Baker study. First of all, the Baker study
4 was a study of recycled uranium.

5 So, it was focused on that. It's
6 not the entire site. But he made some
7 statements about -- and, you know, I don't
8 know where he's getting his information from,
9 but he made some statements.

10 I don't know if it by itself is
11 adequate to say that, you know, that it was --
12 how true it is. Let's put it that way.

13 Not that it's a lie or anything,
14 but, anyways, he said that the UO3 powder
15 handling had an AMD of about 10 micron. The
16 Green Salt Plant C-420 dust was approximately
17 10 micron.

18 He said the fluorination towers,
19 and I think this is what Joe was referring to,
20 it says the -- I don't know if we had it
21 written it down here -- UO2 F-fume of about --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 AMAD of about one micron. And then it said
2 airborne clean-out 3.5 to seven micron.

3 And he said, however, his
4 conclusion to all that was he was going to
5 assume an AMAD of four micron for all of his
6 calculations for that area.

7 DR. NETON: So, you sort of have a
8 weighted average type approach. You got a
9 bunch of operations with 10 micron, we're
10 using five, I mean, do we go and now start
11 saying, well, that operation is five, the
12 other one is five, this one is ten?

13 I think five is a fairly good --
14 recommended by the ICRP as sort of a default.
15 I don't know.

16 MEMBER ANDERSON: I mean, the
17 concern would be if it was a lot finer.

18 MR. FITZGERALD: Yes, I'm just
19 saying --

20 MEMBER ANDERSON: I mean, once you
21 get --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes, yes.

2 MEMBER ANDERSON: So, the
3 likelihood, I mean, five is -- makes it all
4 respirable.

5 DR. NETON: It wouldn't make a
6 difference in the dose.

7 MEMBER ANDERSON: Yes.

8 DR. NETON: I mean, you know, some
9 calculable difference in the internal
10 exposure.

11 MR. FITZGERALD: As far as NIOSH
12 policy then as far as applying the default of
13 five, if you do have countervailing site-
14 specific evidence, and I guess I'll put
15 evidence in quotations, I'm not sure how that
16 would be, you know, what evidence would in
17 fact be persuasive.

18 And I'm not saying the Baker --
19 you know, the Baker report is what it is. So,
20 you know, if that doesn't rise to a point
21 where it would be used as a site-specific data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for a particular part of the operation --

2 DR. NETON: Well, you have a couple
3 things to weigh in here. One is that I think
4 -- I'm trying to remember Bethlehem Steel. I
5 think John is right.

6 I don't know it was 1.1 micron
7 aerosol, but we had a specific operation where
8 a person was cutting uranium with a torch.
9 And that was the only person that did that.

10 So, here you have a job class
11 where their only potential exposure there --
12 and we did change the default to a small
13 particle size to accommodate that operation.

14 Here, where you have various
15 operations around the plant, and by that
16 report that Chuck just read, you have a
17 variety of different particle sizes all within
18 the same operation, unless you get some guy
19 that is just doing that constantly, I don't
20 know how else you would, you know -- it
21 doesn't seem correct then to assume that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everybody in the entire facility breathe 1-
2 micron particles for their entire career,
3 which is where we would be driven to in that
4 situation.

5 MR. STIVER: No, you have to have
6 the granularity that you can show us
7 particular job type, particular exposure.

8 MR. FITZGERALD: Well, I think this
9 is just a question of when one defaults and
10 when one doesn't, under what conditions.

11 Because in our review -- let's
12 make it easier. This is straight from our
13 review.

14 MEMBER BEACH: Are you looking at
15 Page 56 there, Joe?

16 MR. FITZGERALD: 54 of the --

17 MEMBER BEACH: 54.

18 MR. FITZGERALD: -- Paducah review
19 that will reference particle size.

20 MEMBER BEACH: Yes.

21 MR. FITZGERALD: And we talk about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two reports. The Baker is one, and then
2 there's the Bruner 1960. And there, it's a
3 broader review.

4 MR. STIVER: Page 94, the
5 references here is a neptunium-237
6 contamination problem, Paducah, Kentucky.
7 That was in the SRDB.

8 MR. FITZGERALD: Yes, and again
9 it's just sort of reconciling those findings,
10 site-specific findings with default.

11 Now, the Bruner one actually is a
12 little bit more of a concern. I guess --

13 MEMBER BEACH: Because that goes
14 down to 0.5.

15 MR. FITZGERALD: Yes, it says 0.5
16 for general dust particles at Paducah. But
17 again, one has to judge the, you know, how the
18 study was done and whether one wants to treat
19 that with sufficient scientific credibility
20 that it would, you know, again contradict the
21 five micron.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But it does sort of raise the
2 question of on what basis does one default
3 when you have site-specific particle size
4 reviews?

5 MR. STIVER: Well, Jim's point is a
6 good one. I mean, you have a whole
7 distribution of particle sizes. And this
8 particular paper may have concentrated on one
9 operation or, you know, who knows what the
10 basis for that is.

11 I mean, you know, you can be
12 fairly confident when you factor in all your
13 uncertainties if you're using five as kind of
14 a median value, that you're going to probably
15 bracket most exposure potential.

16 DR. NETON: Like I say, it's got a
17 GSD of two -- I think it's 2.5 is the size.
18 So, it's not monodisperse aerosol.

19 And then I don't know what these
20 studies have done and what the distribution of
21 those particles are versus, you know, versus a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 2.5 GSD default.

2 I mean, there's a lot of
3 additional uncertainty and --

4 MR. FITZGERALD: Well, okay. Just
5 not to beat this to death, just the response
6 to the issue was no specific particle size
7 study was located at Paducah.

8 Now, I guess I'm not -- I'm a
9 little confused that these don't -- I guess,
10 if these don't count as studies for whatever
11 reason, because they weren't done in a way
12 that would be considered a credible or
13 technical approach or not applicable enough or
14 something, that would be the basis for ruling
15 them out. But if they do represent site-
16 specific studies, then I guess you could make
17 that statement. But you might, like you were
18 just saying, saying, well, but five is okay
19 because looking at these studies, it's a
20 reasonable distribution.

21 So, the response is what I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to --

2 DR. NETON: Yes, I understand what
3 you're saying. We probably need to go back
4 and -- based on what I'm reading here in your
5 report, it seems like there were some studies
6 done.

7 I mean, I'm a little bit confused
8 as to why we came out saying that there were
9 no studies.

10 CHAIRMAN SCHOFIELD: Wasn't there
11 an analysis? I assume they had the in-house
12 chem department where they would have -- part
13 of their analysis would be particle size,
14 wouldn't it?

15 DR. NETON: Well, if these were
16 done at Paducah, I'm sure there are data
17 there. You know, you don't just take an
18 aerosol and say the particle size is exactly
19 three micron.

20 I mean, you come out with some
21 kind of a distribution on a cascade and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. STIVER: Yes, they've got a
2 cascade factor, and then look at the -

3 DR. NETON: And you look at the
4 different stages and so forth. I think we
5 need to go back and look at that a little
6 closer.

7 MR. FITZGERALD: I was going to say
8 that technology existed even back in the '60s.

9 DR. NETON: Oh, yes.

10 MR. FITZGERALD: So, I don't think
11 that would have been too mysterious.

12 DR. NETON: No.

13 MEMBER ANDERSON: And then likely
14 to have recorded it. So --

15 MR. FITZGERALD: Well, I'm just
16 saying, you know, I'm sure the study -- if
17 there's any documentation behind the study, it
18 would show the distribution.

19 MR. NELSON: So, what study are we
20 talking about that we have?

21 MR. FITZGERALD: Well, there's two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies. The Baker and the Bruner.

2 MEMBER BEACH: Right. They're on -
3 -

4 MR. FITZGERALD: Those are the two
5 that --

6 MR. NELSON: I don't think, though,
7 that you could classify Baker as a particle
8 size study.

9 MR. STIVER: It's sort of an
10 ancillary comment within the paper, really.

11 MR. FITZGERALD: That was the first
12 question I raised. I mean, what you're saying
13 is these don't really count as studies. You
14 know, that answers part of this question.

15 If they do count, then, you know,
16 why aren't they sufficient to be treated as
17 site-specific, you know, data? That's really
18 the other part of the question.

19 So, does it count as a study under
20 the way you handle the default?

21 DR. NETON: Yes, maybe we ought to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go back to the study and all take a look at it
2 and describe more in detail why it's not a
3 study.

4 CHAIRMAN SCHOFIELD: That's NIOSH -
5 - going to be a NIOSH action item.

6 MEMBER BEACH: So, both Baker and
7 Bruner?

8 DR. NETON: Yes, I mean, Bruner,
9 you know, there's sort of a passing statement
10 that dust particles are about 0.5.

11 Well, I wouldn't disagree, you
12 know. Dust particles are by definition, a
13 small particulate. I mean, but they're in a
14 milieu of what?

15 MEMBER BEACH: Well, that goes back
16 to what's in here as that there's chronic dust
17 exposure. So, I think that's how that ties
18 in.

19 DR. NETON: Yes.

20 CHAIRMAN SCHOFIELD: Yes.

21 DR. NETON: But then dust of what?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean, is it uranium dust? Is it dirt? I
2 mean, there's a lot of different things here.

3 MEMBER BEACH: Yes.

4 MR. FITZGERALD: The particles that
5 we're concerned with there.

6 MR. NELSON: So, we'll look at
7 Baker and Bruner and we'll give you a better
8 response.

9 DR. NETON: Look at it a little
10 closer as to why they are or not all right.

11 CHAIRMAN SCHOFIELD: Okay. Seems
12 like there should be in-house records of that
13 analysis.

14 DR. NETON: Well, I think we've got
15 the study. I mean --

16 CHAIRMAN SCHOFIELD: Right.

17 MR. FITZGERALD: I think the
18 studies are captured.

19 DR. NETON: I think what's going to
20 happen is they're going to reference other
21 studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Do you have the SRDB number on
2 that?

3 MR. FITZGERALD: You had the
4 records section on the --

5 MR. STIVER: Hang on just a second.
6 Let me pull that up.

7 It's here. It has the NIOSH OCAS
8 file NP contained 1960.PDF. So, it gives a
9 file name.

10 (Simultaneous speaking.)

11 DR. NETON: Well, I don't want to
12 hold up the --

13 MR. STIVER: Yes, we don't need to
14 do that.

15 CHAIRMAN SCHOFIELD: Do you have
16 the number for Baker?

17 MR. NELSON: I don't have it right
18 here. It's easy enough to get.

19 CHAIRMAN SCHOFIELD: That's fine.

20 MR. NELSON: In fact, we might cite
21 it somewhere else.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: Okay. Then
2 we'll go on to Number 11.

3 MR. FITZGERALD: That's fine.
4 We'll move on.

5 MR. NELSON: Number 11 was marked
6 in abeyance. And our action was NIOSH to
7 verify maximum source term values reflected.
8 And this is similar to Item Number 9 whereas
9 we went to the PACE report and the uranium
10 recycled report and we verified that maximum
11 values were used in the tables. And SC&A
12 agreed with our conclusion.

13 Okay, ready to move on?

14 CHAIRMAN SCHOFIELD: Ready to move.
15 We're calling that one closed.

16 MR. NELSON: Okay, Item Number 15.
17 This one has to do with day of sample
18 collection for urinalysis, and we had a NIOSH
19 action.

20 NIOSH need to evaluate scope and
21 significance of issue at Paducah -- let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make sure I say this right.

2 MEMBER BEACH: Implementing, is
3 that what you --

4 MR. NELSON: Implications to the
5 coworker model to the dose estimate.

6 Anyways, what we did is we looked
7 at all the urine samples that were done
8 through 1977, then 1977 through 1978, and we
9 looked at what day of the week were those
10 urines done. Because the focus was that, you
11 know, they were done on Monday morning
12 sampling.

13 And the distribution that we came
14 up with is that Sunday two percent of the
15 urines were done, Monday 30 percent were done,
16 Tuesday 18 percent were done, and Wednesday 26
17 percent, Thursday 13 percent, Friday 11
18 percent and Saturday one percent.

19 So, SC&A came back and said that
20 we still have questions. They're not clear
21 how a 30 percent fraction on Mondays with 44

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent the following two days, does not
2 represent a significant increase in sampling
3 frequency in the context of this issue.

4 While it's acknowledged that this
5 issue resolves itself for individual dose
6 reconstructions, it is less clear how it
7 resolved this in the coworker model.

8 And they go on to say we agree
9 this is a common issue, but how does it
10 resolve for other sites such as Y-12?

11 MR. FITZGERALD: Yes, I think, you
12 know, this is not an issue specific to the
13 gaseous diffusion plants. I remember it
14 coming up at the Y-12 as well that, you know,
15 this whole notion of taking samples after a
16 weekend.

17 But I guess the table is helpful,
18 but it sort of leaves me still a little
19 question of whether or not you're actually
20 seeing what we were talking about, a fairly
21 high number of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Well, Tom LaBone I
2 think can talk a little more about this, but
3 we've looked at this in some detail because
4 you're right. This affects several sites, Y-
5 12 and most recently Santa Susana, where there
6 were Monday morning samples.

7 You have to look in the context of
8 how we model. And this would only really
9 affect the chronic exposure coworker model.

10 The idea is if someone is - works
11 five days and leaves a sample on Monday,
12 they've been off work for two days. So, they
13 had time to clear.

14 And then if a person only left
15 Monday morning samples and they were off those
16 two days, then some correction would be needed
17 and affect is of course most severe or the
18 more soluble material like that.

19 But the reality is that we've done
20 some analyses on this that, if it's anything
21 other than a Monday morning sample, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opposite would happen. For Tuesday through
2 Friday samples, the model actually
3 overpredicts what they would have been exposed
4 to.

5 So, when you start putting those
6 all in the mix -- because what we do is we
7 assume a seven-day-a-week chronic exposure.
8 And what happens, you sort of catch up after
9 that on Monday.

10 If you leave a Tuesday sample,
11 it's the exact opposite and it only really
12 affects on that Monday morning.

13 So, if you take the whole mixture
14 into place, on balance, the model is not
15 underreporting what these intakes are.

16 Tom, I don't know if you want to
17 correct anything I said there or fill it in or
18 state a little --

19 MR. LaBONE: No, that's correct.
20 It's just that it sort of averages it out if
21 you have the samples spread throughout the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 week, is basically what it does.

2 MR. FITZGERALD: So, this
3 distribution would tend to validate what
4 you're saying because you actually do have 44
5 percent as I indicated in our response on
6 Tuesday and Wednesday, and 30 percent, a third
7 almost, on Monday.

8 DR. NETON: Well, for anything
9 except a Monday sample, the model is claimant-
10 favorable. It would be claimant-favorable
11 using those values.

12 MR. FITZGERALD: So, I guess if --
13 well, just to close this thing out, if your
14 distribution happened to show 50 percent on a
15 Monday and a lot less on the rest of the week,
16 then you might say that maybe the distribution
17 is skewed a little bit.

18 I mean, in this case you have 30
19 followed by 44 percent on Tuesday and
20 Wednesday. So, I think that distribution
21 would tend to offset --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: It is true it's a case-
2 by-case analysis. But I think even with Santa
3 Susana where almost all the samples were left
4 on Monday, our models still ended up being --

5 MR. FITZGERALD: Really?

6 DR. NETON: -- okay, yes.

7 DR. MAURO: Jim, this is John.

8 We did address this once before,
9 and the only thing that came up was those
10 samples that were collected on Tuesday and
11 Wednesday and Thursday, were they after two
12 days of a person being off?

13 In other words, sometimes the --
14 sometimes the sample is taken after the two-
15 day hiatus. But you're saying that, no, when
16 they're taken on Tuesday, the guy worked on
17 Monday. When it's taken on Wednesday, no, the
18 guy worked on Monday and Tuesday.

19 DR. NETON: Right.

20 DR. MAURO: Oh, okay. I just
21 wanted to confirm that because there was some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion regarding that when this last came
2 up.

3 DR. NETON: Yes, I don't know --
4 well, this analysis would assume then of
5 course, then, with working a regular five-day
6 Monday-through-Friday workweek.

7 DR. MAURO: Right. And if that
8 being the case, I think your arguments are
9 compelling.

10 DR. NETON: Right. I really
11 strongly suspect that it's true. I don't know
12 that everybody worked what I call these swing
13 shifts that much way back when.

14 In other words, you would have a
15 lot -- you'd have a variety of shifts working
16 various workweeks. It just doesn't seem to me
17 to be a reasonable thing.

18 Although, I suppose we can go back
19 and verify that somehow.

20 MR. STIVER: Jim, as just kind of a
21 practical Site Profile issue, how much of an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 under-representation does the model predict
2 for Monday morning?

3 It seems like it's something that
4 could be adjusted.

5 DR. NETON: Oh, it could be.

6 MR. STIVER: Yes.

7 DR. NETON: I mean, if it was a
8 Monday morning sample and it's Type F, fast
9 clearance, it could be a factor of two or
10 three different.

11 MR. STIVER: Factor of two or
12 three.

13 DR. NETON: Right. But as soon as
14 you start taking Tuesday morning samples, your
15 model is over-predictive by 20 percent, at
16 least in the last analysis I saw.

17 DR. MAURO And, Jim, if you recall,
18 Joyce did a similar work-up and you all came
19 to the same conclusion.

20 DR. NETON: Right.

21 DR. MAURO: So, I mean, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're all on the same page. It just has to do
2 with this matter of this sliding weekend, so
3 to speak.

4 DR. NETON: So our opinion, unless
5 all the samples are taken on Monday, which a
6 correction could be made -- could be made --

7 MR. STIVER: It sounds like we have
8 a technique in place to --

9 MR. FITZGERALD: Well, it's the
10 coworker. Chronic coworker. So, you know, it
11 takes care of itself then.

12 DR. NETON: We've got this seven-
13 day-a-week, you know, exposure model. That
14 sort of masks some of these different --

15 DR. MAURO: Yes.

16 DR. NETON: Because you're having
17 the person exposed --

18 MR. STIVER: Yes, we're talking the
19 coworker model only here anyway.

20 DR. NETON: Yes, and this is only
21 applied to people, by the way, who have no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring data.

2 MR. STIVER: Right.

3 DR. NETON: We would use their
4 actual monitoring data as it was.

5 MR. FITZGERALD: Well, I think that
6 response would be satisfactory. When the
7 table came out, I thought it just basically
8 validated what we were saying. It looked like
9 a third were on Monday, and that's what we
10 were concerned about.

11 But you --

12 MEMBER BEACH: Right.

13 MR. FITZGERALD: So, I guess I
14 would recommend closure based on that --

15 CHAIRMAN SCHOFIELD: You'd call
16 that one closed?

17 MR. FITZGERALD: On that
18 assessment, yes.

19 MR. NELSON: Okay. Next item is
20 Number 16. This one is marked as in abeyance.

21 And we were given -- actually, SC&A was given

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the task, but we did the same thing, is to
2 compare the PACE 2001 incident list with Table
3 5-8 in the TBD listing.

4 And NIOSH did compare the incident
5 list in the TBD, the Table 5-8 with PACE Page
6 31. It says Page 51 to 52. It's actually PDF
7 Pages 66 through 69 on PACE.

8 And our response also says Bechtel
9 Jacobs Pages 5 and 7. That's PDF Pages 12 and
10 14, if there's any confusion there. And we
11 found that they were agreeable.

12 And we also went on to say that
13 when we update the TBD, we'll make a note to
14 Table 5-8 to say that additional information
15 may be found in the PACE report in the Bechtel
16 Jacobs report, and provide those references in
17 the TBD.

18 SC&A went on to say they agree,
19 but thought this should be discussed in the
20 Work Group at the next meeting. Otherwise,
21 they would recommend closure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. FITZGERALD: Yes, I mean, we
2 went and compared the charts and they looked
3 like they were similar. So, maybe there isn't
4 much discussion.

5 I think it's comparable. I think
6 the key thing was to make sure that the
7 reference lists are added in.

8 MR. NELSON: Yes.

9 MR. FITZGERALD: I think that was
10 the real emphasis on that.

11 MR. NELSON: Yes, and we have a
12 schedule right now for updating the TBDs. In
13 fact, the TBD owners are getting started with
14 this. As we come to some agreements here,
15 they are updating the TBDs to better represent
16 themselves. So, that's in progress.

17 CHAIRMAN SCHOFIELD: I think we'll
18 call that one closed then.

19 Number 17.

20 MR. NELSON: Okay. Number 17 is
21 marked in abeyance. Our action was NIOSH to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provide reference for evaluating job title and
2 worker category and coworker application.

3 And the issue here was in the
4 coworker model, workers are not classified by
5 the jobs or by buildings that -- where they
6 performed their work and no validation is
7 provided as there could be a low probability
8 that an unmonitored worker could have a higher
9 exposure than the monitored workers taken as a
10 group.

11 We went on to have some discussion
12 about OTIB-14. What OTIB-14 is for, is an
13 environmental TBD TIB. And I think SC&A was
14 actually correct in saying they disagree that
15 OTIB-14 satisfies the need for site-specific
16 information regarding job categories or
17 buildings where workers perform work.

18 They agree that it's good for
19 environmental dose. But for workplace dose,
20 it wouldn't really cover that. And I have to
21 agree with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, we'd like to -- OTIB-60,
2 that's the internal dose reconstruction TIB.
3 And we can update the TBD to show how we can
4 apply coworker to individuals where they
5 perform their work. Not necessarily
6 environmental, but those that were workplace
7 doses.

8 MR. FITZGERALD: Yes, I think that,
9 you know, we went and looked at TIB-14, and
10 that's kind of where we came out.

11 MR. NELSON: I just think we didn't
12 give you a great reference. A better
13 reference would have been OTIB-60.

14 DR. NETON: Well, I've used this
15 OTIB-14 in the past, though, as evidence of
16 how we would go about picking people with some
17 exposure.

18 I mean, I agree it's an
19 environmental TIB, but, you know, it clearly
20 says, you know, there's one -- the top group -
21 - I'm remembering it now -- says almost no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure. I think you're talking about
2 administrative-type people who worked in clean
3 areas and never frequented the plant. That's
4 kind of a no-brainer.

5 Then in the middle categories,
6 people with some potential for exposure. And
7 I think clearly that middle category, in my
8 opinion, would relate to not environmental
9 exposure, but would get 50th percentile of the
10 internal dose model.

11 Then there's an upper category
12 which is people that definitely have potential
13 for exposure that could have had significant
14 exposures. And I think it's in -- I'm not
15 sure in this particular case, those who get
16 the 95th percentile. You're talking about
17 people like chemical operators, you know,
18 people working in very contaminated areas and
19 doing things that have potential grinding,
20 cutting, welding operations.

21 That's sort of the way the triage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 works. I don't disagree with Chuck that we
2 probably need to have a better example put in
3 the internal TIB. I think that would
4 strengthen our argument.

5 But I think TIB-14 does sort of
6 lay out the - sort of the triage approach as
7 to who gets what dose even though it is an
8 environmental TIB.

9 MR. NELSON: I think it would
10 strengthen if we put in OTIB-60 as well.

11 DR. NETON: Yes. And we have to
12 keep in mind these are examples. I mean, I
13 brought this up to the Board I think a meeting
14 or two ago, and I immediately got some
15 grouching going on.

16 Well, you know, the clerks over in
17 this plant always went in the area where, you
18 know, very heavily exposed and I acknowledge,
19 you know, these are general guidelines, but
20 you've got to have site-specific, you know,
21 evaluations done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But otherwise, I don't know how
2 you start. And of course we're always going
3 to err on the side -- try to err always on the
4 side of the claimant-favorable if we can.

5 MR. FITZGERALD: Yes, and I don't
6 disagree. I think what, you know, OTIB-14 is
7 a starting point. It's a general description.

8 And I think if you want to go one level down
9 that's more specific to the site, that would
10 point you in the right direction. And that's
11 all we're saying here.

12 DR. NETON: Yes, I think a better
13 documentation essentially sort of put in
14 writing what we're actually doing, how we're
15 triaging these cases is a good idea. I don't
16 disagree with that.

17 MEMBER BEACH: So, where does OTIB-
18 31 come into it? SC&A talked about OTIB-31 in
19 -- Table 2 serves to illustrate the most
20 highly exposed, because what I'm hearing so
21 far is 14 and 60.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: Yes.

2 (Simultaneous speaking.)

3 MR. FITZGERALD: 31 is -- I think
4 that is probably in the wrong place. It has
5 to do with whether the most exposed individual
6 is badged.

7 MEMBER BEACH: Okay. So, that
8 shouldn't be there?

9 MR. FITZGERALD: That might just be
10 misplaced.

11 MEMBER BEACH: I was just wondering
12 about that.

13 (Simultaneous speaking.)

14 MR. STIVER: Yes, I think that came
15 out in the last meeting.

16 MEMBER BEACH: Okay.

17 MR. NELSON: I think if you went to
18 the SC&A, the entire three-page comments, then
19 there was some discussion about external. I
20 think they were closing the loop on that.

21 MEMBER BEACH: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NELSON: That kind of threw me
2 off at first.

3 CHAIRMAN SCHOFIELD: Just strike 31
4 then?

5 MR. FITZGERALD: No, no, no. I
6 think he's right. There's been so many cites
7 in this.

8 That was a very lengthy finding in
9 the Site Profile Review and it did get into
10 the question of addressing external exposures,
11 but that was meant to close that loop on that
12 particular item.

13 DR. NETON: Yes, TIB-31 is the
14 external coworker model.

15 MEMBER BEACH: Right.

16 MR. NELSON: Right.

17 MR. FITZGERALD: So, the internal
18 coworker, external coworker. So, the context
19 though is what points the dose reconstructor
20 in the right direction as applying the
21 coworker model.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we're saying the job
2 categories if one could be a little bit more
3 specific, site-specific, that would be more
4 meaningful as a guide.

5 So, we've held that in abeyance
6 and, you know, I think with further feedback
7 from the Work Group, that would be -- I think
8 it's both methods as Jim is pointing out, as
9 well as maybe a little more explicitness about
10 the job categories with the site.

11 I think illustrative examples are
12 a starting point, but not -- it would be
13 helpful to have one layer down.

14 CHAIRMAN SCHOFIELD: So, maybe just
15 a better description of job categories.

16 MR. FITZGERALD: Well, it's both
17 how you would apply and --

18 CHAIRMAN SCHOFIELD: Right, how you
19 apply it and --

20 MR. FITZGERALD: I think the point
21 here is you're not going to be so precise that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're going to have every job category and
2 all that.

3 CHAIRMAN SCHOFIELD: Right. In
4 generality.

5 MR. FITZGERALD: But for a site-
6 specific approach, you might have more
7 specificity for Paducah that would be more
8 helpful for the dose reconstructor to apply in
9 the coworker model.

10 MEMBER BEACH: 20 is listed in
11 abeyance, but I have it down as closed after
12 our last meeting.

13 MR. NELSON: Well, I think we got a
14 good response for that one.

15 MEMBER BEACH: Yes.

16 MR. NELSON: I think SC&A was happy
17 with that.

18 MR. FITZGERALD: Yes, we're fine.

19 MR. NELSON: Do we need to discuss
20 it in detail?

21 Basically, the question was about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coworker -- how do you apply coworker to -- or
2 external coworker dose to the individuals.
3 And we made reference to OTIB-20 in -- where
4 it talks about evaluating job title, worker
5 category, select the proper coworker
6 percentile value, be it 50th or 95th
7 percentile. And OTIB-31, that's the coworker
8 TIB.

9 So, we're going to add a reference
10 to OTIB-20 to the external coworker TIB. And
11 SC&A was okay with that.

12 And there was another issue about
13 the data trend, you know, what about prior to
14 1960. And we had some discussion there and
15 provided a table that showed that, you know,
16 the most highly exposed workers were indeed
17 monitored prior to 1960.

18 So, we think our coworker model
19 holds. And SC&A agreed with that and
20 recommended closing.

21 CHAIRMAN SCHOFIELD: Closed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes.

2 CHAIRMAN SCHOFIELD: Okay.

3 MR. NELSON: Okay. Item 22 was
4 regarding x-rays. And there was a long
5 response for that, but it was using site-
6 specific technique factors and conservative
7 air kerma values for applying those Paducah
8 TBD from OTIB-6.

9 And one thing that we're doing,
10 actually OTIB-6 has been revised. And OTIB-6
11 will be directly referenced in the Paducah
12 medical TBD.

13 And it also has revisions on and
14 more detail how we apply substitute DCS for
15 certain organs.

16 That was some of the discussion
17 that was brought up. And SC&A was in
18 agreement with our response.

19 MR. FITZGERALD: With this
20 discussion, I mean, it's a good discussion.
21 Is this going to be added? I think you were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying --

2 MR. NELSON: Well, we're going to
3 make -- I mean, the tables that we have in the
4 TBD for assigning medical x-ray dose, they are
5 -- for Paducah, they are accurate.

6 We use substitute DCS, but OTIB-6
7 didn't do a very good discussion on discussing
8 assigned substitute DCS. So, it has been
9 revised. It now has a nice discussion of
10 that.

11 I don't know if Elyse is on the
12 phone or not to discussing of that, but --
13 Elyse Thomas? Is she out there?

14 MS. THOMAS: Yes, hi, Chuck. This
15 is Elyse Thomas with the ORAU team. And I
16 think the response is pretty clear.

17 The comment had to do with the
18 dose impacts of less than optimal use of
19 technology. In other words, if they used
20 screens or film that weren't as, you know, the
21 fastest that were available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But the point of the response was
2 that, since we used site-specific technique
3 factors, those technique factors would have
4 accommodated the level of the technology that
5 they were using. And so, it becomes kind of a
6 moot point.

7 So, in other words, even if they
8 weren't using suboptimal technology, because
9 we are using site-specific technique factors
10 to develop the entrance kerma values, we have
11 included that in our dose estimates.

12 So, I hope that helps explain our
13 response to SC&A's comment.

14 MR. FITZGERALD: I think we're
15 fine. Even the opportunity to provide a
16 little bit more background, a few sentences,
17 that might even be helpful.

18 MS. THOMAS: Yes, we'd be happy to
19 do that when we revise, you know, this TBD.

20 MR. FITZGERALD: I'd recommend
21 closure on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: Okay.

2 MR. NELSON: Yes, these are
3 secondary issues.

4 DR. NETON: That's why they have an
5 S next to it. That's what the S stands for.

6 MR. NELSON: 23s, okay. That's the
7 next one. It says NIOSH will follow up. This
8 was the provided equations for estimating 24-
9 hour excretion on the basis of spot urine
10 sample was incorrectly written.

11 We agree that it was, but we never
12 used the equation and it was deleted from the
13 TBD, and is not the current version. So, I'm
14 not quite sure -- you may ask for a commitment
15 to delete or change this equation, but we no
16 longer even have that equation in the TBD.

17 So, I don't know that there's any
18 issues here.

19 CHAIRMAN SCHOFIELD: Probably
20 Number 23, I think. There's not a real issue.

21 MR. NELSON: SC&A agree?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes.

2 MR. NELSON: All right. Probably
3 the next one that's going to have some
4 discussion on it.

5 Okay. 24s, use of unverified
6 bioassay data. The database for internal dose
7 data 1952 to '56, was not verified by DOE for
8 completeness and accuracy. It's not clear if
9 NIOSH has done so.

10 And our action was to verify the
11 pedigree or database and determine -- or
12 database, and determine if it's been verified
13 and validated.

14 Basically, there is a database
15 from 1952 to 1976. And when we had gotten it
16 over to us, it said unverified. But what it
17 is, it's a listing of all the urinalysis for
18 that period of time. So, that's tens of
19 thousands of records.

20 And we understand it hasn't been
21 through a V&V through DOE, but we took it as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 record from DOE and used the data to develop
2 coworker data.

3 Here's our understanding. In 1991
4 and 1993, a HIT was made by Paducah health
5 physics dosimetry to make electronic files of
6 in vivo records and the urine cards.

7 In 1993 in July of that year, they
8 no longer contracted. That company was LMUS.

9 And so, we don't think a complete validation
10 was done of those -- of that access database.

11 We don't know that it's been through a V&V
12 process.

13 So, the question comes down to how
14 big of an undertaking would we want to do from
15 our standpoint to verify that this database is
16 accurate?

17 We have the individual records.
18 In their files, it shows all the urine cards.

19 This would be for a coworker issue.

20 So, you get all this coworker data
21 that was based on this data that was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily verified.

2 So the question is, is what do we
3 need to do? I think Jim was going to --

4 DR. NETON: Yes, I think there's no
5 question we need to do something here. I
6 mean, we can't accept -- it's been our routine
7 method to do some type of validation on these
8 databases. It even says so somewhere in one
9 of our procedures, I think.

10 MEMBER ANDERSON: How would you do
11 it?

12 DR. NETON: Well, you know, I can
13 think of what we've done in the past, and
14 there's a couple things we can do.

15 One is we can spot check and
16 verify that the data that we do have from hard
17 copy records in the claimant files matches on
18 a sampling-level basis.

19 It's something that we've done at
20 other places. And Mark Griffon was a big
21 proponent of this, was to go find -- a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 times you'll find these summary health physics
2 reports. It will say in 1995, we took 4,000
3 urine samples and blah, blah, blah and this
4 many and just go and say, okay, do we have --
5 they took 4,000 samples; let's make sure we
6 have 4,000 samples, you know, so we feel like
7 we've got the right volume. We're not missing
8 something.

9 And I think if we do those two
10 things, we can do those two things, it will at
11 least give us some sense that we have a
12 complete data set and, you know, we don't have
13 claimant data that's not showing up in that
14 database as well looking at the original data.

15 And that would be a sampling effort as well.

16 It would, you know, nothing is
17 perfect, but I think that would go a long way
18 to at least give folks some comfort that we at
19 least feel like we've got the complete --

20 MR. STIVER: Sounds like identical
21 to what you guys did for the Fernald -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, it was similar.

2 MR. STIVER: So, you've definitely
3 been down that road before.

4 DR. NETON: Yes, this would be a
5 sampling effort. We can't do it all, but we
6 can spot check the cards against -- the
7 claimants against the database, and also to
8 somehow validate that the numbers we have make
9 sense in light of what we knew they were
10 doing.

11 And usually in a place like
12 Paducah, there's got to be reports that say
13 the health physics program took 5,000 samples
14 this year or 2,000 urine and, you know, look
15 at that.

16 MR. FITZGERALD: Yes, I just, you
17 know, I think that would help because I think
18 I'd be concerned that the vendor didn't care
19 about money.

20 And we might have half of them or
21 half the samples were recorded or something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like that, and I think a macro approach would
2 at least validate that much.

3 CHAIRMAN SCHOFIELD: I would assume
4 that those records and nothing else, the DOE
5 should have them whether they're from AEC,
6 ERDA.

7 MR. FITZGERALD: Yes, well --

8 MR. NELSON: What it was is
9 somebody went through all these tens --
10 hundreds of thousands of urine cards and they
11 entered them into this electronic database.

12 So, they didn't complete the task
13 of verifying that they got them all. So, when
14 they sent the database over to us, they put
15 this little thing that said, unverified.
16 Which thanks to --

17 (Simultaneous speaking.)

18 MEMBER ANDERSON: Best we could do.
19 Good luck.

20 MR. NELSON: So, they spent two
21 years on it and didn't complete it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: We'll do an effort,
2 basically try to do what I just described.

3 CHAIRMAN SCHOFIELD: Okay. So,
4 you're going to go back in and look at it and
5 then do the sampling.

6 DR. NETON: Yes, sampling strategy.
7 Nothing --

8 CHAIRMAN SCHOFIELD: Okay.

9 DR. NETON: Nothing extensive -- I
10 mean, it will be extensive, but not --

11 (Simultaneous speaking.)

12 CHAIRMAN SCHOFIELD: Okay. How
13 about 25s?

14 MR. NELSON: Okay. 25s was -- the
15 issue was incorrect selection of distinct time
16 period.

17 What it was is we -- this is the
18 coworker TIB for internal. And we assigned
19 dose for two distinct periods. And SC&A said,
20 well, we think there's three distinct periods.

21 And we took their periods and kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looked at them closely and basically said
2 their first and second period, which is our
3 first period, we don't feel there's a big
4 difference between the two and threw some
5 statistic numbers behind them. And SC&A
6 agreed with us that there was little
7 difference between those two periods, and
8 recommended closure.

9 CHAIRMAN SCHOFIELD: You haven't
10 changed your mind, have you?

11 MR. FITZGERALD: No. I mean, I
12 think that was the question whether there was
13 any real distinction there. There's nothing
14 to add to the question.

15 MEMBER BEACH: So, that means we're
16 done with Paducah.

17 So, is anybody ready for a quick
18 break?

19 CHAIRMAN SCHOFIELD: I think so.

20 MEMBER BEACH: All right. So,
21 those of you on the phone, we're going to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ahead and take a ten-minute break. I'm going
2 to put the phone on hold, and we'll be back at
3 10:42 or shortly thereafter.

4 (Whereupon, the above-entitled
5 matter went off the record at 10:32 a.m. and
6 resumed at 10:44 a.m.)

7 MEMBER BEACH: Okay. We're back
8 online.

9 Ted, do we have you back?

10 MR. KATZ: Yes. Yes, we do.

11 Are we moving on to Portsmouth
12 then?

13 MEMBER BEACH: Yes.

14 CHAIRMAN SCHOFIELD: Okay.

15 MR. NELSON: All right. The
16 Portsmouth, these comments were sent to SC&A.

17 And they made response to them on April 22nd,
18 2011.

19 And NIOSH came back on the 14th of
20 June and provided a response based on SC&A's
21 response.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, what you'll see if you're
2 looking at these set of -- this matrix -- I
3 went ahead and passed them out at the meeting
4 here. The red line versions are the track
5 changes.

6 So, you'll see the changes that we
7 made to our response based on SC&A's response.

8 So, at this point they're at -- SC&A has
9 them. We've given our latest and greatest
10 response.

11 MR. FITZGERALD: Yes, what's on the
12 bottom is that we submitted our response April
13 22nd. And we got a markup, basically, on June
14 14th. So, this has been moving fairly
15 quickly.

16 On this issue of technetium-99,
17 this is just I think more of a factual
18 accuracy issue. I mean, there's no
19 disagreement that there's an error in one of
20 the tables and I think this certainly
21 addresses it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My only question would be in the
2 meantime, I think the comments made that, you
3 know, the dose reconstructor wouldn't be using
4 these values, you say are typically not used.

5 Hopefully, not used at all because
6 it's a pretty big error, I guess several
7 orders of magnitude.

8 Is that the case? I mean, maybe
9 we can ask the dose reconstructors. You know,
10 it's not being used, the actual value itself,
11 right?

12 MS. ALGUTIFAN: This is Elizabeth
13 Algutifan.

14 No, we don't use that table.

15 MR. FITZGERALD: Okay. Just wanted
16 to put a punctuation point on that.

17 So really, by making the
18 correction on the table next edition, that
19 should take care of it then.

20 MR. NELSON: The one that we do
21 make is that regarding recycled uranium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contaminants, we're actually reviewing that at
2 this time. And we're going to be revising --
3 or possibly revising some of these tables if
4 we find out that the values that we have
5 aren't claimant-favorable or if they need to
6 be revised for some reason.

7 So, recycled uranium at Portsmouth
8 is being reviewed.

9 MR. FITZGERALD: Which is sort of a
10 broader arena.

11 MR. NELSON: Yes.

12 MR. FITZGERALD: I mean, this would
13 fall into --

14 MR. NELSON: Correct.

15 MR. FITZGERALD: Right.

16 MR. NELSON: That's just one of the
17 recycled uranium contaminants, tech-99.

18 MR. FITZGERALD: So, I would
19 recommend closure based on the fact it's not
20 being used in dose reconstruction and there's
21 going to be a revision made in the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 edition.

2 CHAIRMAN SCHOFIELD: With the
3 caveat that they'll take a look at it.

4 MR. NELSON: Do you want to go on,
5 Joe?

6 MR. FITZGERALD: Yes. I guess
7 we're on Three, which is where we had -- I'm
8 trying to read this thing here.

9 This has to do with recycled
10 uranium contaminants as well. And I think
11 your response is the same as it was on the
12 previous one that, you know, you're going
13 through and actually reviewing this.

14 And, you know, our comment before
15 that was that we felt there would need to be
16 more -- something more specific in terms of
17 response to the recycled uranium issue.

18 And I think what they're saying is
19 they are going through and doing something
20 very specific on that issue. So, I'd keep it
21 in abeyance and just wait for the recycled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 uranium review.

2 What shape is that going to take
3 relative to -- I know you've looked at it for
4 Fernald. How's that going -- what shape is
5 that going to take as far as the gaseous
6 diffusion plants?

7 Is that going to be some standard
8 language that's going to go in all three or --

9 MR. NELSON: I'm not sure yet. I
10 mean, we're just in the early stages. Finally
11 have some resources to dedicate to it. So, I
12 don't think we know yet.

13 Bryce Rich is out there. Bryce,
14 do you have any comment on that yet?

15 MR. RICH: Nothing more than you
16 have indicated, Chuck. Thank you.

17 MR. NELSON: Okay.

18 MR. FITZGERALD: All right. Well,
19 I think we can just keep it in abeyance and
20 wait for the response.

21 That brings us to Number 4. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think this has to do with the gross alpha
2 urinalysis results. And our question was the
3 need for more information, are gross alpha
4 bioassay results adequate for dose
5 reconstruction such that they can substitute
6 for the application of generic default value
7 at 3.5 percent?

8 And I think the explanation is
9 fine. I think I've actually heard this one
10 before, but I think it's useful to revisit
11 this occasionally because I always, you know,
12 I think that at face value, I had a problem.
13 But then I read the explanation and then it
14 always goes away on gross alpha.

15 The response, gross alpha
16 urinalysis results eliminate the need to know
17 actual enrichment of the uranium and is
18 calculated from activity rather than mass.
19 So, I think we're fine, with that reminder.

20 CHAIRMAN SCHOFIELD: That one is
21 closed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: And the last one,
2 Number 5, was, you know -- well, we weren't
3 being flip. We just wanted to make sure it
4 was clear that there was actually a change
5 that was being committed to. And I think that
6 was clarified and we're fine with that.

7 So, Number 6 is -- I'm trying to
8 follow your response to our response. This
9 had to do with the in vivo rad monitoring lab
10 chest counts for detecting uranium and the
11 question of limitations, uncertainties with
12 that process.

13 And I think, Chuck, your response
14 on this one was that you were going to add the
15 background on how the whole body counting was
16 done.

17 MR. NELSON: Yes, we were going to
18 basically put in there the limitations --

19 MR. FITZGERALD: Yes.

20 MR. NELSON: -- of use of the
21 whole body -- of a chest count. But by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 same note, there's no cases in which we use
2 only the chest counts by themselves.

3 But we would talk about U-238 and,
4 you know, it's limited detection for that.
5 And as well as the transuranics and so forth.

6 MR. FITZGERALD: And so, yes, the
7 question that we had, you would respond in the
8 affirmative, will NIOSH revise current wording
9 in the TBD to indicate that only the U-235
10 data from the whole body counting, the MIVRML,
11 should be relied upon?

12 I mean, is that --

13 MR. NELSON: Yes.

14 MR. FITZGERALD: Okay.

15 MR. NELSON: We'll update the TBD
16 to clarify that.

17 MR. FITZGERALD: Okay.

18 DR. NETON: Are we going to look at
19 the 235? I mean, if there was a big bird at
20 238, you could see it.

21 MR. NELSON: Well, we say that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're just going to clarify in the TBD that --
2 let me find out where the response is here.

3 We're just going to note that the
4 MDA is quite large.

5 DR. NETON: Right.

6 MR. NELSON: And just say that
7 there are some limitations to it. And it's of
8 a limited usefulness, but it can be used.

9 DR. NETON: For an enrichment
10 plant, I guess that's true. I mean, in places
11 like, you know, natural uranium facilities
12 where you've got U two thirty -- it's 5.2
13 millirems was the detection limit of the
14 system, I recall. About 5.2 millirems of
15 natural uranium.

16 But if you have an enriched
17 uranium, you're right. 235 is the correct way
18 to go.

19 I think this in vivo system is
20 really just sort of a piece of the puzzle.
21 It's sort of confirmatory that, you know, your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay results aren't consistent with your
2 in vivo, and vice versa.

3 You just want to make sure you got
4 a complete -- if you got the data, you've got
5 to compare them to make sure you got a good
6 copy.

7 MR. NELSON: I think SC&A's point
8 was there is limitations, and we say we'll
9 make note of those limitations.

10 MR. STIVER: We'll identify them in
11 the TBD.

12 DR. NETON: This thing had like an
13 11 -- an 11 by four inch sodium iodide
14 detector. It was a big --

15 MR. FITZGERALD: Well, I think we'd
16 be fine. I think it was just a question of
17 adding those qualifying statements, and I
18 think these are fine.

19 So moving on to Seven, that was
20 again just trying to be very clear that the
21 revision was --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: So, before we go to
2 Seven, did we abeyance Six or close Six?

3 MR. FITZGERALD: No, Six could be
4 closed.

5 CHAIRMAN SCHOFIELD: Six is closed.

6 MEMBER BEACH: Okay. Just wanted
7 to --

8 CHAIRMAN SCHOFIELD: With that
9 caveat that they're going to make that
10 notation.

11 MEMBER BEACH: Thank you. Sorry
12 for --

13 MR. NELSON: So, if you look at our
14 changes, I think I went through there and
15 green-highlighted this, which might be
16 confusing.

17 I think those were my notes and --
18 well, you didn't get those. Okay.

19 MR. FITZGERALD: This language that
20 you provided, I think, is the language we were
21 looking for as far as the qualifying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statements.

2 MR. NELSON: Okay.

3 (Simultaneous speaking.)

4 MR. FITZGERALD: On Seven, again,
5 we were just looking for an affirmation which
6 I think --

7 MR. NELSON: Well, I think I'll
8 chime in on Seven here, because we start
9 looking closer at the LOD --

10 MR. FITZGERALD: Okay.

11 MR. NELSON: -- for the two-
12 element film badge. And I think we were going
13 to have to raise the -- it's listed as 30
14 millirem as an LOD. And I guess it's the
15 Hanford two-element film badge.

16 And the film badges that we use
17 later, the four-element with the security
18 credential, Matt Smith looked into that some.

19 And I don't know if he wants to add any
20 notes, but we think we were going to have to
21 increase the LOD for that. So, we don't want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to close that issue yet.

2 MR. FITZGERALD: Okay, yes.

3 There's two parts on this. One is the
4 technical support information.

5 MR. NELSON: Right.

6 MR. FITZGERALD: And the other is
7 the question of claimant-favorability.

8 MR. NELSON: Right. There was a
9 table in there and it said we used the two-
10 element film from beginning until I think it
11 was 1980.

12 Well, it ended up in July 1960,
13 they went with this combination security
14 dosimeter which was four-element, and we
15 didn't put that distinction in the TBD in that
16 table.

17 So, we want to add the verbiage to
18 say, you know, this is when it came into
19 affect.

20 But in looking at it closer, like
21 you said, the other part of the issue was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 LOD of 30 that we had in there. And we think
2 -- we know that we're going to have to raise
3 that. So, there's going to need to be a
4 change.

5 CHAIRMAN SCHOFIELD: At what point
6 in time are you going to go with the 40?

7 MR. NELSON: Matt, do you want to
8 speak to that?

9 MR. SMITH: Probably for that whole
10 early year. If you -- when we look at OTIB-
11 17, Hanford is running with a 50 millirem LOD
12 for that entire early period with that element
13 dosimeter. That continues onward a little
14 bit, too, until they get into multi-element.

15 So, it's still something we're
16 looking at. It looks to be around 40 right
17 now, but still kind of going through some of
18 those SRDB documents.

19 CHAIRMAN SCHOFIELD: So, you're
20 looking for a date.

21 MR. NELSON: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SMITH: And the credentials
2 provide some -- there's some material there in
3 front of the actual element that's -- that
4 we've got to consider.

5 MR. NELSON: So, that's something
6 we're working on right now. And we're pretty
7 sure there will be a change to the TBD and it
8 will affect some cases. So, that item is
9 still open.

10 MR. FITZGERALD: You have that,
11 Phil?

12 CHAIRMAN SCHOFIELD: Yes, I think
13 we're ready to go with that.

14 MR. FITZGERALD: Okay. So, that
15 would we be in abeyance.

16 CHAIRMAN SCHOFIELD: Yes.

17 MR. FITZGERALD: Number 8, this is
18 part of what we got into early on, which was
19 the shallow dose -- coworker shallow dose and
20 some of the concerns over the, you know, the
21 -- whether or not the Table 8-2 reflected the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coworker doses that were received, and whether
2 or not the number of zeroes was actually an
3 indication of a problem.

4 And I think your response was that
5 -- well, certainly your response to technetium
6 wasn't an issue, but we went through that
7 already.

8 And on shallow dose, can you go
9 through that a bit one more time?

10 MR. NELSON: I'll let Matt do it.

11 Matt, will you mind going over the
12 shallow dose LOD issue or the missed-dose
13 issue and how the null values from the
14 traction make it appear that you don't have
15 any shallow dose?

16 MR. SMITH: Sure. There's a
17 subtraction routine going on with respect to
18 how the site's processing the data. So, it is
19 called out in the coworker OTIB why those null
20 values do appear.

21 The other thing to consider is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we go ahead and do then is add in missed
2 dose.

3 So, even though you've got null
4 values apparently there, we're adding in a
5 component of missed dose, which essentially
6 makes it a pretty claimant-favorable approach.

7 MR. NELSON: Yes, if you go to
8 OTIB-40 and you look at step -- on Page 8 of
9 10, Step 4, then the bottom of the page on
10 Step 5, it discusses these null values and why
11 you would get zeroes for those non-penetrating
12 doses.

13 Because essentially, you're
14 assigning that dose that's penetrating and
15 it's actually for a 30 to 250 keV photons.
16 It's more claimant -- favorable to the
17 claimant to assign them as 30 to 250 keV
18 photons, rather than greater than 15 keV
19 electrons.

20 So, even though it appears that
21 there's no shallow dose or no beta dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 assigned, it actually is rolled into the deep
2 dose.

3 And there's a pretty good
4 explanation on OTIB-40. Although, I must
5 admit it is somewhat confusing.

6 MR. FITZGERALD: And OTIB-40, when
7 was that issued?

8 MR. NELSON: 7/29/05.

9 MR. FITZGERALD: Okay. So, that
10 definitely was accompanying the Site Profile.

11 MR. NELSON: Right.

12 I mean, the confusion is if you
13 look on Table 8-2 for the non-penetrating
14 doses, they look pretty low.

15 But those values are incorporated
16 into the 95th -- or into the gamma dose, be it
17 it the 50th or the 95th percentile.

18 MR. FITZGERALD: I think that's
19 where some of the confusion was.

20 MR. NELSON: Right.

21 MR. FITZGERALD: So, you're saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the dose to the skin is entered into IREP as
2 30-250 keV photons.

3 MR. NELSON: Right. Where you see
4 those zero values, they're actually
5 incorporated into the gamma component.

6 MR. STIVER: But you assign a
7 missed dose at that energy level which --

8 MR. NELSON: Right.

9 MR. STIVER: -- it ends up being
10 claimant-favorable.

11 MR. NELSON: Right.

12 MR. STIVER: Okay.

13 MR. FITZGERALD: So, I think that
14 that's responsive. It wasn't clear, I think,
15 in the beginning.

16 So, I would recommend closure
17 based on that.

18 CHAIRMAN SCHOFIELD: Okay.

19 MR. FITZGERALD: Now, the first
20 part on technetium obviously has a different
21 issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Nine, I think we agreed with that
2 one except where -- with the exception on the
3 skin dose discussion we had earlier, I think.

4 We're indicating it's a broader
5 issue than just Portsmouth. Obviously, it
6 applies.

7 I think whatever, as I recall, the
8 commitment to go back and just take a look at
9 that more specifically would be -- so, I would
10 hold that in abeyance and just wait for the
11 response on the skin issue.

12 MR. NELSON: Tech-99 for both
13 Number 8 and Number 9?

14 MR. FITZGERALD: Well, yes. I
15 mean, it's sort of -- it finds its way into
16 Eight a little bit. It's certainly in Nine,
17 yes. So, it would be Eight and Nine would
18 have -- that component on skin would be held
19 in abeyance.

20 MR. NELSON: For technetium only,
21 correct?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Using technetium,
2 yes. I can't think of anything else that is
3 as common as technetium at the gaseous
4 diffusion plants.

5 So, that would be Eight and Nine
6 on that issue --

7 MR. NELSON: Yes.

8 MR. FITZGERALD: -- that will be
9 held in abeyance.

10 Ten on neutron, the slow cooker.

11 (Simultaneous speaking.)

12 MEMBER ANDERSON: Change that.

13 MR. FITZGERALD: I actually went
14 back to the NIOSH health evaluation that was
15 done to take a look at where the slow cooker,
16 you know, this is one where it's difficult to
17 pin down exactly what the heck you're dealing
18 with as a source term.

19 I mean, the problem is the
20 phenomena probably existed, but there's no way
21 of knowing to what extent the accumulation was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 such that you would have, you know, a
2 significant neutron exposure.

3 So on one hand, yes, it probably
4 happened and there might have been some
5 neutron exposure. On the other hand, I don't
6 think there's any way to figure out, you know,
7 where the source term would have provided an
8 exposure.

9 So, it's a very non-quantitative
10 issue based on looking at the -- looking at
11 the evaluation.

12 I wanted to go back to the source
13 document. I hadn't looked at that in a long
14 time.

15 I went back and looked at it and I
16 guess our conclusion is that, even though it's
17 a point, it's not one that can be addressed
18 from a dose reconstruction standpoint given
19 the information.

20 Even, I think, the hazard
21 evaluation sort of pointed out that, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a maybe type of thing.

2 So, I guess we would back off and
3 say that, you know, is it something that could
4 be quantitatively addressed in dose
5 reconstruction, and just close it.

6 DR. NETON: I was ready for a nice
7 rousing discussion.

8 MEMBER ANDERSON: What's the
9 impact?

10 MR. FITZGERALD: Well, I mean, the
11 impact is --

12 MEMBER ANDERSON: I mean, it's easy
13 to do because we can't --

14 MR. FITZGERALD: Well, in the
15 cascade process, you would have -- okay. The
16 cascade process, you have this opportunity for
17 accumulation of uranium to the point where you
18 would get some subcritical release of neutrons
19 and the fields might end up -- might end up
20 being more significant not if you had enough.

21 But no measurements, apparently,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were taken to benchmark how much and where and
2 when. So it's one of these, you know, sort of
3 an acknowledgment of phenomena that likely
4 existed but nobody went in to actually figure
5 out to what extent it was a problem and
6 actually did any measurements. So, no,
7 there's no data.

8 DR. NETON: It's purely based on
9 conjecture.

10 MEMBER ANDERSON: I mean, but is it
11 a reasonable assumption that it would have
12 happened?

13 DR. NETON: We don't think that it
14 could have happened for any sustained period
15 of time. For it to go be subcritical for that
16 many years and never have a criticality event
17 seems to us to be implausible.

18 You know, once you get into enough
19 neutrons there for it to just sort of sit
20 there and yo-yo without ever going critical,
21 it would have to have some very unique

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situations.

2 MEMBER ANDERSON: You wouldn't have
3 noticed it.

4 DR. NETON: And, in fact, I don't
5 think enough material actually accumulated in
6 these traps to get this sort of critical mass
7 that's needed based on even their SAR
8 calculations, I don't think.

9 MR. FITZGERALD: This is the only
10 place, I mean, you know, having done the Tiger
11 Team at Portsmouth, you know, this would have
12 kind of surfaced at some point.

13 But this came from a -- certainly,
14 union representatives at Portsmouth in the
15 '90s were concerned about neutron exposures
16 and went to NIOSH and, you know, requested an
17 evaluation.

18 This was the evaluation that was
19 done by characterizing the neutron exposures
20 and this is where it surfaces. And I haven't
21 really seen it anywhere else.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But it was identified as more of a
2 phenomena that could take place, but not one
3 that in fact did take place and verified with
4 data.

5 So, after going back in and trying
6 to reconcile the comment that was in the
7 original Site Profile Review, and I didn't do
8 that review, with, you know, with what was in
9 the NIOSH health evaluation that was done in
10 '96 or something -- '97, it just seemed more
11 subjective.

12 So, you know, I think you have
13 situations where you don't have any basis for
14 --

15 MEMBER ANDERSON: I mean, that's
16 helpful. You know, we need it not just to
17 say, well, we can't do it. And, therefore,
18 it's -- you'll ignore it.

19 I mean, I think it sounds
20 reasonable that --

21 MR. FITZGERALD: Actually, I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 encourage --

2 MEMBER ANDERSON: I mean, it's a
3 hypothetical.

4 MR. FITZGERALD: I encourage anyone
5 on the Work Group to take a look at that
6 health evaluation. It's actually on the
7 internet and you can Google it up and read it,
8 because it's useful to get it in context, you
9 know.

10 DR. NETON: No one's ever measured
11 these before. I mean, and no one's really
12 talked about them other than the NIOSH
13 evaluation. And they actually did some
14 dosimetry and failed to see anything.

15 Well, they caution the reader in
16 their own report, though, this is a very brief
17 study and who knows, you know.

18 MEMBER ANDERSON: So, that's always
19 the caveat.

20 DR. NETON: It's theoretically
21 possible. But in our opinion, is it really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plausible over such a long, extended period of
2 time? We don't think so.

3 CHAIRMAN SCHOFIELD: Wouldn't they
4 have also tried to limit that possibility
5 through the geometry of the --

6 DR. NETON: Oh, yes, there's all
7 kinds of analyses.

8 MEMBER ANDERSON: Yes, I mean, the
9 design would have --

10 CHAIRMAN SCHOFIELD: That's what I
11 mean. The design geometry I would think would
12 --

13 DR. NETON: Well, there's different
14 uses of the term slow cooker. I mean, if you
15 read their Safety Analysis Report when they
16 talk about slow cooker, they talk about
17 something that goes, you know, low
18 criticality. It actually had some
19 criticalities, and then backs off.

20 Whereas Cardarelli, who was the
21 author of the NIOSH report, talks about a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subcritical thing where the neutrons just sort
2 of increased a certain amount and then back
3 off.

4 And for that to happen on a, like
5 I say, a yo-yo basis like that -

6 MR. STIVER: You'd have to have
7 just a really unique configuration.

8 DR. NETON: A very unique
9 situation. I mean, moderate and go away,
10 moderate. And I'm not sure how that could
11 happen.

12 We also have a fairly claimant-
13 favorable neutron/photon ratio in here. I
14 think the balance of the plant was 0.125. And
15 then we ended up using the values that were
16 measured in the facility yards at 0.2 for
17 everyone.

18 And so, there is some safety
19 conservatism built into that calculation.

20 MR. NELSON: If you go into the
21 Safety Analysis Report, their accident they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evaluated was a compressor. And it required
2 about 1100 pounds of buildup in the
3 compressor. And that provided the best
4 geometry since it was kind of round.

5 And the numbers that we saw and
6 the discussion that SC&A had was in the 30,
7 40, 50 pounds of buildup, you know. So, it
8 nowhere approaches what would be a critical
9 mass for that geometry in that type of form
10 that the material would have been in.

11 MR. FITZGERALD: It's interesting
12 because I haven't really seen that issue at
13 least anywhere else. And I --

14 MEMBER ANDERSON: I mean, it's a
15 curious --

16 (Simultaneous speaking.)

17 MR. FITZGERALD: Well, not even
18 academic, because I think part of the concern
19 was that it didn't really monitor neutrons.

20 So, you know, if you had a source
21 that was prominent, you know, you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 definitely have a problem.

2 And I think everyone was conscious
3 of facility yards and some of the storage
4 areas, but this was sort of in the operating
5 part of the plant.

6 But, again, it was somewhat
7 speculative to --

8 DR. NETON: I think part of the
9 logic was based on the fact that the
10 criticality alarms went off periodically, and
11 they were writing them off as false alarms.

12 But having been responsible for
13 the maintenance of a criticality monitoring
14 program at another facility, I can tell you
15 those things are very sensitive to fluctuating
16 gamma background.

17 You set your gamma detectors at a
18 very low level. Then a truck drives by the
19 plant with some storage material on it. Next
20 thing you know, you've got a criticality
21 alarm.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean, so there's all kinds of
2 ways criticality alarms can go off without
3 having criticality, because they're usually
4 set to a fairly low threshold.

5 And, again, that would have been a
6 criticality because the criticality alarms
7 measure photons.

8 MEMBER ANDERSON: Yes.

9 DR. NETON: They don't measure in
10 neutrons.

11 MEMBER ANDERSON: Yes.

12 DR. NETON: And Cardarelli's
13 example was these neutrons that generated that
14 never really went to --

15 MEMBER ANDERSON: Not quite.

16 DR. NETON: You know, so, I don't
17 know. It's an interesting analysis, but
18 nothing we can really do with it.

19 MR. FITZGERALD: Enough said on
20 slow cooking neutrons.

21 MEMBER ANDERSON: I just don't want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 us to get caught by somebody saying, oh, you
2 just blew it off.

3 MR. FITZGERALD: I was trying to
4 figure out if there was anything hard that
5 sort of provided a basis, but I don't think --
6 there certainly wasn't in the Cardarelli
7 report, but --

8 CHAIRMAN SCHOFIELD: We'll call
9 that one closed?

10 MR. FITZGERALD: Yes.

11 CHAIRMAN SCHOFIELD: Okay.

12 MR. FITZGERALD: Number 11, this
13 had to do with SC&A disagreeing with the
14 assumption in the TBD that there were not
15 significant environmental releases at PORTS
16 that would advise to radiation dose rates
17 above natural background.

18 Chuck, I'm just trying to follow
19 the bouncing ball here.

20 MR. NELSON: Right.

21 MR. FITZGERALD: I think the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 original response was to provide more
2 background in terms of the environmental
3 values that were being used, a maximizing dose
4 of 0.452 in particular. And you explain where
5 that's derived.

6 And I think we indicated more
7 information needed. And we agreed
8 conceptually that we -- I was -- we were
9 looking for the 0.452 number. And I think you
10 just in your response provide the derivation
11 of that.

12 MR. NELSON: Right. It was in the
13 -- if you go over to PROC-0060, that's
14 occupational onsite ambient dose
15 reconstruction for DOE sites. And it provides
16 what the maximizing ambient dose values are
17 for Portsmouth. And it provides the basis.

18 And, essentially, I know you guys
19 had followed the value of -- what was the
20 previous value? It was like 260-something, I
21 think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Right.

2 MR. NELSON: 267. And it was just
3 suggested for 2600 hours and an uncertainty of
4 1.3, and it gave you the value of .452.

5 So, we provided you the link with
6 that and where we got it from.

7 MR. FITZGERALD: Right. That's
8 fine. I think what we were saying there, we
9 agree with the approach. We just couldn't
10 find the actual number. And I think this
11 derivation helps on that. And 60 is
12 referenced.

13 CHAIRMAN SCHOFIELD: So, Number 11
14 is now closed?

15 MR. FITZGERALD: Yes.

16 CHAIRMAN SCHOFIELD: Number 12.

17 MR. FITZGERALD: Oh, this is the
18 267 ambient environmental dose. Yes, we
19 agreed with that.

20 CHAIRMAN SCHOFIELD: 13 is closed
21 as well?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: 13, let's see. 13
2 is closed as well. I think that was just a
3 question of -- this had to do with the ambient
4 environmental doses confined to deep dose that
5 may significantly underestimate potential
6 shallow dose in skin.

7 I think -- the response says, due
8 to the nature of non-penetrating dose, it
9 would not be expected to see elevated levels
10 compared to the penetrating doses to areas
11 where environmental doses apply.

12 And ORAUT-OTIB-17 is cited as the
13 basis, and we agree with that.

14 CHAIRMAN SCHOFIELD: Okay.

15 MR. FITZGERALD: I think that was a
16 clarifying question, actually, more than
17 anything else.

18 CHAIRMAN SCHOFIELD: The last one.
19 14.

20 MR. FITZGERALD: 14, the time
21 period for PFG, the photo fluorogenic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 procedures on medical x-rays is restricted to
2 1954 to '57 despite statements in the TBD
3 verifying extended use beyond -- well, from
4 '54 through '60, and just a consistency issue.

5 MR. NELSON: Yes, it wasn't very
6 clear in the TBD. And it says we revised it.

7 MR. FITZGERALD: So, it's just a
8 matter of getting the dates consistent. And I
9 think NIOSH is saying they'll revise it and
10 make clearer what the dates are. And we're
11 fine with that.

12 CHAIRMAN SCHOFIELD: Closed, or
13 abeyance?

14 MR. FITZGERALD: Well, I would say
15 closed with the understanding that those dates
16 will be, you know, clarified in the later
17 edition.

18 I think the last time the Work
19 Group discussed this, there was some agreement
20 that we would close things with the
21 expectation that there would be follow-through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the next revision.

2 MR. NELSON: Right.

3 CHAIRMAN SCHOFIELD: Josie, I lied.

4 We are going to get to K-25 today. Moving
5 right along here.

6 MEMBER BEACH: Must have been the
7 holiday.

8 CHAIRMAN SCHOFIELD: Everybody is
9 all happy and relaxed.

10 MEMBER ANDERSON: So, there's a
11 couple of open ones on this yet.

12 MR. NELSON: Yes, the LOD issue,
13 and the tech-99 issue.

14 MEMBER ANDERSON: Okay.

15 MR. NELSON: Okay. This is the one
16 we have response from SC&A on June 16th, 2011.

17 We sent SC&A some responses, and they came
18 back a couple weeks ago and gave us responses
19 to our responses. And I guess we'll start
20 with Number 1.

21 It says more guidance was needed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on appropriate enrichment. It says more
2 guidance is needed regarding appropriate
3 enrichment to assume when interpreting uranium
4 bioassay mass concentration and the enrichment
5 assumed for the default isotopic distribution
6 may not be appropriate.

7 And I think essentially the
8 response here is that whenever we reconstruct
9 doses, we use gross alpha measurements. So,
10 we don't necessarily have to know what the --
11 we don't have to know the uranium enrichment
12 at all. We just assume gross alpha.

13 Didn't really understand SC&A's
14 follow-up question with that. They agreed
15 with our response, but I didn't really
16 understand their follow-up question.

17 I think if I do, the answer is
18 simply no.

19 MR. FITZGERALD: Yes, I think in
20 terms of recycle, it would be -- it wouldn't
21 matter even if it was a higher enrichment in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recycle.

2 MR. NELSON: So, our response is,
3 no, since gross alpha is used when
4 reconstructing the internal dose.

5 And we can put those instructions
6 in the next revision of the internal TBD to
7 clarify that.

8 DR. NETON: This is talking about
9 reprocessed fuel, this material. So, is that
10 recycled? Is that what they're talking about?

11 MR. NELSON: Well, the entire issue
12 wasn't based on recycled uranium. That was
13 just a point they had brought up, what about
14 this. And I don't think that affects it at
15 all the fact that it was --

16 MR. FITZGERALD: Yes, we were
17 talking about the enrichment level. And I
18 think the response is that even though
19 there's, you know, some issue about what
20 enrichment was involved, it wouldn't matter
21 for this particular issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Right. Similar to that
2 last one we discussed in the --

3 MR. FITZGERALD: Right.

4 So, I would recommend closure on
5 that clarification, Phil.

6 CHAIRMAN SCHOFIELD: Okay.

7 MR. FITZGERALD: But you're going
8 to add some additional language just to kind
9 of --

10 MR. NELSON: Yes.

11 MR. FITZGERALD: -- lay it out.

12 MR. NELSON: In fact, what we'll do
13 is we'll provide you some responses. I can
14 read you exactly what we have right here.

15 I got, since the gross alpha
16 activity is used when reconstructing the
17 internal dose, dose reconstructors have been
18 given instructions to only use the gross alpha
19 activity when both uranium mass and gross
20 alpha activity are available. These
21 instructions will be incorporated into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 next revision of the K-25 occupational
2 internal TBD.

3 So, we didn't get a chance to
4 respond to your response to clarify that,
5 because we got these a couple weeks ago.

6 MR. FITZGERALD: Right.

7 MR. NELSON: So, this is -- we
8 haven't prepared for this meeting.

9 Okay. Issue Number 2 was no
10 default solubility classes for intakes. And
11 specifically they're looking for, I think, a
12 super Class S for -- of uranium.

13 And we have no literature to
14 support there's any super-type S class
15 uranium. So if you guys have any, we'll be
16 glad to take the information. But we don't
17 have any indications that there's any super-
18 type S class uranium.

19 DR. NETON: I think there's two
20 parts to this question. One is no default.
21 And actually our response was that you default

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the most conservative and claimant-
2 favorable solubility.

3 MR. STIVER: That's what I've seen.

4 DR. NETON: Right.

5 (Simultaneous speaking.)

6 DR. NETON: That answers that
7 question.

8 And Chuck's right, the second part
9 appears to be talking about some form of Super
10 S uranium which I'm not familiar with. I
11 mean, there is evidence certainly of Super S.

12 And the ICRP just came out with some
13 description of a model for other forms of
14 highly insoluble cobalt and gold, I think.

15 MR. STIVER: Highly insoluble
16 oxides. And I guess there's no evidence that
17 those existed in --

18 MR. FITZGERALD: So, your position
19 is basically you have no evidence of its
20 existence.

21 DR. NETON: Particularly, I mean,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particularly for at K-25. I mean, this is a
2 very -- I mean, people tend to get confused.
3 There are more insoluble forms of uranium than
4 the old Class Y, you know, that was, I
5 believe, half life of about years or 500 days
6 or something like that where Super S is much,
7 much more insoluble than Y.

8 That covers the waterfront on the
9 uranium that I've seen particularly in a
10 gaseous diffusion plant. I've seen no
11 evidence at K-25 that there is this form of
12 uranium.

13 MEMBER ANDERSON: Where did it come
14 from?

15 DR. NETON: Super S plutonium is
16 just a very high-fired form of plutonium that
17 just doesn't move out of the lung. It's been
18 documented in a number of cases, particularly
19 at Rocky Flats and Hanford.

20 Yes, we modeled that, actually,
21 and then we provided the Rocky Flats data to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ICRP at their request. And they used that
2 in their latest draft revisions to handle
3 insoluble forms of plutonium.

4 But I have not encountered at this
5 point real Super S-type uranium.

6 MR. FITZGERALD: Yes, when you're
7 dealing with high-temperature processes,
8 that's where you would --

9 MEMBER ANDERSON: Form that.

10 MR. FITZGERALD: -- where you would
11 have some potential. So, there's a question
12 of whether or not that was encountered in the
13 gas diffusion plants, and apparently not.

14 MEMBER ANDERSON: So, I learned
15 something new today.

16 MR. FITZGERALD: So on that basis,
17 I would say, yes.

18 MEMBER BEACH: So, closed?

19 MR. FITZGERALD: Yes.

20 DR. MAURO: This is John. Just one
21 quick question, Jim.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is -- I know there's been
2 some discussion before about the concept of
3 high-fired issues with uranium. It's
4 important because what you're saying is really
5 there is no evidence that there is this
6 special form of uranium that actually behaves
7 in an even more -- a less transportable way
8 than Type S.

9 I might have missed it. I was
10 listening and --

11 DR. NETON: Well, I'm not seeing
12 any, and I would couch it though right now and
13 say specifically at K-25. Let's draw the line
14 there.

15 DR. MAURO: Oh, okay. Okay.

16 DR. NETON: There are more
17 insoluble forms, but I think that you would
18 find that S might bound them.

19 I mean, I don't know. I don't
20 want to categorically say that it doesn't
21 exist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay. The only reason
2 I did jump in is because we have on other
3 occasions made mention of high-fired uranium.

4 And, you know, if it turns out that this is
5 really not in the same category as the high-
6 fired plutonium, that you don't have the same
7 path of evidence, that there really is such a
8 thing and that it's of concern at some
9 facilities.

10 So, basically what you're saying
11 is really at this facility, you don't see any
12 reason to think there might have been an
13 issue, but there might be an issue at other
14 facilities.

15 DR. NETON: Well, you know, I'm
16 skeptical. Let's put it that way. But I
17 don't want to close the door.

18 I've learned, you know, through
19 the years not to be sort of categorical up
20 front.

21 DR. MAURO: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: And I'm willing to look
2 at it. I did notice, for example, that the
3 ICRP report that came out in 2010 had a little
4 table, and for some reason, they included what
5 if there were highly-insoluble S, what it
6 would do dosimetrically.

7 So, they provide no evidence that
8 it ever existed, but they use it as an
9 example.

10 DR. MAURO: Okay. Very good. No,
11 I appreciate that. Thank you.

12 DR. NETON: And so, you know, I
13 would leave the door open. I would say at K-
14 25, I'm not seeing any evidence of that, but
15 it's something that we need to keep our eyes
16 open for, I think.

17 DR. MAURO: That's great. Thank
18 you.

19 MR. FITZGERALD: Yes, John, we
20 raised this because it certainly was implicit
21 in the TBDs that it wasn't an issue, but just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to be, you know, wanted to confirm
2 that.

3 DR. MAURO: Okay.

4 MR. FITZGERALD: Well, in this
5 case, for K-25 anyway.

6 CHAIRMAN SCHOFIELD: Okay. We can
7 call that one closed with that caveat there?

8 MR. FITZGERALD: Yes.

9 Number 3, this is default isotopic
10 distributions are not claimant-favorable.

11 Chuck, do you want to -- I guess
12 we wanted to clarify that you're going to drop
13 curium.

14 MR. NELSON: Yes, I think your
15 issue was -- is that we want to drop curium-
16 242 and 244. And basically we can't just drop
17 it without some confirmatory basis.

18 We found no evidence of this to be
19 a concern at K-25. Michalene actually went
20 through to try to figure out how did this get
21 in the TBD and why did it get in there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Michalene, do you want to cover
2 that, or would you like me to go over that?

3 MS. RODRIGUEZ: No, I can go over
4 it.

5 MR. NELSON: Okay. All right.

6 MS. RODRIGUEZ: Yes, I did find the
7 document where that came from is actually SRDB
8 14484, Table 1-1. And it is a table that
9 lists curium-242 and 244 as principle
10 radionuclides at uranium facilities and at
11 gaseous diffusion plants.

12 The document also includes
13 information regarding Y-12. It considers Y-12
14 as the uranium facility, and then it also
15 includes the gaseous diffusion plants. It
16 also has information regarding X-10.

17 The document is entitled "Internal
18 Dosimetry TBD for Bechtel Jacobs." And later
19 on it goes on and talks about the potential
20 exposures at the gaseous diffusion plants and
21 the radiological hazards. And both are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radionuclides. Neither one of them were
2 discussed in this section. Only uranium,
3 plutonium, americium, technetium and
4 neptunium. So, curium was no longer
5 discussed.

6 So, I'm not really sure. It may
7 have been part of, you know, trace elements
8 from the Savannah River Site in -- for the
9 transuranics, but this is the only document
10 that I have actually found that talked about
11 curium.

12 All other references that I
13 reviewed for K-25 have no mention of these two
14 radionuclides.

15 MR. NELSON: In other words, we
16 couldn't find anything to support them to be
17 in there other than that internal TBD and it
18 was only by mention in a table. It wasn't
19 called out in the radiological hazard section,
20 which was Section 12, or Section 11, which was
21 evaluation for exposures at K-25.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Okay.

2 MR. NELSON: I know it's listed as
3 an isotope of concern for Hanford, but I think
4 there were certain processes that concentrated
5 at Hanford.

6 I'm not familiar with those, but
7 I've seen those in dose reconstructions.

8 MR. FITZGERALD: Well, I've seen it
9 elsewhere as well. It shows up at Los Alamos.
10 I was just wondering why it would fall out
11 here.

12 So, it really -- you're saying
13 that there isn't -- going back and looking at
14 the basis documents, there doesn't seem to be
15 a real strong argument for this being listed.

16 MR. NELSON: Exactly.

17 MR. FITZGERALD: Just to complete,
18 we raised a number of issues here. Various
19 plutonium isotopes. And I think your response
20 was that you were using Pu-239 to represent
21 all the isotopes. And you were going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clarify that in a footnote to Table 5-6 in the
2 next revision.

3 MR. NELSON: Correct.

4 MR. FITZGERALD: Relative to the
5 enrichment issue, the famous enrichment issue,
6 I think we were questioning the two percent
7 and you were going to, I guess, make it three
8 percent?

9 MR. NELSON: Let's see.

10 MR. FITZGERALD: As far as the
11 default enrichment, which I think was the
12 value that was used --

13 MR. NELSON: Yes, that's correct.

14 MR. FITZGERALD: -- on the
15 Paducah.

16 MR. NELSON: Yes, that's the same
17 as response to Item 1.

18 MR. FITZGERALD: Right.

19 And on technetium, you're going to
20 take another look at the default value listed
21 in Table 5-6 for that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. NELSON: Correct.

2 MR. FITZGERALD: These were all
3 questions on one particular table that had the
4 default isotopic distributions enclosed.

5 Phil, I'd recommend closure based
6 on that nuclide-specific accounting.

7 MEMBER BEACH: So, it says in Table
8 5-6, you're going to correct it.

9 MR. NELSON: Yes.

10 MEMBER BEACH: And what will that
11 be corrected up to? That last one. That
12 technetium and --

13 MR. NELSON: It requires further
14 evaluation. And correct me if I'm wrong,
15 Michalene, but I think this is part of our
16 evaluation that we're going to do with
17 recycled uranium.

18 MS. RODRIGUEZ: That is correct.
19 Right.

20 We don't have a value right now,
21 but we are working on getting some new numbers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because we do know that the technetium value
2 is too low. It's not bounding.

3 MEMBER BEACH: Okay. Well, I just
4 hate to close it unless we know what that
5 value is going to be.

6 MR. NELSON: I think that's picked
7 up in another comment; is it not?

8 MEMBER BEACH: Is it?

9 MR. NELSON: Let me look.

10 MR. FITZGERALD: Why don't you hold
11 it in abeyance if you want to --

12 MEMBER ANDERSON: Yes, why don't we
13 do that.

14 MR. NELSON: Right here on Number
15 3, NIOSH agrees tech-99 default value listed
16 in 5-6 requires further evaluation.

17 MEMBER BEACH: Which we closed 3-2,
18 didn't we?

19 MEMBER ANDERSON: Yes.

20 MR. FITZGERALD: No, we are -- it's
21 in Three. We're on Three. So, you can hold

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it in abeyance.

2 MEMBER ANDERSON: Any idea how long
3 that will take?

4 MR. NELSON: My understanding, it's
5 going to take months to do that because of the
6 -- we've got to look at all the recycled
7 uranium documents.

8 And when they have to -- I don't
9 know to what level we're going to go into
10 source documents, because -- and I know if you
11 start going into boxes for Fernald, there were
12 hundreds and hundreds of boxes on recycled
13 uranium.

14 So, I think our initial focus is
15 going to go with the summary documents, the
16 recycled uranium mass balance report and those
17 type of documents, the PACE document and so
18 forth for Paducah.

19 Bryce, do you have any expansion
20 on that?

21 MR. RICH: No, that's correct,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Chuck.

2 MR. NELSON: Okay. So, it's going
3 to take some time to go through all that.

4 MR. FITZGERALD: Maybe in abeyance
5 would be a better way to leave that one.

6 MR. NELSON: It's going to take
7 some time. Months.

8 MEMBER ANDERSON: Otherwise, we'll
9 forget.

10 MR. FITZGERALD: But, you know,
11 really the focus is on the technetium and the
12 recycled, not the other ones which I think are
13 --

14 MEMBER BEACH: Yes, the last --

15 MR. FITZGERALD: The last bullet at
16 the end.

17 MEMBER ANDERSON: Yes. Okay,
18 Number 4.

19 MR. FITZGERALD: Number 4, we were
20 just going through Table 5-4 and 5-2 in terms
21 of the -- these are the classic tables that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 list the nuclides by facilities.

2 And it just appeared that there
3 were facilities that were -- that were left
4 out, that all the key facilities may not have
5 been accounted for.

6 And I guess it's the 16497
7 document, that Reference ID, SRDB number, is
8 the basis for what's listed in the TBD.

9 And we had identified some other
10 references that listed other facilities that
11 would contain, you know, potentially, you
12 know, source terms that would be of equal
13 consequence.

14 And that was of more a question of
15 trying to reconcile the current list that's in
16 the TBD with perhaps a somewhat broader
17 listing that --

18 MR. NELSON: NIOSH agrees with you
19 and we're going to update the TBD to include
20 more information. We're going to update Table
21 5-4 to make it more complete and it will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 include some of the other facilities as
2 discussed.

3 The site description has a little
4 more discussion that we could roll into there.

5 MEMBER ANDERSON: When were these
6 first developed?

7 MR. NELSON: The TBDs, back in 2006
8 -- well, maybe 2004. The latest version is
9 2006.

10 MEMBER ANDERSON: Okay.

11 MR. NELSON: I know some of these
12 documents were done in 2004, but they've been
13 since revised.

14 MR. FITZGERALD: Yes.

15 MR. NELSON: So, they're outdated.
16 They're due to be updated anyways, and that's
17 ongoing.

18 MR. FITZGERALD: Okay. So, I guess
19 hold that in abeyance and, you know, that
20 listing will be addressed.

21 And I think the references are,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provide in the original Site Profile Review
2 some of the other sources that were looked at.

3 Number 5 is the agree to disagree.

4 (Laughter.)

5 MR. FITZGERALD: Sorry about that.

6 And of course this has to do with incident
7 data, which is a classic issue that we always
8 get into.

9 I think, Chuck, what you have in
10 here is sort of an explanation. I'm pretty
11 familiar with the description of how incident
12 data is used. And we always push back a
13 little bit and the notion of whether there's
14 more incident data available.

15 MR. NELSON: I think what we agreed
16 to do amongst ourselves, is to go back and see
17 if there's any more major incidents we could
18 add to this table to make it more complete.

19 MR. FITZGERALD: I think the
20 observation in the original Site Profile
21 Review is that it was apparently a fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limited listing of incidents. And it appeared
2 there was actually other references that might
3 contain more.

4 MR. NELSON: Yes, we thought we
5 could beef that up some.

6 CHAIRMAN SCHOFIELD: Have you found
7 any logbooks from the health physics people?

8 MR. NELSON: I can't say that I
9 know offhand. I haven't been through the
10 entire site search -- research database, but
11 I'm not sure whether we have those logbooks or
12 not.

13 MR. FITZGERALD: Well, some sites
14 were better than others. I think when they
15 did the original Site Profile Review, they
16 identified some files that might contain
17 additional incident data.

18 So, they're just saying that, you
19 know, it looks like there might be some other
20 sources of information there.

21 MR. NELSON: Well, this is your --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's the same age-old issue. If you have an
2 individual with an incident and he's got
3 urinalysis, we can reconstruct his dose.

4 So, if you have the data that
5 shows what he was internally exposed to and
6 you have some data, we can bound his dose.

7 So, even though he may have been
8 involved in the incident, you know, and it may
9 or may not be in his file, we can still bound
10 his dose.

11 So, they don't necessarily provide
12 a lot of information, is what I'm getting to.

13 MR. FITZGERALD: This sort of just
14 gets back to the opening discussion we had.
15 It's sort of yin and yang, meaning that to
16 some extent you can identify job categories
17 where, you know, one could assume a more
18 chronic type of exposure.

19 But then with everything else,
20 you're sort of stuck, you know, with
21 identifying events. And to the extent you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identify what somebody, you know, if they
2 can't, you know, do a CATI interview, you
3 know, be explicit, then the incident file
4 might help.

5 But, you know, it's not going to
6 be the panacea either. But my sense is that
7 in the original Site Profiles in some cases,
8 there was a lot that had to be done quickly.
9 And sometimes it just wasn't possible to do as
10 complete a job as identifying incident files.

11 And I think this is something that can be
12 done if there, in fact, is information.

13 It may turn out there might not be
14 additional information, but it would be
15 helpful to look.

16 I don't think this is disagreeing
17 with that, right?

18 MR. NELSON: No, just what level do
19 you want to dig in.

20 DR. NETON: Yes. Like Chuck said,
21 we agreed we'd go back and include some more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incidents if we find them.

2 MR. NELSON: Should we go back to
3 the site and say, give me everything you've
4 got in the skin --

5 DR. NETON: But basically our
6 position is, you know, for a long time there
7 was the assignment of chronic coworker models
8 unmonitored workers bound into incident
9 exposures that have occurred.

10 Incident, you have spikes and they
11 rapidly clear down. And you're giving a
12 person a chronic exposure over their entire
13 operating career at the facility.

14 And the way the coworker model is
15 set up, even non-detectables are modeled in
16 here. So, you've got an overarching excretion
17 pattern that would include any incidents that
18 occur.

19 People that are routinely
20 monitored, their incidents are included in
21 their excretion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, we feel the incidents are
2 bounded within that chronic coworker model.
3 This has been something we talked about over
4 the last five or six years, you know.

5 MEMBER BEACH: Okay. So, we're
6 just leaving this open in abeyance or --

7 CHAIRMAN SCHOFIELD: Yes, this one
8 is in abeyance, I think, for right now. We'll
9 have to look for more records.

10 MR. NELSON: I don't know that we
11 necessarily want to agree that we're going to
12 go to the site and say, give me all your
13 incidents.

14 DR. NETON: We'll reevaluate what
15 we've got in there and make sure --

16 MR. FITZGERALD: If you look at the
17 Site Profile finding on that one, I think it
18 provides a little context as to, you know,
19 additional sources of information. And I
20 don't think it said anything to the point of,
21 you missed these, you know, all these files.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's just going to indicate that
2 the table that's provided is fairly scant.

3 MR. NELSON: Okay. I just wanted
4 to make sure we weren't creating a job with
5 limited return potential.

6 CHAIRMAN SCHOFIELD: Well, it seems
7 like the health physics people would have like
8 a record in the computer of incidents.

9 MR. NELSON: There's some
10 descriptions or some summaries that we can
11 pull from it. We can do a better job than
12 what we did.

13 CHAIRMAN SCHOFIELD: Okay. Number
14 6.

15 DR. NETON: This is the one about
16 using the median bioassay data to bound or to
17 reconstruct unmonitored workers.

18 I think this is something we
19 talked about before at another site. I think
20 there's a little bit of misrepresentation of
21 what we do in our response here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And now that I look at it, we
2 would never -- we don't typically assign the
3 84th percentile. We assign the 50th
4 percentile or the 90th percentile.

5 The 84th percentile is just to get
6 the GSD of the distribution. That's one
7 standard deviation above 50.

8 I think what we talked about
9 earlier, and I forget, Chuck, was it TIB-60 or
10 61 internal --

11 MR. NELSON: Sixty.

12 DR. NETON: We were going to
13 provide some guidance in there about which
14 classes of workers would get the median value
15 versus the upper value.

16 I would propose that that's our
17 response to this issue here.

18 MR. FITZGERALD: Yes, and the
19 essence of this, this was a -- sort of a
20 multi-part finding in the original Site
21 Profile Review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I think what we're saying in
2 this response is that this addresses sort of
3 one question, but there were other questions
4 in that, you know.

5 This probably doesn't do justice,
6 this little summary here, to what was covered
7 in that particular Site Profile finding.

8 There's different facets, and this
9 sort of identifies at least four of the items
10 that would be useful to get a response on.

11 Chuck, I know you have only had
12 this for a couple weeks, but if you go back to
13 the original finding, you know, you'll find it
14 embedded in these four questions.

15 So, in addition to what Jim was
16 talking about, these are other items that were
17 in that finding that would be useful in
18 getting some responses to.

19 DR. NETON: This is the first I'm
20 seeing the use of ICRP 23 versus 89 volume
21 parameters.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. NELSON: So, what individual
2 issues do you want to --

3 MR. FITZGERALD: Well, I mean, just
4 summarize the issues that were embedded in
5 that finding. I'm just saying the summary
6 that was in the matrix, I'll take
7 responsibility for that.

8 Probably get in touch on those as
9 much in detail.

10 MR. NELSON: Okay.

11 MR. FITZGERALD: You've only had
12 this for a couple weeks, but --

13 MR. NELSON: I think one of them
14 was the coworker data went from -- let me look
15 at my notes here. '48 to '88. They started
16 in 1945.

17 And I think one of your issues was
18 what about these individuals for 1945 through
19 1947 which we don't have data on, you know,
20 how does this coworker data represent those?

21 And Tom LaBone could probably talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about this pretty good, because I think he had
2 to deal with this before, this particular
3 issue.

4 So, I'll let him pipe in on that
5 one if he doesn't mind.

6 MR. LaBONE: I'm here.

7 Typically the way that we address
8 that is that, again, this idea that if the
9 workers were exposed to uranium from '45
10 through '47, and these same workers were
11 monitored in '47, '48, '49 and so forth, is
12 that you will build up a -- basically a
13 systemic burden of uranium which will continue
14 to be excreted.

15 And so if the intakes were
16 significantly higher in the time frame where
17 they were not monitored, then they would show
18 up later on so it would account for that.

19 I think that's basically the logic
20 that was used to continue to use the 1948-on
21 coworker models for the people exposed during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that period just after World War II.

2 MR. NELSON: So, that was one issue
3 right there that was called out. You read the
4 several pages of the SC&A finding. What else
5 is there?

6 I don't know how that sits with
7 you all.

8 MR. FITZGERALD: Well, I think we
9 just need to see -- this one is a little
10 complex. I think we just need to see a
11 written --

12 MR. NELSON: Okay.

13 MR. FITZGERALD: -- response.

14 MR. NELSON: We can provide a
15 response to that. And if necessary, we can
16 even put a White Paper on that one, but leave
17 that up to the --

18 MEMBER BEACH: So, basically you'll
19 just go back to the original items in the SC&A
20 write-up and then produce a response to that?

21 MR. NELSON: Well, maybe we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dial in on the actual finding here. Because,
2 you know, sometimes when you try to summarize
3 three or four pages into one sentence, it
4 becomes difficult to nail it down.

5 MEMBER BEACH: So, do you need a
6 clarification on that?

7 MR. NELSON: Well, I think maybe
8 the finding ought to be clarified. Or we can
9 just -- what we'll do is we got a response
10 from SC&A on June 16th. So, we need to
11 respond to that.

12 And I guess maybe in our response,
13 we can call out those individual issues. I
14 just don't want to miss any.

15 MEMBER BEACH: Well --

16 MR. NELSON: I mean, what are the
17 key issues here.

18 MR. FITZGERALD: I think, again,
19 we're just paraphrasing the original Site
20 Profile issue. I'd go back to the original.

21 MEMBER BEACH: Page 38, it looks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like, and 39.

2 MR. FITZGERALD: Page 37, 38 and 39
3 of the K-25 review. May 2007. I would just
4 go through that and respond to that,
5 basically.

6 MR. NELSON: Well, my understanding
7 is that the issue you had was using the 1945
8 through 1947 data. Other than that and what
9 we've responded to, we said we used ORAUT-
10 OTIB-60, which Jim just mentioned that was
11 already in the response.

12 And SC&A came back and said we
13 were unresponsive. And so, I guess we
14 probably didn't provide adequate response for
15 the period '45 through '47, which Tom LaBone
16 just discussed, and we can provide that
17 response --

18 MR. FITZGERALD: Earlier
19 operations, yes.

20 MR. NELSON: Now, the ICRP 23
21 versus 89, I'm not -- I'm not necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ready to discuss that.

2 DR. NETON: That's something that
3 you'll look at.

4 MR. FITZGERALD: Here's the
5 comment: NIOSH needs to assess whether acute
6 or multiple acute intakes would provide more
7 claimant-favorable assessments in urine
8 bioassay data was normalized to 1400
9 milliliters, which is from ICRP 23, currently
10 an outdated reference updated with ICRP 89 --
11 this is from 1974, now up to 2002 is 89 --
12 that used the 1600 milliliters per 24-hour
13 excretion volume.

14 DR. NETON: The models were based
15 on the 1400. We need to go back and look at
16 all the implications of those changes.

17 MEMBER BEACH: So, does SC&A need
18 to go back and clarify for the matrix the four
19 points?

20 MR. FITZGERALD: No, it's in the
21 issue. I think what I was concerned about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after looking at the response, I realized that
2 it was really keying in on the brief
3 descriptor that was under SC&A's draft
4 finding, which I'll take responsibility for,
5 but there were some facets that were missing
6 in that descriptor that I think were in the
7 original Site Profile finding.

8 So, it's less -- I don't think the
9 -- I shouldn't say unresponsive, but there was
10 issues that this didn't respond to that were
11 not clarified in the -- in the -- what we're
12 trying to do is paraphrase what's in the Site
13 Profile Review trying to capture the essence
14 of each issue.

15 And going back over after looking
16 at your response, I realize there were
17 elements that were embedded in this finding
18 that were not addressed.

19 DR. NETON: I wonder if it wouldn't
20 be good if SC&A would go back and redefine
21 what the draft finding is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. FITZGERALD: I'll be glad to do
2 that, yes.

3 DR. NETON: Because otherwise the
4 matrix will continue to have this --

5 MR. FITZGERALD: Yes, let me --
6 (Simultaneous speaking.)

7 MR. FITZGERALD: Let me do that.
8 Because, again, I think I didn't see those
9 elements until I was looking at what Chuck had
10 given and said, oh, that's --

11 DR. NETON: I understand what
12 you're saying, but I'd rather have that right
13 than --

14 MR. FITZGERALD: Okay. We'll take
15 that action to re-summarize Item 6.

16 DR. NETON: Right.

17 MR. FITZGERALD: But, again, I
18 don't think it's going to include anything but
19 what's --

20 DR. NETON: No, I understand that,
21 but at least the matrix will have the right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 description of the issue.

2 MR. FITZGERALD: All right. Right.

3 We'll take that action.

4 MEMBER BEACH: The whole issue.

5 CHAIRMAN SCHOFIELD: Number 7 now.

6 MR. FITZGERALD: Number 7, we just
7 had a clarification question which we gave you
8 a couple weeks ago. I don't know if that's
9 something you're ready to --

10 MR. NELSON: Well, in looking at
11 all this neutron issue with the slow cooker
12 and all that, we were looking at neutrons a
13 little closer, and we looked at how we're
14 assigning neutrons with Portsmouth and how
15 we're doing it at K-25, and we felt we weren't
16 real consistent.

17 So, we are revisiting assignment
18 of neutrons at K-25. We think we need to look
19 at some of these other areas besides the
20 cylinder storage yard where neutrons could
21 have possibly been elevated due to storage of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enriched uranium or holdup of uranium in
2 certain areas where they may have handled or
3 stored enriched uranium.

4 So, we think we've got some work
5 to do on that one.

6 DR. NETON: That doesn't say that
7 in this response.

8 MR. NELSON: No.

9 MEMBER ANDERSON: That's a bit
10 different.

11 MR. STIVER: I thought I was on the
12 wrong issue.

13 MR. NELSON: Well, right, it's not
14 there. I mean, SC&A came back and said, well,
15 we disagree, blah, blah, blah.

16 Well, in the meantime in the last
17 month or so, you know, you get all three
18 gaseous diffusion plants and you start
19 comparing one to another and you see, well,
20 this one is inconsistent and why.

21 I mean, while we don't believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is very many areas where you can get a
2 lot of neutron dose at gaseous diffusion
3 plants other than the cylinder storage yards,
4 there are some that you should evaluate. And
5 we think we need to do that evaluation at K-
6 25.

7 And that's our current response,
8 but we haven't given that yet --

9 MR. FITZGERALD: So, you have less
10 of a problem with PORTS.

11 MR. NELSON: Well, Portsmouth we
12 call out where we're going to assign neutron
13 doses. And it's some other areas besides the
14 storage yard.

15 MR. FITZGERALD: Right. And here,
16 it's exclusively the storage yard.

17 MR. NELSON: Exactly. So, we need
18 to look closer at K-25. So, that one is an
19 open issue for us.

20 MEMBER BEACH: Perfect.

21 MR. NELSON: Let's see. SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agrees with Number 8.

2 MR. FITZGERALD: Yes, that's right.

3 MR. NELSON: So, can we consider
4 that closed then?

5 MR. FITZGERALD: Well, yes. Number
6 8, I think we wanted to see more explanation
7 of -- that we thought it was unclear. There
8 was a couple statements that were included
9 that were seemingly at odds, but I think this
10 description is helpful.

11 And you're suggesting a more
12 detailed description along those lines?

13 MR. NELSON: Yes, exactly. The
14 last sentence says, upon revision to the K-25
15 external dose TBD, will provide a more
16 detailed description of assignment and
17 processing of dosimeters. That way, you can
18 better clarify site practices.

19 CHAIRMAN SCHOFIELD: So, we'll
20 close that.

21 MR. FITZGERALD: We're back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutrons.

2 MR. NELSON: Yes, we're back to
3 neutrons. Let's see. Let me make sure I
4 understand this issue so I don't say it's the
5 same as the answer before.

6 Let's see. A little attention was
7 apparently paid to the possibility of neutron
8 exposure in the early years.

9 MR. FITZGERALD: Actually, I think
10 this is similar.

11 MR. NELSON: It would be prudent to
12 revisit whether some categories of workers may
13 have been exposed to chronic low-level neutron
14 exposure.

15 So, yes, that's similar to Issue
16 7, and we're looking into that further.

17 MEMBER BEACH: So, we can basically
18 combine Seven and Nine?

19 MR. NELSON: I think that would be
20 a good recommendation.

21 MR. STIVER: During the response

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, you -- the last sentence there sort of
2 establishing what operations have a potential
3 for lower energy neutron exposure, did you
4 mean low level?

5 We're looking at two different
6 issues here versus the one down here.

7 MR. NELSON: That caught my eye as
8 well.

9 MR. STIVER: Is that just a typo?

10 MR. NELSON: I was trying to see
11 what the context is there.

12 You're talking about the SC&A
13 response?

14 MR. STIVER: Yes, the SC&A
15 response.

16 MR. FITZGERALD: I don't know.

17 Low-level, I think, would be the --

18 (Simultaneous speaking.)

19 MR. FITZGERALD: So, you can
20 combine Seven and Nine, would be the same
21 response.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SCHOFIELD: Yes, Seven and
2 Nine will be combined.

3 MR. FITZGERALD: And Number 10, I
4 think, is the technetium.

5 MR. NELSON: Technetium data.

6 MR. FITZGERALD: Yes, it's the
7 technetium issues which we spent time on
8 already.

9 So, that was the first issue we
10 discussed.

11 DR. NETON: Yes, this is a slightly
12 different issue here than skin contamination.

13 MR. FITZGERALD: Right.

14 DR. NETON: This has to do with the
15 external exposure potential. And I think we
16 can roll that into that same analysis, I
17 think.

18 MEMBER BEACH: Under Three?

19 MR. FITZGERALD: I think the issue
20 was similar to what you were talking about
21 earlier that, well, if they're wearing anti-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 c's and gloves, then the potential would have
2 been minimal.

3 But they wore coveralls and I'm
4 not sure what gloves, it depends on the actual
5 activity, but that's beside the point. It
6 sort of gets down to what we talked about.

7 DR. NETON: I think we'll roll that
8 into the same issue. I mean, it's an external
9 exposure and a skin contamination issue for
10 technetium.

11 And I think we sort of made an
12 argument that the range of the betas are small
13 in the dose. But if you had some very big
14 concentration of tech-99, I suppose the dose
15 rates could be high and I'm not sure the
16 badges would detect it.

17 An open-window badge is an open
18 window. Whether they were accounted for, I
19 don't know. I think we need to go back and --

20 MR. NELSON: Technetium-99 beta at
21 max energy shouldn't travel more than two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feet. So, if they had limited travel
2 distance, gloves and the clothing you had is
3 going to help attenuate it drastically.

4 And how many people are going to
5 spend that much time in that distance and have
6 one single location exposed?

7 MR. FITZGERALD: As far as the skin
8 dose/extremity dose question not, you know --

9 MR. NELSON: So, I guess we need to
10 evaluate the potentials for that.

11 DR. NETON: Yes, and we've already
12 talked about doing that.

13 MR. FITZGERALD: Well, it's a
14 different facet. One is exposure potential.
15 The other is, you know, dosimetry in terms of
16 skin dose.

17 DR. NETON: I think this whole
18 tech-99 issue --

19 MR. NELSON: Yes, it should be
20 rolled up into one.

21 MEMBER ANDERSON: Cuts across a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of them.

2 DR. NETON: We've got to do one
3 sort of White Paper, I think, that cuts across
4 several of the sites.

5 MR. NELSON: I think that would be
6 the way to nail it.

7 MR. FITZGERALD: And that would be
8 for all the gaseous diffusion plants.

9 MR. NELSON: Right.

10 MR. FITZGERALD: I recall
11 technetium being a concern for the diffusion
12 plants trying to make sure that the
13 maintenance on that was addressed and would be
14 useful.

15 MR. NELSON: It may be more
16 significant at other sites. I mean,
17 Portsmouth didn't handle near the amount of
18 recycled --

19 MR. FITZGERALD: No, it varied.

20 MR. NELSON: But, yes.

21 CHAIRMAN SCHOFIELD: Yes, a White

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Paper, I think, covering all three of them
2 would --

3 MR. NELSON: Yes, I think it's a
4 global issue.

5 (Simultaneous speaking.)

6 MR. FITZGERALD: It wound up in
7 certain places and you had to be aware of
8 that. And they were, actually.

9 So, the question is from a source-
10 term standpoint, were people more protected
11 when they were handling those operations or
12 stages, or not, you know?

13 Was there an exposure potential
14 that was significant?

15 CHAIRMAN SCHOFIELD: Is there any
16 record of those people who handled a lot of it
17 were on a separate bioassay program?

18 MR. STIVER: Separate bioassay?

19 CHAIRMAN SCHOFIELD: Like the
20 recycled uranium.

21 MR. STIVER: You have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 granularly to identify those workers might
2 have been --

3 MR. NELSON: I know that they
4 monitor for technetium.

5 You're talking internal
6 monitoring?

7 CHAIRMAN SCHOFIELD: Yes, internal
8 monitoring.

9 DR. NELSON: Yes, they did monitor
10 for it some periods of time. You probably
11 heard something about these upgrade processes.

12 MR. STIVER: Yes, we looked at that
13 in the Fernald RU paper. It's, from an
14 internal standpoint, it's about a factor of --
15 three orders of magnitude lower than the
16 uranium dose for the most highly exposed
17 worker at the NIOSH default source. So, the
18 scale was proportional.

19 That was, I believe, at nine parts
20 per million. So, it's not a big internal dose
21 issue compared to plutonium or neptunium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What they were really concerned with was the
2 contamination issue and what the --

3 MR. NELSON: Right. The external
4 dose to your skin.

5 MEMBER BEACH: Does 11 tie in?
6 Does that tie into Seven and Nine also, or is
7 it --

8 MR. NELSON: I need to look at
9 that.

10 MR. STIVER: I'm questioning the
11 Point 2, the NG ratio.

12 MR. FITZGERALD: Yes, this seems
13 like it would be, because --

14 MR. STIVER: Neutron/photon ratios
15 all seem to be tagged to the --

16 MR. NELSON: That's all part of --

17 MR. FITZGERALD: Seems we need to
18 look at other areas.

19 MR. STIVER: The same with Seven
20 and Nine, I believe.

21 DR. NETON: Yes, some of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 findings all sort of run -- 12, I don't think
2 is significantly different than 10.

3 MR. NELSON: I do have one change
4 to make on Number 11. Where it says Site
5 Research Database 7122, that should be 8122.
6 That's the wrong citing.

7 MR. FITZGERALD: Oh, okay. The
8 second study is 8122.

9 CHAIRMAN SCHOFIELD: Let's see.
10 Where were we?

11 MEMBER BEACH: We're on 12.

12 CHAIRMAN SCHOFIELD: Yes, but what
13 I was looking at is, do you guys just want to
14 go on ahead, or did you guys want to break?

15 MEMBER BEACH: Well, let's get it
16 done.

17 MR. FITZGERALD: I think we're
18 almost done.

19 CHAIRMAN SCHOFIELD: I think so,
20 too, but I'm not going to --

21 MR. FITZGERALD: Well, I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 said 11 is the same as Seven and Nine.

2 CHAIRMAN SCHOFIELD: I just don't
3 want to --

4 MR. NELSON: And 12 I don't see is
5 any different than 10.

6 MEMBER ANDERSON: It's just
7 described differently.

8 MR. FITZGERALD: Would the Work
9 Group want to -- I mean, I would volunteer to
10 try to simplify the matrix and combine these.

11 MEMBER BEACH: I was actually going
12 to bring that up at the end.

13 CHAIRMAN SCHOFIELD: I think so.

14 MR. FITZGERALD: This just tracks -
15 - just to avoid total chaos, this tracks the
16 original Site Profile Reviews.

17 I didn't want to depart from that
18 system. But, you know, now that we're this
19 far along, we could combine them and just, you
20 know, have an index to, you know, which one is
21 a crosswalk to the Site Profile.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I think that's a good
2 idea.

3 MEMBER BEACH: So, 12 is Three, 10
4 and 12, right?

5 DR. NETON: I don't think it was
6 Three, is it?

7 MEMBER BEACH: Yes, Three was
8 combined.

9 MR. FITZGERALD: Yes, this would
10 track the technetium.

11 MEMBER BEACH: Well, probably if
12 you did that and sent it out fairly soon, that
13 would help NIOSH with their review, I would
14 assume.

15 MR. FITZGERALD: Well, yes, the
16 ones that were combined are neutrons and
17 technetium so we know where we are.

18 DR. NETON: Hopefully, you'll
19 prepare a single response rather than copy and
20 paste a response.

21 MR. FITZGERALD: Right, right,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right.

2 MEMBER ANDERSON: And then argue
3 that.

4 MR. FITZGERALD: We'll start going
5 through the back and forth of, is everybody is
6 satisfied that it's clear.

7 But, yes, so Three, 10 and 12
8 would be combined.

9 MS. RODRIGUEZ: Excuse me. This is
10 Michalene.

11 Number 3 actually has to do with
12 the internal dose, and 10 and 12 is for
13 external, so I would keep Three separate.

14 MEMBER BEACH: Well, remember the
15 only issue we had was with the last paragraph
16 on Number 3.

17 MS. RODRIGUEZ: Right.

18 MEMBER BEACH: The other ones were
19 okay. So, that's why --

20 (Simultaneous speaking.)

21 MR. FITZGERALD: Yes, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technetium we're not arguing the internal.
2 So, maybe that is -- she is correct. That's
3 part of the recycled uranium review.

4 DR. NETON: That's internal.

5 CHAIRMAN SCHOFIELD: Drop Three out
6 of that.

7 (Simultaneous speaking.)

8 MR. FITZGERALD: That's all the
9 primary issues.

10 These are secondary issues and I'm
11 just going ahead here. And, actually, we
12 agree with all the responses on the secondary
13 issues.

14 DR. NETON: Wow.

15 MR. STIVER: New record. Three
16 sites before noon.

17 MEMBER BEACH: So, the other thing
18 we have is Bob Alvarez's paper. And just to
19 briefly go over that, I was assuming that you
20 would kind of just briefly tell us what you're
21 doing on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NELSON: Well, that ties into
2 the same issue of recycled uranium. The issue
3 here is a -- in March of this year, SC&A sent
4 a memo to the Work Group from Bob Alvarez
5 regarding upgrade programs and how they were
6 implemented at the gaseous diffusion plants
7 from '72 to '81.

8 And, basically, he wanted to bring
9 up the fact that we had recycled uranium
10 components and how are we reconstructing dose.

11 Well, that's one of the issues --
12 that's one of our big issues that we're
13 evaluating. So, that's the one I said is
14 going to take some months to answer that.

15 MR. FITZGERALD: The added issue on
16 that, and I talked to Bob, he was doing this
17 at least to support the Fernald review, but,
18 you know, the source of the tower ash at the
19 gaseous diffusion plants.

20 And in particular, he was looking
21 at the CIP/CUP era, and that's when they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literally, you know, revamped all the cascade
2 and it was a major operation to, you know, to
3 take these out to replace components and then
4 put them back in place.

5 So, it was a pretty major
6 operation. They had to staff up tremendously
7 to do all that work. And taking these things
8 apart, obviously the contamination was a huge
9 issue.

10 And his concern was you had a
11 different dynamic. It's almost like a D&D
12 activity where you had crews that were taking
13 all this -- I always think of it like sort of
14 junk, and some of it was being just thrown
15 away, some of it was being cleaned out and
16 then put back in.

17 And, you know, being particularly
18 conscious, I think my concern would be who was
19 this population of workers that were involved
20 in CIP/CUP?

21 It clearly was an expanded

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 workforce. It may not have been the operators
2 that we're dealing with by and large at the
3 diffusion plants, but it may have involved
4 workers that were brought in for CIP/CUP to
5 deal with the decon, deal with disposal,
6 transport.

7 And whether or not that group of
8 workers -- you know, came and they went, you
9 know, type of thing and whether they were
10 addressed sufficiently, I wouldn't call them
11 transient workers, but they were sort of
12 campaign workers. For the CIP/CUP campaign,
13 they were brought in and they weren't needed
14 after CIP/CUP was done.

15 And, you know, so how do you
16 identify those folks and do they, you know,
17 were they -- I would assume they were
18 monitored by, you know, by -- I guess it was
19 Martin Marietta back then, but, you know, or
20 they or not.

21 So, really it was sort of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question -- he raised it to my attention more
2 or less, you know, he was looking at Fernald
3 and the receipt of this material.

4 But did we sufficiently address
5 those campaigns that lasted some years and the
6 fact that all these additional workers were
7 brought in specifically to do those campaigns?

8 And some of the workers -- not
9 some, a lot of the workers weren't really
10 operating-type workers. They were actually
11 more of a D&D, if you may, maintenance-type
12 people that were actually handling this stuff
13 to clean it out and return it back to the
14 plant.

15 And I went back and looked at the
16 TBDs. I mean, the CIP/CUP is referenced in
17 there, but there wasn't a whole lot of
18 information provided.

19 And if you're a dose
20 reconstructor, I'm not sure you'd be clear on
21 who was involved in CIP/CUP and to what extent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is the information available for that or not.

2 So, that's kind of how I left this
3 hanging that it wasn't addressed specifically
4 in the original SC&A reviews. This sort of
5 came up by way of Bob's involvement in
6 Fernald.

7 It seems like a pretty legitimate
8 issue if you're looking at revamping the Site
9 Profiles.

10 For completeness' sake, I'd be,
11 you know, interested in knowing, you know,
12 this sort of additional subset of workers, you
13 know, how they actually addressed the dose
14 records and how were they monitored?

15 Were they, in fact, sort of like
16 when we deal with a D&D, were they brought in
17 just to do the campaigns more like
18 construction workers and you'd have to sort of
19 address them as such, or not?

20 MR. NELSON: Yes, I think it would
21 be good to call them out. Whenever we're done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doing our evaluation on recycled uranium,
2 recycled uranium components are going to be
3 assigned to the life of each facility.

4 It's not, you know, that
5 particular campaign, that's the only time
6 they're going to get assigned. It's going to
7 be assigned throughout the life of it.

8 It probably would make sense then
9 to call out those folks that did that extra
10 work there and look at that subset. And
11 possibly, you know, when we come up with these
12 tables, if we need to refine them, which I
13 would imagine we would, at least we'll work
14 with Paducah -- not Paducah, but Portsmouth
15 and K-25.

16 You know, those particular years
17 during those campaigns might be the years
18 where we call out those people, and once we do
19 a little more research, we might know better
20 an assigned dose for that period of time.

21 But that's all part of the work in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 progress for recycled uranium components.

2 MR. FITZGERALD: Yes, I think the
3 question of the source term exposure potential
4 is sort of a subset of --

5 (Telephonic interference.)

6 -- operations that were actually a
7 very specific campaign.

8 MR. STIVER: Those were some of the
9 highest concentrations that were found in the
10 residues that were seen at Fernald. It really
11 was -- this all came up as we were trying to
12 really get a better handle on really
13 characterizing what came in at what time
14 periods.

15 So, you know, it was really --

16 MR. FITZGERALD: It was kind of
17 interesting.

18 MR. STIVER: -- more of a big
19 issue to the GDPs as much as it is for -

20 MR. FITZGERALD: If you read the
21 sort of literature on CIP/CUP, they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually sending components through almost
2 like a carwash.

3 MR. NELSON: Yes.

4 MR. FITZGERALD: They were being
5 washed. There was so much contamination, they
6 just had to wash it off.

7 MR. STIVER: The incinerator metric
8 tons.

9 MR. FITZGERALD: I sort of worry,
10 in that kind of scenario where you sort of had
11 normal operations where you had the normal
12 monitoring health physics program in place.

13 But if you're doing this over
14 here, doing a carwash and doing this and that,
15 I'm not sure what, you know, who was in
16 charge, you know, what kind of controls and
17 who was monitoring those folks.

18 So, that would be an unusual thing
19 to do that. Sounds like it should made part
20 of the recycled uranium.

21 MR. NELSON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Not just Fernald,
2 but actually the diffusion plants.

3 MR. NELSON: We're focused on the
4 gaseous diffusion plants.

5 CHAIRMAN SCHOFIELD: I guess we're
6 done.

7 MR. FITZGERALD: Okay. I will try
8 to revamp these tables and circulate them and
9 make sure everybody agrees that -- what we
10 combined, which ones to try to simplify it.

11 I guess what we can do is just
12 sort of trade these matrices and update them
13 so they're accurate for your sake.

14 MEMBER BEACH: Sounds good.

15 So, anybody on the phone have
16 anything? If not, we're going to adjourn this
17 meeting.

18 MR. KATZ: Great job everyone. You
19 guys plowed through a lot in a hurry.

20 (Whereupon, the above-entitled
21 matter went off the record at 12:10 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, PORTSMOUTH, PADUCAH AND K-25 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the PORTSMOUTH, PADUCAH AND K-25 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15