

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON SCIENCE ISSUES

+ + + + +

TUESDAY
APRIL 17, 2012

+ + + + +

The Work Group convened in the
Brussels Room of the Cincinnati Airport
Marriott, 2395 Progress Drive, Hebron,
Kentucky, at 9:00 a.m., David B. Richardson,
Chairman, presiding.

PRESENT:

- DAVID B. RICHARDSON, Chairman*
- R. WILLIAM FIELD*
- RICHARD LEMEN*
- WANDA I. MUNN
- GENEVIEVE S. ROESSLER
- PAUL L. ZIEMER

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
IULIAN APOSTOAEI, ORAU Team
OWEN HOFFMAN, SENES
DAVID KOCHER, ORAU Team
JENNY LIN, HHS*
JOHN MAURO, SC&A*
JIM NETON, DCAS
SUSAN REUTMAN, DCAS
DANIEL STANCESCU, DCAS
JOHN TRABALKA, ORAU Team

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

CONTENTS

Welcome..... 4
Presentation and Discussions..... 5
Discussion..... 114
Adjournment..... 220

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 9:02 a.m.

3 MR. KATZ: Okay. The agenda for the
4 meeting is posted on the website, NIOSH
5 website, under the Board meetings section.
6 And, David, it's your meeting.

7 CHAIRMAN RICHARDSON: Okay. Well,
8 thanks, everybody, and I'm sorry that I can't
9 be there.

10 I guess as a starting, I wanted to
11 see if there are additions to the agenda or
12 changes. Ted had suggested there might be
13 some issues with scheduling, but, hearing none
14 -

15 MR. KATZ: Okay, no suggestions.
16 Nothing in the room.

17 CHAIRMAN RICHARDSON: Okay. Then
18 what I'd propose doing is starting with the
19 presentation from SENES. I think that will -
20 I had a chance - I received the slides last
21 night. So, I think that will be a really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 useful starting point to kind to get us on a
2 common footing.

3 And then we can move to a
4 discussion following that and work on ideas
5 about how to move forward with this.

6 MR. KATZ: That sounds good. And
7 let me just note for the other Board Members
8 on the line, I sent to you that presentation
9 just this morning.

10 So, I sent it to your - whichever
11 email you most frequently use. Mostly
12 personal ones. Okay.

13 DR. HOFFMAN: Okay, so this is Owen
14 Hoffman and I'd like to introduce our
15 presentation.

16 The presentation is really in two
17 parts. You've got all the slides together,
18 but what we want to do is to first discuss the
19 role of the low-dose and dose-rate
20 effectiveness factor in IREP.

21 And then after that, to respond to
22 any of the questions that you have regarding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our draft report on the state of scientific
2 knowledge on uncertainties in the low-dose and
3 dose-rate effectiveness factor.

4 And so, our presentation is
5 prepared in two parts. One is to introduce
6 how it's used in IREP, and the second is to
7 summarize findings and results within our
8 draft report to NIOSH.

9 To start the presentation, I've
10 asked my colleague Dr. Iulian Apostoaei to
11 begin. Iulian is developing a case of loss of
12 voice. And if something happens in the middle
13 of this presentation, then Dr. John Trabalka
14 is prepared to step in, David Kocher and
15 myself.

16 DR. TRABALKA: I will begin.

17 DR. APOSTOAEI: I think he needs to
18 start right now.

19 DR. HOFFMAN: You will step in.

20 DR. TRABALKA: Yes, I am.

21 DR. HOFFMAN: Okay, go ahead.

22 DR. TRABALKA: Iulian prepared a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 revision to the presentation that he gave to
2 the Health Physics Society meeting in July
3 that covered our work, provided a summary of
4 our work.

5 By the way, I tend to be soft-
6 spoken. So, if anybody can't hear me, let out
7 a yell and I'll start increasing the volume.

8 And he was going to give the
9 presentation. But since he's come down with
10 laryngitis, I will attempt to take over.

11 As Owen said, we're going to kick
12 it off by trying to explain how the DDREF is
13 used in IREP, and then cover some of your
14 questions and talk about what we've been
15 doing.

16 One question that we heard
17 yesterday is, where is Section 6.3? Well,
18 material in part of Section 6.3, Appendix D,
19 which you did not get, and the last couple of
20 pages in the extended summary, covers pre-
21 decisional information on potential new DDREF
22 distributions for IREP.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Since this material has not gone
2 through an external peer review, it has been
3 withheld at this time.

4 However, inadvertently Section 6.31
5 and 6.32 were not included in your package.
6 These cover summaries of the information that
7 we used in developing DDREF distributions, our
8 rationale for choosing them, how we prioritize
9 them, how we waiver them. And I think it
10 would be very useful if those two sections
11 could be made available perhaps even at a
12 later date.

13 We had to send this draft up to
14 NIOSH in fairly short notice and there are
15 some editorial-type errors as well.

16 So, we could probably provide a
17 revised copy that has those fixed and these
18 additions, if NIOSH so wishes.

19 We have been trying to get external
20 peer review prior to a formal peer review
21 through the NIOSH process.

22 Our report has been shared with an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ICRP Committee that was interested in
2 developing DDREF several years ago.

3 The earliest version they got
4 didn't have Section 5 or any part of Section 6
5 - Section 5, by the way, covers
6 epidemiological studies that can be used to
7 estimate DDREF - but I got some very good
8 feedback from Dr. Michael Fry, a
9 radiobiologist emeritus from Oak Ridge
10 National Laboratory.

11 And so, the current draft reflects
12 some - his feedback and he provided some very
13 useful information for us.

14 We also had gotten a revised
15 version of our report that included Section 5,
16 and those first two sections of 6.3 was
17 provided at a later date to the ICRP
18 Committee, and also to Peter Jacob who is
19 leading a team that's preparing the German
20 version of IREP and who is also heavily
21 involved in an UNSCEAR effort, and we did get
22 feedback from Peter on the section in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 report that critiques his synthesis of
2 epidemiological studies. The version in the
3 report reflects the version after his comments
4 had been incorporated.

5 I think it's safe to say that we're
6 still at somewhat of a disagreement with Peter
7 over some of the issues, but that's water
8 under the bridge.

9 Well, anyway, let's proceed to talk
10 about the use of DDREFs in IREP. That's this
11 slide.

12 The DDREF is an adjustment factor.
13 It's a divisor that is used to reduce the
14 level of risk based on a hypothesized
15 reduction of risk at low doses and dose rates.

16 And that's based on an inherent
17 linear quadratic model that is used typically
18 for estimating how radiation produces - for
19 dose responses for radiation.

20 It's not applied to leukemia,
21 because a linear quadratic model is used for
22 leukemia. So, it's inherent in that dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 response model.

2 Let's go on to the next slide.
3 Now, this is a flow diagram that you should
4 have received - the first part of the flow
5 diagram that you should have received a copy
6 of.

7 And at the top of the flow diagram,
8 there are two branches. One branch goes to
9 high-LET radiation like neutrons and alphas.
10 And for those, the DDREF is defined as one.

11 For low-LET radiation, it branches
12 again. That's covering x-rays, gamma rays and
13 electrons.

14 Again, if the cancer type is
15 leukemia, we have the dose response model
16 that's linear quadratic that implicitly
17 includes the DDREF. So, none is applied.

18 For other cancers depending on
19 whether the exposure is chronic or acute, we
20 have two different ways of dealing with it.

21 We have two different distributions
22 for DDREF in IREP for chronic exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One covers breast and thyroid
2 cancers, and one covers other solid cancers.
3 And I'll have a slide that covers that
4 specifically in just a minute.

5 Now, on the other side is
6 information that suggests that there is an
7 approach to - basically what happens is, there
8 are a couple of equations in IREP that attempt
9 to take risks at higher doses above 200
10 millisieverts and produce a smooth transition
11 to a chronic DDREF when the exposures are
12 acute. And the acute exposures have to be
13 less than 200 millisieverts to be considered.

14 Let's go ahead to the next slide.
15 This shows the DDREF distributions in IREP for
16 chronic exposures.

17 For breast and thyroid cancers,
18 there is a more limited range on potential
19 estimates of DDREF and there's higher weights
20 to values between one and two.

21 For other solid tumors, the range
22 is extended up to values of five. You'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 notice this is a set of discrete values rather
2 than the continuous distribution that's often
3 used for DDREF.

4 MEMBER ZIEMER: Can we ask questions
5 as we go along?

6 DR. TRABALKA: Anytime. Anytime.
7 Fire away.

8 MEMBER ZIEMER: Just back up just
9 one minute.

10 On the 20 millisievert value -

11 DR. TRABALKA: 200.

12 MEMBER MUNN: 200.

13 MEMBER ZIEMER: 200, I always have
14 to convert that.

15 MEMBER MUNN: I do too. You're not
16 alone.

17 (Laughter.)

18 MEMBER ZIEMER: But you're talking
19 about acute exposures above that, and isn't
20 that always a question as to whether you
21 should be in sieverts or grays when you get up
22 to high acute exposures, for example,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposures at the level of the Japanese
2 survivors?

3 Because the sievert itself has a
4 quality factor, which it's sort of related
5 here. So - but it's not a dose-rate factor,
6 but I'm just asking about nomenclature here.

7 DR. HOFFMAN: Basically the -

8 MEMBER ZIEMER: 20 rads is probably
9 all right, but -

10 DR. HOFFMAN: The fundamental unit,
11 Paul, that's used for risk estimation in IREP
12 is the gray.

13 I mean, the dose
14 reconstructionists put together sieverts, but
15 then they - the ICRP W sub R weighting factors
16 are used to divide by whatever sievert is
17 input so that basically, the basic unit that's
18 used for risk assessment is the gray.

19 MEMBER ZIEMER: Okay.

20 DR. HOFFMAN: Probably if you've
21 been following the way -

22 MEMBER ZIEMER: I think in your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 paper you use gray. And then when you talked
2 about sieverts, I was wondering if -

3 DR. TRABALKA: I think mostly in
4 grays myself.

5 MEMBER ZIEMER: I think you need to
6 go back to roentgens and rads and then we'll
7 understand.

8 MEMBER ZIEMER: Sorry to interrupt.

9 DR. TRABALKA: Not at all. Stop me
10 anytime.

11 If you've been following the
12 iterations of the studies of the Japanese A-
13 bomb survivors, you will notice that they have
14 changed from the use of millisievert to - from
15 sieverts to grays.

16 MEMBER ZIEMER: Grays, right.

17 DR. TRABALKA: Because they're not
18 using the quality factor that's applied -

19 MEMBER ZIEMER: Right.

20 DR. TRABALKA: - to produce a
21 sievert, for example.

22 MEMBER ZIEMER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TRABALKA: We're not done with
2 that one yet.

3 For other solid tumors, the bulk of
4 the solid tumors in IREP, this distribution is
5 used. It's got a lower - applies lower
6 weights to values between one and two. And
7 the 90 percent confidence interval is about
8 one to three, for example, the equivalent.

9 Okay, go on to the next one. This
10 is the approach that is - and you don't need
11 to worry about these equations, because I'm
12 going to show you a figure that shows how this
13 works.

14 These are the equations and the
15 approach that takes a dose somewhere between
16 30 and 200 millisieverts and converts it to a
17 chronic DDREF.

18 And if you go to the next slide,
19 this shows how DDREF changes as a function of
20 dose.

21 So, when you're at 200
22 millisieverts, DDREF is inherently one. No

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issues.

2 As you decrease the dose, you begin
3 to increase the potential DDREFs. And this
4 simply shows those discrete values of DDREF
5 that were shown in the previous distribution
6 for other solid cancers and how they approach
7 to the final chronic DDREF as a function of
8 dose. And I think questions were asked about
9 that in the material that we received.

10 Does this figure answer those
11 questions?

12 DR. KOCHER: The basic idea behind
13 this is that you - it doesn't make sense to
14 introduce the full DDREF at one dose, and a
15 microsievert above that have no DDREF.

16 It's just kind of a continuous
17 phasing in. You don't get the full effect
18 until you get to zero dose.

19 DR. TRABALKA: But on this figure,
20 you can see that at about one to ten milligray
21 you have the full effect of the chronic DDREF
22 expressed for an acute exposure, or for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fractionated acute exposures that are
2 separated by five hours in time.

3 Okay. Everybody clear on this one?

4 MEMBER ROESSLER: I think I need to
5 study it a bit.

6 DR. TRABALKA: It's a lot of
7 information at one time.

8 MEMBER ROESSLER: If you don't look
9 at the -

10 DR. HOFFMAN: Something like this is
11 second nature to us, but it may be difficult -
12 here are the values of the DDREF for chronic
13 exposures.

14 DR. TRABALKA: With the percentiles
15 that are applied in IREP.

16 DR. HOFFMAN: Yes, with the
17 percentiles associated with that distribution.

18 So, at the fifth percentile it can be as
19 small as 0.5. At the 99.5th percentile it can
20 be as high as 5.0.

21 Now, for chronic exposures, this
22 full distribution is used in IREP. But for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acute exposures, the distribution used is
2 dependent on dose.

3 And so at doses above, let's say,
4 150 milligray, virtually the DDREF is 1.0 or
5 no DDREF at all.

6 But as the acute dose gets less,
7 for example, here we have a small distribution
8 where what we say is the DDREF is uncertain.
9 It varies about 1.0 with a 99 percent range
10 varying from slightly below one up to about
11 1.5.

12 Now, if we brought the dose to 50
13 milligray or 5 rem, now the distribution
14 increases and it begins to approach that
15 distribution that you would get if you had a
16 chronic exposure.

17 You get distributions near that for
18 the chronic exposure as you get down towards
19 10 milligray or 1 rem.

20 MEMBER ZIEMER: Calculationally, is
21 IREP sampling the distribution for each one of
22 these like it does --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HOFFMAN: The standard run does
2 2,000 iterations.

3 MEMBER ZIEMER: Right.

4 DR. HOFFMAN: And so, there would be
5 2,000 random samples taken from -

6 MEMBER ZIEMER: Right.

7 DR. HOFFMAN: - this distribution.

8 So, if you have an acute exposure at 100
9 milligrays, you could sample 2,000 times from
10 this distribution. If you had an acute
11 exposure of 10 milligrays, you would be
12 sampling 2,000 times from that distribution.

13 MEMBER ZIEMER: And that
14 distribution looks almost normal for
15 everything but breast cancer. I mean, just
16 eyeballing it, it looks kind of log-normal for
17 the breast cancer.

18 DR. HOFFMAN: Breast cancer and
19 thyroid cancer -

20 MEMBER ZIEMER: Yes.

21 DR. HOFFMAN: -- where there's a
22 much heavier weight at 1.0.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: Correct.

2 MEMBER ROESSLER: So, then go to -
3 now, I understand the acute, I think. Now,
4 the chronic is just that last -

5 DR. HOFFMAN: Yes.

6 MEMBER ROESSLER: That last part
7 there.

8 DR. HOFFMAN: Right, right.

9 MEMBER ROESSLER: Okay.

10 DR. HOFFMAN: And do we have the
11 distributions for the chronic? Can we go back
12 to them?

13 Yes. So, that y-axis you had
14 before is just representing these
15 distributions -

16 MEMBER ROESSLER: That's what you
17 had on the report.

18 DR. HOFFMAN: -- that are used for
19 all solid tumors but breast and thyroid
20 cancer. And as you said, it kind of looks
21 like a normal distribution. And by this, it
22 looks somewhat like log-normal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: Okay.

2 DR. HOFFMAN: But basically that's
3 what's in IREP now. That's not really what's
4 reflected in our updated report.

5 Our updated report is basically a
6 critique of these assumptions.

7 DR. APOSTOAEI: So, we have a
8 figure. This is what we have all the way up.
9 In the figure for acute, we have the
10 distribution on the right. It goes over five.

11 DR. KOCHER: Now, go back to those.
12 Yes, that one. What isn't clear to me on
13 here, I haven't seen this. I've seen a
14 different kind of figure that displays the
15 same thing.

16 What doesn't come through to me
17 here is about the uncertainty sub L. How is
18 that folded into these curves?

19 How is the uncertainty sub L
20 reflected in these curves?

21 DR. HOFFMAN: The D sub L.

22 DR. KOCHER: The acute dose at which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you begin to phase in DDREF is an uncertain
2 variable.

3 DR. HOFFMAN: Right.

4 DR. KOCHER: And so, that has to be
5 somehow reflected in these curves.

6 DR. APOSTOAEI: It's already
7 included. This is what happens. If you type
8 in a dose of 200 milligrays, constant dose,
9 the code will verify that this is greater or
10 lower than D sub L, it's uncertainty.

11 For each iteration, it will sample
12 a sample of DL, it will compare the dose that
13 we typed in with that one sample from the DL.

14 DR. KOCHER: The point I'm trying to
15 make is the uncertainty, D sub L, is somehow
16 already in these curves.

17 DR. APOSTOAEI: The uncertainty is
18 already -

19 DR. KOCHER: Because it's not shown.
20 (Simultaneous speaking.)

21 MEMBER ZIEMER: So, if I understand
22 what he's saying, so if your dose is - let's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say it's 150, but it has a distribution, so
2 you're sampling around that distribution.

3 And then you're sampling around a -
4 doing the same thing. It's like kind of a
5 double iteration, or is it?

6 MR. HOFFMAN: If dose had
7 uncertainty associated with it, yes, you'd be
8 sampling from the uncertainty and dose. But
9 then there's also uncertainty associated with
10 what is the dose that defines a high acute
11 exposure.

12 And so, it's not 200 milligray.
13 It's a distribution ranging from 30 milligray
14 up to -

15 MEMBER ZIEMER: That's what I'm
16 saying. But the 30 part of that when you
17 sample it, is going to assign a different -

18 DR. HOFFMAN: Right, right. And
19 that uncertainty is reflected in this figure.

20 DR. APOSTOAEI: Yes, that's all
21 taken into account and folded into those
22 figures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KOCHER: If you take 150,
2 there's only a certain - the probability is
3 less than one that you'd apply this correction
4 at all.

5 MEMBER ZIEMER: Right, because the
6 lower ones -

7 DR. KOCHER: Because it's now 30.

8 MEMBER ZIEMER: Yes, I got you. And
9 that's how it's doing it in the first one.

10 DR. HOFFMAN: Yes.

11 DR. KOCHER: Ready to move on?

12 MEMBER ZIEMER: Okay. Next one.

13 DR. HOFFMAN: Okay. Now, basically
14 this was the point at which we thought we
15 would stop, because this answers the question
16 how is DDREF used in IREP.

17 Now, we thought we'd entertain
18 questions from the Board at this point before
19 there is a follow-up discussion in terms of
20 our report.

21 DR. APOSTOAEI: Or we can continue
22 the slides.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HOFFMAN: It's the Board's
2 choice.

3 MEMBER ZIEMER: Well, you've
4 answered some my questions.

5 MR. KATZ: Any questions on the line
6 from Board Members up to this point?

7 CHAIRMAN RICHARDSON: Well, thank
8 you. It's very useful to kind of start by
9 laying out what's done. And I look forward to
10 the discussion of the critique of that.

11 One thing I would - since we've
12 given substantial time to this function for
13 phasing in DDREF for acute exposures, I wonder
14 if NIOSH could comment on the proportion of
15 claimants for which an annual dose is - let's
16 start with proportion of claimants if they
17 have some idea of this, where an annual dose
18 would ever be on the magnitude of 200
19 milligrays.

20 So, this is an annual low-LET
21 exposure. An external exposure.

22 DR. NETON: This is Jim, Jim Neton.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There are some instances of that, but they
2 are few and far between.

3 I would suspect that where they
4 occur is in the very early years of the AEC
5 activities working with the uranium ores, the
6 Belgian Congo ores, that sort of thing. There
7 was a lot of radium in there. I recall at
8 Mallinckrodt we had some pretty high exposures
9 like that.

10 But outside of that, I think it's
11 unlikely that we would have exposures, acute
12 exposures in that range.

13 CHAIRMAN RICHARDSON: Okay. But
14 what about, let's take the lower bound of
15 what's called acute, 30 milligray?

16 DR. NETON: Same thing.

17 CHAIRMAN RICHARDSON: Because this
18 is my experience in working with Oak Ridge,
19 Hanford, Savannah River, that those are the
20 magnitudes of career doses.

21 DR. NETON: Right.

22 CHAIRMAN RICHARDSON: 30 to 50

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 millisieverts up to maybe a hundred
2 millisieverts or so.

3 But when we're talking about an
4 annual dose, we're not talking about any part
5 of this graph in which there's a phasing going
6 on. We're talking about for, I would imagine,
7 for the vast majority of claimants the doses,
8 an annual dose, is going to be on the
9 magnitude of 10 milligray and below, with
10 those types which actually have relevance to
11 the claimants that we're considering about.

12 Is that consistent with your kind
13 of interpretation of kind of the bulk of the
14 claimants in which we're going to be -

15 DR. NETON: Yes. Yes, I would agree
16 with that.

17 DR. KOCHER: Given the limit was 50.

18 DR. NETON: Yes, right. The limit
19 was 50 for most of those years.

20 CHAIRMAN RICHARDSON: Well, and
21 operationally, I mean, I've encountered very
22 few workers - I mean, there was the 5 rem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study. But, again, that was the 5 rem study
2 of people whose cumulative doses were 5 rem
3 that was conducted for a while by Oak Ridge.

4 DR. NETON: I will say, though, that
5 we do, for the most part, especially the early
6 years, the annual dose is considered to be an
7 acute dose if we can't determine what the
8 individual badge readings were, that sort of
9 thing.

10 CHAIRMAN RICHARDSON: It's
11 considered acute.

12 DR. NETON: Right.

13 CHAIRMAN RICHARDSON: But here it's
14 of such a magnitude that you're back down to
15 the part of the magnitude that once you apply
16 this factor, it's essentially --

17 DR. NETON: The chronic.

18 CHAIRMAN RICHARDSON: -- getting
19 the weight distribution of the chronic
20 exposure again.

21 DR. NETON: Exactly. Yes, we do the
22 acute just in case there were high exposures,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you know. We would try to be claimant-
2 favorable, but you're absolutely right.

3 For the most part, it's going to be
4 treated - the acutes for low-LET will be
5 considered principally as if they were --
6 well, had a DDREF applied of some magnitude
7 depending on the scale that's used here.
8 That's correct.

9 DR. MAURO: Owen, this is John
10 Mauro. Just a quick question. I don't have
11 the slide in front of me.

12 Sorry to interrupt, but is any
13 mention made of organ-specific DDREFs, or are
14 we talking -

15 DR. HOFFMAN: Yes.

16 DR. MAURO: Because I don't have the
17 slide in front of me.

18 DR. HOFFMAN: Yes, if you recall in
19 IREP, John, the DDREF, one, it is not applied
20 for leukemias. We have a different
21 distribution for breast and thyroid than we
22 have for all other solid tumors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Okay. I'm sorry I missed
2 that. Thank you for your answer.

3 DR. HOFFMAN: Yes.

4 DR. MAURO: And the only reason it
5 triggered my question was when we were talking
6 about what the doses are and we talk about 1
7 rem per year, often some organs do get some
8 fairly high doses. Especially the respiratory
9 tract. And that triggered that question.

10 DR. HOFFMAN: Sure.

11 DR. NETON: But there, John, you
12 were principally talking, I think, about alpha
13 emitters.

14 DR. MAURO: That's true. You're
15 absolutely right. You're absolutely right.

16 (Simultaneous speaking.)

17 DR. NETON: That's another issue
18 that I'm sure we'll get into.

19 DR. MAURO: Yes, yes.

20 DR. NETON: The DDREF' actually does
21 get applied to high-LET alpha emitters in a
22 sort of different way.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: As a part of the
2 correction for radiation effectiveness factor
3 for alphas, but there's also an inverse dose-
4 rate effect that more than outweighs that.
5 So, it's a complicated picture.

6 DR. NETON: Yes, it is.

7 MEMBER MUNN: As most of this is.

8 DR. TRABALKA: Yes. Yes, it is.

9 MR. KATZ: Is that it for questions
10 on the line?

11 CHAIRMAN RICHARDSON: One other
12 thing I'd like to just point out is there was
13 some discussion about the symmetry of the
14 distribution for cancers other than breast and
15 thyroid. And I heard some comments, but I'm
16 not sure if it was clear.

17 That apparent symmetry, I guess, is
18 - it was noted the distribution looks normal,
19 but it's looking normal on an arithmetic
20 scale, but it's a ratio measure.

21 So, a value of 0.5, if there was
22 going to be actual symmetry here, would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bounded against the value of two, for example.

2 So, if the DDREF was 0.5, that
3 would say it was half as effective. And if
4 it's two, it would say it was - or vice-versa.

5 Twice as effective and half as effective.

6 So, here it's actually, when it's
7 going out to values of five and down to values
8 of 0.5, that's - I would mentally imagine that
9 as very skewed in one direction.

10 MEMBER ZIEMER: You're right, David.

11 As I look at these, these are not linear
12 scales depicted here. They are just numbers.

13 It's a bar graph you're looking at, as I see
14 it.

15 I was glancing at it and it looked
16 like that, but I realize now that they are not
17 really linear.

18 CHAIRMAN RICHARDSON: Right.

19 MEMBER ZIEMER: Although having said
20 that, if you look at the actual values, I
21 think the breast and lung still probably is
22 very skewed toward one. So, probably log-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 normal.

2 I mean, the 0.5 and 0.7 are spread
3 out.

4 DR. KOCHER: Don't put a great deal
5 into particular percentiles on these numbers.

6 CHAIRMAN RICHARDSON: Oh, I'm not,
7 but I'm just thinking about the issue of: is
8 it symmetrical around a value?

9 And it's - I would say it's not,
10 right? It's going towards dose-rate
11 effectiveness factors which you would divide
12 the risk coefficients by a factor of two,
13 three, four or five, and the low probability
14 that you're going to divide it by a value of
15 0.7 or 0.5, but you would never go down to a
16 value like 0.2, which would be symmetrical
17 around five.

18 MEMBER ZIEMER: Well, but that may
19 raise the question: does IREP put this in as a
20 distribution, or as a number of points?

21 DR. HOFFMAN: It's a discrete
22 distribution, exactly as you see it here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: What we see here.

2 DR. HOFFMAN: And so, I mean, these
3 distributions originally were derived by Ethel
4 Gilbert. And there was no attempt to make one
5 log-normal, normal or - it was degrees of
6 belief based on her review of the literature
7 that would be put on discrete values.

8 Does that help, David?

9 CHAIRMAN RICHARDSON: Yes, thank
10 you.

11 DR. HOFFMAN: Okay.

12 DR. KOCHER: You can still have
13 symmetry about values other than one. One is
14 not the midpoint of anything. It can be
15 symmetric around two.

16 DR. HOFFMAN: Well, we are prepared
17 now to continue to change the focus of the
18 discussion into a summary of where we are with
19 respect to our draft - this is a major report.

20 A major draft report on the state of
21 knowledge of DDREF. Probably the most
22 extensive report of its kind anywhere. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 only problem is it's not published. It's
2 still in draft form.

3 But I'd like to say that John
4 Trabalka of our staff is taking the lead on
5 this work. This is the work now that probably
6 spans six, seven years in the making.

7 And so, I'd like John to continue
8 with the presentation.

9 DR. TRABALKA: I will. I'll add one
10 thing to what Owen just said.

11 At least one member of the ICRP
12 team that was going to develop or at least
13 review the concept of DDREF, expressed the
14 hope to me that our report would be out before
15 theirs was even started so they wouldn't have
16 to do the review and evaluation. They could
17 just critique ours and use as much.

18 It's so much easier to critique
19 somebody else's work than to do it yourself.

20 MEMBER MUNN: Always easier.

21 DR. TRABALKA: Okay. Let's go ahead
22 and talk about where the information for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimating the DDREF comes from. Go ahead,
2 the first part.

3 Now, there is information from
4 genetic and cytogenetic endpoints that's used
5 in estimating DDREF - you just jumped ahead to
6 - go back, back, back. First one.

7 Okay. There is information, as I
8 said, for these other endpoints, but I am
9 going to focus on information that's obtained
10 from laboratory animals and humans for
11 potentially estimating DDREFs.

12 Much of that information should be
13 characterized as representing low-dose
14 extrapolation factors.

15 You can obtain estimates of the
16 relative effectiveness of low acute doses, for
17 example, by analyzing the curvature in dose
18 responses for acute exposures like cancer in
19 the A-bomb survivors.

20 And that's been done very heavily.
21 There's a lot of data. And in our report, we
22 document all of the values that have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 published on that.

2 You can also estimate it from --
3 cancer responses from fractionated exposures
4 like from medically irradiated humans.
5 Compare those, for example, with responses in
6 the A-bomb survivors to estimate it, and in
7 laboratory animals where you look at the
8 curvature in the dose response for acute
9 exposures.

10 Let's continue on to the next one.

11 Also, much of the literature and many of the
12 values are best characterized as dose-rate
13 effectiveness factors, because they compare
14 relative effectiveness of low-dose rates with
15 those at high-dose rates.

16 Examples are the epidemiological
17 studies of radiation workers compared to the
18 A-bomb survivors, persons exposed in
19 environmental or medical settings - an example
20 of the environmental settings would be folks
21 who were exposed along the Techa River in
22 Russia. Medical settings, the Swedish skin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hemangioma cohorts; these were children who
2 were given exposures with radium applicators
3 because they had blotches on their skin, and
4 of course had developed all sorts of cancers
5 afterwards - and also studies of cancer in
6 laboratory animals.

7 These particular studies were the
8 ones that were used by the NCRP and also by
9 UNSCEAR in its 1993 report, and were used as
10 part of the basis for the DDREF of two that
11 was selected by the ICRP, for example.

12 But because of theoretical
13 considerations, when you get down to low doses
14 and dose rates and assuming a linear quadratic
15 model as the basis, which does not always
16 work, and there are a lot of data suggesting
17 the linear quadratic model is not always
18 applicable, nonetheless, when you do that, the
19 two values should converge. The LDEFs and the
20 DREFs should be the same. So, we typically
21 use the term DDREF or a dose and dose-rate
22 effectiveness factor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Any questions on this before we
2 move on?

3 CHAIRMAN RICHARDSON: I have one
4 question.

5 I thought I understood what you
6 meant by LDEF until you suggested that cancer
7 from fractionated exposures compared to an
8 acute exposure -

9 DR. TRABALKA: Fractionated acute
10 exposures.

11 CHAIRMAN RICHARDSON: So, you're
12 making a distinction between - so, you're
13 saying for the same total dose if it's
14 fractionated - I would imagine if it's
15 fractionated or protracted, for the same total
16 dose deposited, that that would be - that you
17 would, for the distinctions in effect as those
18 being dose-rate effects.

19 Why is that?

20 DR. TRABALKA: Iulian and I have had
21 that discussion. And Iulian would agree with
22 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I simply make the point that these
2 are data from fractionated acute exposures as
3 opposed to data from chronic exposures,
4 continuous exposures.

5 I would agree that that's a moot
6 point.

7 MEMBER ZIEMER: And fractionated
8 acute in this case, you're still using the 200
9 millisievert as something above that as being
10 -

11 DR. TRABALKA: No, no, no. These
12 acute exposures, for example -

13 MEMBER ZIEMER: When a medical
14 exposure is really high?

15 DR. TRABALKA: For example, you
16 know, rats. Exposures to look at mammary
17 cancer in rats. They used acute exposures
18 separated in time by 12 or 24 hours.

19 MEMBER ZIEMER: Yes.

20 DR. TRABALKA: And down around, say,
21 four milligray, ten milligray, all the way up
22 to maybe a hundred milligray.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Right.

2 DR. TRABALKA: So, there's a wide
3 range of doses and exposure conditions.

4 MEMBER ROESSLER: So, to continue on
5 that - this is a good discussion, I think.
6 But in the top category, the medically
7 irradiated humans, they're the ones who are
8 treated for something and they're actually
9 fractionated.

10 Then when you go down to the
11 second, the DREF, and you talk about medical
12 settings, are you talking about occupational
13 exposures there?

14 DR. TRABALKA: No, the example I
15 used was the Swedish skin hemangioma cohorts.

16 That was a medical exposure, but what they
17 did is: they put an applicator on a blotch on
18 the skin and left it there for several hours.

19 MEMBER ROESSLER: I get it. I
20 missed that, yes.

21 DR. KOCHER: But the doses are well
22 known.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TRABALKA: Yes, reasonably well
2 known or estimated, I should say.

3 Ready to move on?

4 MEMBER ZIEMER: Yes.

5 DR. TRABALKA: Well, I suppose most
6 of us realize why reevaluating DDREFs is
7 important.

8 The ones used in IREP have a great
9 deal of subjectivity. There has been a lot of
10 information published since IREP was
11 developed.

12 There are now useful studies of
13 nuclear workers that bear on this issue, some
14 research in the DOE low-dose program. So,
15 reassessing the magnitude and uncertainty of
16 DDREF is important.

17 And the underlying basis, the LNT
18 model, is heavily challenged. I mean, let's
19 face it. There's a large fraction of the
20 community out there that does not accept it.

21 There's a wide range of alternative
22 information, also, for developing an improved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 basis.

2 So, our objective is to try and
3 reduce the level of subjectivity, provide
4 better traceability for the information and
5 improve the documentation.

6 Any questions on that?

7 CHAIRMAN RICHARDSON: Can you
8 clarify the distinction you're making here
9 between the underlying basis of a linear and a
10 threshold model and your assumption of a
11 linear quadratic model, which you said is your
12 starting point?

13 DR. TRABALKA: At low doses, the
14 linear quadratic model defaults to a linear
15 no-threshold model.

16 But the basis for a DDREF is based
17 on the assumption that a linear quadratic
18 model over the entire dose range of your
19 epidemiological information is the proper way
20 to go about calculating it.

21 CHAIRMAN RICHARDSON: And, see, I've
22 never encountered that, I guess.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: I'm afraid that is
2 the basis, however, for DDREF. It's discussed
3 in Section 2 of the report in detail.

4 In fact, probably a linear
5 quadratic exponential model fits much of the
6 data better than just a plain linear quadratic
7 model.

8 Let's not get into that. I'd
9 rather have you read it. I'll be happy to
10 answer any questions you have about it.

11 CHAIRMAN RICHARDSON: No, I have
12 read your report, but I guess I'm asking for
13 some coherence between the assertion and what
14 I encounter as how epidemiologic data are
15 modeled in radiation epidemiology.

16 One doesn't start out with a
17 parametric form and fit it to the data
18 regardless of whether it conforms well to the
19 data.

20 And typically, you would not
21 saturate a model by including kind of
22 polynomial functions if a simpler model fitted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it.

2 DR. TRABALKA: Well, I agree with
3 that. But if you look at how DDREF, for
4 example, has been estimated from the Japanese
5 A-bomb survivor data, it's based on curvature
6 assuming a linear quadratic model or by
7 comparing risk coefficients from the linear
8 model versus the linear risk coefficient from
9 a linear quadratic model.

10 That's how the data are obtained if
11 you have an acute exposure, for example.

12 CHAIRMAN RICHARDSON: No, I don't
13 think that's right, actually.

14 DR. TRABALKA: I'm afraid it is.

15 CHAIRMAN RICHARDSON: But, I mean,
16 we can go on.

17 DR. TRABALKA: Okay.

18 CHAIRMAN RICHARDSON: I mean, none
19 of the DDREF values that would be shown in
20 this distribution for solid cancers would be -
21 I think you would say that the basis for any
22 of these bars in this histogram are the ratio

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the quadratic to the linear term for solid
2 cancers in the A-bomb data. Values two,
3 three, four, five, right?

4 I mean, there's curvature for the
5 leukemias, but we've set that aside.

6 DR. TRABALKA: The basis for those
7 values in the current version of IREP came
8 from data all over the map.

9 CHAIRMAN RICHARDSON: Yes, exactly.

10 DR. TRABALKA: It was highly
11 subjective. But if you look in - if you read
12 Section 4 and particularly Section 4.2, look
13 at the Japanese A-bomb survivor DDREFs and you
14 look at how those were derived, you'll see the
15 linear quadratic model or a linear quadratic
16 exponential model was used to derive those
17 DDREFs.

18 When you get to cancers like breast
19 cancer, thyroid cancer, you have to compare
20 responses in those cohorts to the linear risk
21 - in other words, the linear risk coefficients
22 from those studies to linear risk coefficients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 derived from the A-bomb survivor studies,
2 because you don't have the acute exposure
3 component.

4 You don't have a linear quadratic -
5 enough information to do a linear quadratic
6 dose response. And it would be questionable
7 to use it there anyway.

8 And if you look at some of the
9 other cancers, that's also true for the lung,
10 bone and skin cancer. You have to compare it
11 typically with - in other words, linear risk
12 coefficients in one case, and quadratic
13 coefficients - or linear coefficients from
14 linear quadratic responses, or just linear
15 coefficients from the A-bomb survivors with
16 those linear coefficients.

17 When you look at skin cancer -

18 CHAIRMAN RICHARDSON: Exactly. The
19 last one is, I think, was done in practice.

20 DR. TRABALKA: Yes, and we've done
21 that in a lot of cases in the report.

22 If you look, however, at the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for skin cancer, especially that in the latest
2 iteration, the DSO dose response by Preston
3 and company, they use what's called a linear
4 spline model. Which is a straight line
5 linear, in other words, a linear response at
6 low doses compared to a linear response at
7 higher doses, and you have to take the ratio
8 of those two to get a DDREF.

9 And you get a different value
10 estimated for DDREF when you do that. It's
11 about six, as opposed to one to two from most
12 of the data for the A-bomb survivors.

13 I hope that also explains or helps
14 answer the question about whether or not we're
15 looking at cancers other than - in addition to
16 breast and thyroid cancer in our effort here.

17 Should we go on, David?

18 CHAIRMAN RICHARDSON: Yes, please.

19 DR. TRABALKA: Okay. All right.

20 Let's go on to the next one.

21 So, in our review, we looked at the
22 existing and new classical information. By

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "classical," we mean epidemiological,
2 radiobiological, micro-dosimetric data and
3 concepts.

4 Examples are the new radiation
5 worker studies and what their implications are
6 for DDREF, the DS02 base dose response for the
7 A-bomb survivors.

8 But we also felt we had to look at
9 emerging information on other phenomena,
10 because there is such a large part of the
11 community that's pretty adamant that these
12 need to be considered in dose responses.

13 And these include adaptive
14 responses, bystander effects, induced genomic
15 instabilities, low-dose hyper-
16 radiosensitivity, existence of thresholds and
17 hormetic responses.

18 Any questions on that?

19 MEMBER ZIEMER: I have one question,
20 because it's fascinated me and has to do with
21 the bystander.

22 Is a bystander cell right adjacent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a cell that is, let's say, hit? I'll use
2 the word "hit." Or could it be somewhere
3 else?

4 How far removed can a bystander be?

5 I mean, we have in the body, situations where
6 something far removed from a location that's
7 called on to assist a cell.

8 Maybe the, I don't know, some organ
9 is called on to release something because a
10 cell has -

11 DR. HOFFMAN: Okay. In other words,
12 what's the distinction between bystander
13 effects and abscopal effects?

14 MEMBER ZIEMER: Well -

15 DR. HOFFMAN: Abscopal effects is
16 one part of the body is irradiated, but
17 another part of the body expresses the cancer.

18 MEMBER ZIEMER: That's exactly my
19 question, because it has great implication -

20 DR. HOFFMAN: Yes.

21 MEMBER ZIEMER: We use, in this
22 program, the organ. We take the energy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 delivered to that organ as being sort of a
2 cause and effect situation.

3 And so, it's never been clear to me
4 on bystander, how far away is the bystander?
5 It's sort of what you raised earlier.

6 Like I'm hitting the line and is my
7 finger is going to suffer for that? I mean, I
8 would think intuitively it's pretty close by,
9 but -

10 DR. TRABALKA: The tissue models
11 that have been used to study bystander effect
12 suggests that we're talking about distances of
13 millimeters to a centimeter or two.

14 However, as Owen pointed out, there
15 are - there is evidence of abscopal effects
16 especially in animals.

17 MEMBER ZIEMER: And what does that
18 word mean, exactly?

19 DR. TRABALKA: Well, for example,
20 you irradiate the leg of a certain species or
21 strain of rat and it develops mammary cancer.
22 You irradiate the leg of a mouse and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 develops - what's the word I'm looking for
2 here? A kind of lymphoma. Thymic lymphoma.

3 MEMBER ZIEMER: Yes.

4 DR. TRABALKA: Albrecht Kellerer has
5 suggested that we have to be very cautious
6 about the Techa River data, because the doses
7 to bone marrow were so high that he suggests
8 that abscopal effects on the rest of the body
9 could be influencing the dose responses in
10 those people.

11 Some of those folks were thought to
12 have had chronic radiation sickness from
13 exposures. These are extremely high doses.

14 MEMBER ZIEMER: Yes.

15 DR. TRABALKA: Especially those
16 closest to the fuel reprocessing plant and the
17 high-level waste storage tanks.

18 Does that answer your question?

19 MEMBER ZIEMER: Yes, I just wanted
20 to get a feel for it. It could be, in
21 principle, fairly extensive then.

22 DR. KOCHER: The problem here, of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 course, is that our basic data set is uniform
2 whole-body irradiation.

3 MEMBER ZIEMER: Right.

4 DR. KOCHER: So, if these effects
5 are real, they're buried in there somewhere.

6 MEMBER ZIEMER: They're buried in
7 there.

8 DR. KOCHER: And you don't know how
9 to adapt that to partial body exposures, if
10 you think there's a difference.

11 MEMBER ZIEMER: Exactly. Exactly.

12 DR. TRABALKA: Let me conclude this
13 slide by saying that there is no consensus
14 currently on whether these phenomena really
15 influence epidemiological data. In some
16 cases, they are thought to be embedded in
17 current epidemiologic data.

18 MEMBER ZIEMER: I understood from
19 your report, at least I thought I understood
20 on the hormetic, you or someone was suggesting
21 that that might even have lower and upper
22 bounds.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: That's right.

2 MEMBER ZIEMER: And below, it may
3 not exist.

4 DR. TRABALKA: The more recent
5 studies show that although you might see a
6 threshold effect at one dose or dose rate or a
7 potential for hormetic effect, that at lower
8 doses you actually see an increased effect.

9 You have to be very cautious about
10 interpreting that information, especially as
11 it applies to humans.

12 MEMBER ZIEMER: And it could be very
13 dependant on the endpoint in either case.

14 DR. TRABALKA: Very much so. And
15 so, the precautionary principle has to apply
16 there. We have to use the linear approach.

17 MEMBER ZIEMER: Right now it seems
18 to me, although it's interesting to look at
19 all of these, on most of them we're pretty far
20 away from knowing answers; is that correct?

21 DR. TRABALKA: That's correct.

22 MEMBER ZIEMER: Okay. Well, we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 leave that.

2 DR. TRABALKA: Yes.

3 MEMBER ROESSLER: As I look at this
4 slide and the things you're talking about,
5 especially the emerging information, this is a
6 huge amount of data.

7 I've been to NCRP meetings where
8 they talk about all this stuff and it is new.

9 It just seems like this is a huge amount of
10 work that nobody else is really doing that
11 you've done, and you've put in a number of
12 years on this.

13 So, my question is, who's funding
14 it and where will it be published?

15 DR. TRABALKA: It's in our report in
16 Appendix B of our report.

17 MEMBER ROESSLER: Okay.

18 DR. TRABALKA: And in Section 3.

19 DR. HOFFMAN: And the answer is,
20 NIOSH is funding this effort. And when and
21 where will it be published is partly at the
22 discretion of NIOSH.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: In the TBD.

2 MEMBER ZIEMER: But you're funding
3 the pulling together of the information, the
4 research itself.

5 DR. HOFFMAN: Yes, but we recognize,
6 I mean, we have the pleasure and honor of
7 being charged with doing this work and it's
8 put us at the forefront of the group.

9 MEMBER ROESSLER: This is a huge
10 contribution that is being made to this
11 program.

12 I think one of the early ones was
13 David's work on REF. It was published.
14 There's a lot that's been published.

15 This is something very
16 scientifically important to be doing this.

17 MEMBER MUNN: It is.

18 DR. TRABALKA: Ready to move on?

19 GROUP RESPONSE: Yes.

20 DR. TRABALKA: Okay. I just want to
21 briefly touch on information on DDREFs that we
22 can obtain from the genetic and cytogenetic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoints like cell transformation, chromosome
2 aberrations or point mutations.

3 The range of values is quite large,
4 typically in the one to 12 range, but also
5 values much less than one of infinity are out
6 there from threshold or potential hormetic
7 responses that are shown in the literature.
8 The central estimates are around two to six.

9 These dose responses are complex,
10 often difficult to interpret, and they
11 represent only one of the many steps that is
12 required to take a radiation-induced lesion up
13 to a cancer. So, you have to interpret these
14 with a grain of salt.

15 There was some information early on
16 suggesting that the DDREFs might be LET-
17 dependent for endpoints like chromosome
18 aberrations and cell transformation suggesting
19 that they might decrease with decreasing
20 photon energy, for example, but more recent
21 information suggests that that probably is not
22 an issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is, however, still an issue for
2 RBEs and radiation effectiveness factors. In
3 fact, this is still, in other words, the
4 suggestion that for photon energy you might
5 have - when it decreases, you might have an
6 increase in RBE.

7 That is the subject of a current
8 NCRP Committee effort that David is involved
9 in. And so, whatever results from that
10 committee effort, assuming it comes out before
11 our report gets out, we will factor into our
12 report at some future date.

13 Okay. Shall we move on?

14 This rather busy slide is a summary
15 of the animal data. Most of it is animal
16 cancer data.

17 There is one data point at the
18 bottom for mice for life shortening. And the
19 reason there's only one is that life
20 shortening typically doesn't - data don't
21 typically distinguish between leukemias and
22 lymphomas and solid cancers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This particular set of data on mice
2 does that, and you get a DREF of about one
3 from that data set.

4 The other data come from - in one
5 case, there are combined malignancies, mammary
6 cancer in mice and rats, lung cancer in mice,
7 beagle dogs, non-melanoma skin cancer in mice.

8 A number of these endpoints have
9 infinity either as the best estimate or the
10 upper bound, because they represent potential
11 threshold data.

12 These show up typically when you
13 get exposures to single organs like the lung
14 or the bone.

15 A lot of the bone data comes from
16 exposures to animals from strontium-90, for
17 example.

18 There are also some data on
19 pituitary tumors. Harderian gland, for some
20 reason harderian gland DDREFs have been
21 fascinating for some of the radiologists.

22 But for us, they're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particularly useful because they only occur in
2 animals with a third eyelid. And since none
3 of us seem to have third eyelids, they're not
4 typically useful.

5 If you look at the data, most of
6 the values, most of the point estimates range
7 from about one to ten. Actually, most of them
8 fall between one and six, except for the
9 threshold data.

10 And we've attempted to estimate
11 what the lower bounds for DDREF might be on
12 some of this threshold data, and we typically
13 get values between ten and 20.

14 And other investigators who have
15 used alternate models for that same data, come
16 out with values on the order of, say, 20 or
17 more. So, we think we're in the right
18 ballpark.

19 In other words, despite the fact
20 that there are what appear to be apparent
21 thresholds in this data, there could very well
22 be concealed linear quadratic responses in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these data that still have a finite, but quite
2 large DDREF.

3 And what it really suggests is that
4 for bone cancer, the linear risk coefficient
5 could be much lower than it is for carcinomas
6 and other cancers.

7 Okay. Any questions on this before
8 we go on?

9 MEMBER ROESSLER: What are Long-
10 Evans rats?

11 DR. TRABALKA: Just a strain of rat
12 that's favored in some research.

13 MEMBER ROESSLER: They just kind of
14 fall out of the picture here.

15 DR. TRABALKA: Well, these were used
16 in a study comparing the effects of x-rays,
17 localized x-rays and iodine-131.

18 And if you assume that there is no
19 higher effectiveness for x-rays, the value
20 moves up to about double, about 1.2.

21 If you use the information that
22 suggests that the radiation effectiveness - or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the RBE for x-rays should be about twice that
2 for iodine-131 beta and gamma radiation, you
3 get a value of 0.6, but the uncertainties are
4 great enough in that information that still
5 overlaps one and the upper bound is close to
6 two.

7 These are mostly 95 percent
8 confidence intervals, but some represent
9 ranges of data.

10 Where there are bars on the end of
11 the lines, those represent confidence
12 intervals. The rest of them are ranges. For
13 example, data in mice and rats on mammary
14 cancer typically fall between two and three.
15 Something like that.

16 Should we move on?

17 MEMBER ZIEMER: The life shortening
18 on this particular one, there's no bars there.

19 DR. TRABALKA: No.

20 MEMBER ZIEMER: What did you say
21 about that?

22 DR. TRABALKA: The information to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provide uncertainties in that data isn't
2 available.

3 This is based on work that was done
4 at Argonne National Laboratory in the pre-
5 JANUS studies over a significant period of
6 time.

7 The dose rates in this set of
8 studies extend down to 0.002 milligray per
9 minute, which is 50 times lower than the dose
10 rate at which a chronic DDREF is applied in
11 IREP, and significant life shortening was
12 being observed even at that lowest dose rate.

13 And of course it didn't go any
14 further, but still it was linear down to that
15 range.

16 MEMBER ZIEMER: Significant life
17 shortening at low dose rates but high total
18 doses?

19 DR. TRABALKA: Well, at 0.002, it
20 would be over the entire life of the mouse.
21 So, we're talking probably several hundred
22 days in this case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We have to convert that to per day
2 and -

3 MEMBER ZIEMER: In my memory bank
4 somewhere, there are some mice studies where
5 you actually get lifespan increases -

6 DR. TRABALKA: Oh, yes.

7 MEMBER ZIEMER: -- because of the
8 fact that the mother mice have, at higher
9 doses, have smaller litters. And that's less
10 wear and tear on the mice and they live
11 longer.

12 DR. TRABALKA: That issue is
13 discussed in Section 4.3 of our report. You
14 have to be kind of careful about how you
15 interpret these apparent beneficial effects.

16 MEMBER ZIEMER: Exactly.

17 DR. TRABALKA: If you look at the
18 one case that you mentioned, the study by
19 Storer and his colleagues at Oak Ridge
20 National Laboratory, it used the largest
21 number of mice in any study on life
22 shortening.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And they still saw between two sets
2 of controls, a 30-day difference in lifespan,
3 which is on the order of what's typically
4 observed in these studies that show apparent
5 lifespan increases, and that's just in
6 controlled.

7 It simply shows that in animal
8 studies or any kind of research, you can have
9 variations in your controls that are hard to
10 explain.

11 MEMBER ZIEMER: Yes.

12 DR. TRABALKA: You've got to be very
13 cautious about how you interpret that data as,
14 for example, representing a real effect on
15 lifespan increases or something like that.

16 Shall we proceed?

17 MEMBER ZIEMER: And that's just an
18 animals where you - they're all alike. Can't
19 go to humans.

20 DR. KOCHER: John, is this kind of
21 information that UNSCEAR used to come up with
22 their two to ten range back in the day?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: The NCRP. Most of it
2 is what NCRP used. Not all of it, because not
3 all of it was available.

4 NCRP came up with the two to ten
5 range, but ICRP came up with their DDREF of
6 two.

7 They used the lower end of the
8 range of animal data, because it was more
9 consistent with what they thought the A-bomb
10 survivor dose-rate response represented.

11 CHAIRMAN RICHARDSON: I have a
12 couple questions, if I could.

13 DR. TRABALKA: Sure. Yes.

14 CHAIRMAN RICHARDSON: The first one
15 was just an issue of understanding the
16 presentation of the data.

17 The scale on - the X scale. I was
18 imagining, if you would move to the right from
19 one, that that next line represents two.

20 DR. TRABALKA: That's correct.

21 CHAIRMAN RICHARDSON: And that if
22 you move to the left from one, you're moving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to 0.9; is that correct?

2 DR. TRABALKA: That's correct. It's
3 a log scale.

4 DR. HOFFMAN: So, you have
5 arithmetic numbers on log scale.

6 DR. KOCHER: I think his point is
7 there's a few ticks missing.

8 CHAIRMAN RICHARDSON: I'm trying to
9 find what's balanced. Where would you get -

10 MEMBER ZIEMER: I think the nine is
11 at the edge of the green.

12 MEMBER ROESSLER: The blue line.
13 (Simultaneous speaking.)

14 CHAIRMAN RICHARDSON: Okay. So, I'm
15 sort of understanding it. Just maybe there's
16 - it's not easy to see. Is that the -

17 DR. TRABALKA: A very complicated
18 data set.

19 DR. HOFFMAN: Yes, but it's a log
20 scale and so all the ticks should be there.

21 CHAIRMAN RICHARDSON: Okay. But the
22 experimental design for the - there's one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 circle - well, there's two circles.

2 I'm trying to imagine an
3 experimental dosing design that conforms to
4 DDREF as opposed to the other two categories
5 you have there.

6 I can imagine an experiment in
7 which we apply an acute dose to animals of
8 different magnitudes and we study the shape of
9 the dose response with an acute exposure.

10 And I can imagine a dosing
11 experiment in which we aim to deliver to the
12 animal the same total dose, but under
13 different periods of protraction.

14 What's the experimental design that
15 conforms to the DDREF?

16 DR. TRABALKA: Actually, I think
17 that symbol should be a square, because these
18 were continuous exposures at varying dose
19 rates. We just have the wrong symbol up
20 there.

21 MEMBER ROESSLER: So, you switch the
22 DDREF and the DREF?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: No, no. On the life
2 shortening data point for the square.

3 MEMBER ROESSLER: Well, within the
4 figure there's around -

5 DR. TRABALKA: Oh, okay. The reason
6 why the DDREF is shown for lung is that when
7 they - this particular data set they were able
8 to fit to a linear quadratic model and to show
9 that you got the same answer as you would get
10 if you were giving individual acute exposures.

11 So, it is both a DREF and an LDEF.

12 So, that's why it's called a DDREF in this
13 case.

14 So, they were able to show that the
15 data, a theoretic linear quadratic model and
16 what you got from extrapolations down to low
17 doses and dose rates was the same.

18 CHAIRMAN RICHARDSON: I would frame
19 that as interpretation of experimental
20 evidence as opposed to an experiment which
21 estimates DDREF.

22 DR. TRABALKA: That's reasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: I guess for
2 me, it gets to a fundamental issue of
3 typically in, for me, I would like to be able
4 to imagine the experiment in which I could
5 test the question.

6 And I can imagine the experiment in
7 which I can understand differences in response
8 under different magnitudes of exposure and the
9 experimental design that conforms to that.

10 And I can imagine the experiment
11 that conforms to understanding the effect of
12 protraction of the exposure over time and
13 answering the question given the same
14 magnitude of exposure when it's protracted,
15 does the effect vary.

16 The DDREF, I believe, is a - kind
17 of a concept that was put forward for
18 administrative purposes. To me, it's
19 conflating those two thought experiments and,
20 you know, for better or worse.

21 So, I was just curious as to
22 whether there existed an experiment like that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TRABALKA: Unfortunately, data
2 are limited even for all the animal studies
3 that have been done. And the Department of
4 Energy terminated their animal studies before
5 all such questions could be addressed.

6 I would agree with you that it
7 would be great to have that set of
8 comparisons, but they simply don't exist. And
9 so, we're left with trying to represent the
10 data we have as best we can.

11 And as I pointed out for that set
12 of data for lung cancer in BALB/c mice, we
13 have both acute exposure information and
14 chronic exposure information. And the linear
15 risk coefficient obtained from comparisons
16 between the risk coefficient from the linear
17 quadratic model and that from the low dose-
18 rate information agreed with one another.
19 Hence, this term "DDREF" can be applied to
20 that data set. It's both a DREF and an LDEF.

21 CHAIRMAN RICHARDSON: It's an
22 experiment that conforms to a -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: A hypothetical linear
2 quadratic representation of the data.

3 CHAIRMAN RICHARDSON: Yes.

4 DR. TRABALKA: Right.

5 CHAIRMAN RICHARDSON: But there's
6 not an experiment that proves that it -

7 DR. TRABALKA: No, no. No, no. I
8 would be the first to say that the animal data
9 don't prove the existence of a linear
10 quadratic dose response.

11 CHAIRMAN RICHARDSON: Yes.

12 DR. TRABALKA: I would also say the
13 animal data for leukemia don't prove the
14 incidence or the existence of a linear
15 quadratic dose response.

16 And if you read that section of our
17 report, you'll see that that is in fact what
18 we said.

19 CHAIRMAN RICHARDSON: Very good.
20 Thank you.

21 DR. TRABALKA: Okay. Should we move
22 on?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay. Prior to 2009, some of the
2 conclusions we had reached were first and
3 foremost that the DDREFs in IREP didn't
4 represent the uncertainties in the data, a lot
5 of new information that was much greater than
6 is represented in any current distribution at
7 the time, including BEIR VII, and based on the
8 limited information available, we suggested
9 that both the LDEF and DREF data should be
10 combined simply because of limitations.

11 And at that time, we were leaning
12 toward using both human and animal data and
13 quantifying DDREF distributions, but things
14 have changed since 2009.

15 One of the things that's happened
16 is that we've gotten the updated
17 epidemiological studies of worker - nuclear
18 workers in the UK, a study published by Colin
19 Muirhead and his colleagues.

20 We now have results for cancer
21 incidence and mortality. We have ERRs for
22 combined solid cancers, in this case, all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cancers other than leukemia that are now
2 statistically significant.

3 We have the first comprehensive
4 results of cancer incidence, and this adds to
5 the results that came from the 15-country
6 study of nuclear workers, which, despite some
7 of the criticisms, is still valid, we think,
8 if you include the entire Canadian cohort as
9 we have done in your deliberations.

10 That study suggested that the risk
11 to workers exposed to low doses and dose rates
12 was at least comparable to those in the A-bomb
13 survivors and could, in fact, be somewhat
14 higher.

15 And the UK study suggests that
16 risks in workers are comparable to those in
17 the LSS cohort. And we talk about that in the
18 next slide.

19 No, no, you missed a slide. Oh, I
20 guess you - we have a slide out of sequence
21 here - no, we're missing a slide. What
22 happened to the slide on DRFs for solid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tumors?

2 MEMBER ROESSLER: We have it in -

3 DR. TRABALKA: You have it in your
4 handout, but I guess it went missing from
5 here.

6 Anyway, if you look at the page,
7 the following page in your handout, if you
8 look at - try to estimate a dose rate - there
9 it is. That's it.

10 Okay. If you estimate a dose-rate
11 effectiveness factor for the UK workers
12 compared to those in the LSS cohort, values
13 are on the order of 1.0 to 1.4. 1.0 for the
14 cancer mortality endpoint, and 1.4 for the
15 cancer incidence endpoint. And of course
16 there's considerable uncertainty in these data
17 because of their origin.

18 If you look at the 15-country study
19 and try to do the same thing for cancer
20 mortality, again emphasizing that we included
21 the entire Canadian cohort, you get a DDREF
22 estimate - point estimate of about 0.55 with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considerable uncertainty.

2 If you look at the data for some of
3 the Chernobyl remediation workers papered by
4 Ivanov and his colleagues in 2006, there's
5 also cancer incidence data available from this
6 cohort.

7 In cancer mortality, you get a
8 DDREF of 0.15 for the point estimate, which
9 suggests that the risks for these people are
10 much, much higher than the A-bomb survivors.

11 However, if you look at the data
12 for cancer incidence, they're about an order
13 of magnitude lower. So, you get a value
14 that's very close or even - I mean, the
15 estimated DDREF would be either equal to or
16 slightly higher than - greater than or equal
17 to one. Let's put it that way and I'll stop
18 there.

19 All right. However, there are
20 problems with those data. And the reason why
21 we say not to be used, is because there are
22 serious issues of cancer ascertainment as is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 obvious when you compare the results for
2 cancer incidence and mortality, and also
3 uncertainties in the doses for these folks and
4 a host of other problems.

5 The paper by Ivanov that was
6 published in Health Physics in 2004, goes into
7 detail on some of these issues. I would
8 recommend that you look at that paper if
9 you're interested.

10 MEMBER ROESSLER: It's in your
11 report in -

12 DR. TRABALKA: We discuss it in our
13 report, yes.

14 MEMBER ROESSLER: That's a 2004 -

15 MEMBER ZIEMER: This is from the
16 2006 -

17 MEMBER ROESSLER: Sure.

18 DR. TRABALKA: Can we continue?

19 If we look at the data for
20 responses, dose responses in the Techa River
21 cohort, and try and estimate a dose-rate
22 effectiveness factor for both incidence and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mortality, and these data don't include bone
2 cancer, you get a value of about 0.6.

3 However, both of these papers
4 provide the caution that these are preliminary
5 estimates, should be interpreted with caution.

6 There are all kinds of uncertainties
7 especially in dose reconstruction, releases,
8 confounders.

9 The population along the Techa
10 River is a very difficult one to use in
11 comparing with any Western or Japanese cohort.

12 We're talking about people who
13 lived in abject poverty, had no medical care
14 prior to the releases that occurred in the
15 early 1950s. Only half of the 27,000 or so
16 people had medical checkups from the Ural
17 Center for Radiation Medicine after that point
18 until -- they really didn't get interested in
19 this until about the 1980s to 1990s.

20 The attempts to dose - the problems
21 with dose reconstruction are quite difficult.

22 I would commend reading Mira

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Kossenko's report, her DTRA report. It's
2 referenced in our - it's in the bibliography
3 that we provided.

4 She goes into great detail on this.
5 And she's been involved in this effort from
6 day one.

7 She and one of her colleagues
8 visited Oak Ridge when we were there. They
9 brought some members of the Techa River
10 cohort. So, we had the opportunity to find
11 out firsthand some of the problems that are
12 involved.

13 In 2008, I was asked to review the
14 revision of their release - radiation release
15 study and there were major problems with it.

16 It was just published in Health
17 Physics this year. It was not - it was
18 published too late to be included in our
19 report.

20 But one of the things that they
21 have noted is that there is an order of
22 magnitude spike, increase, over previous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimates in the last quarter of 1951 in the
2 releases.

3 None of this has been factored into
4 these studies thus far. So, we have to be
5 very cautious about this data.

6 Another problem is that in the
7 cancer incidence study, they were able to fit
8 the data with either a linear model or a
9 quadratic model. They couldn't choose between
10 the two. And at, say, doses of ten milligray,
11 there's a factor of 34 difference in the risk
12 estimates.

13 So, again, the long-term study of
14 this cohort may provide some useful
15 information, but we prefer not to use it in
16 our DDREF calculations at this point.

17 MEMBER MUNN: You're not ever going
18 to have a viable baseline though.

19 DR. TRABALKA: Well, that's another
20 issue. I agree with you.

21 Shall we continue?

22 MEMBER MUNN: Sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TRABALKA: Okay. Now, also in
2 2009, a rather influential paper appeared by
3 Peter Jacob and his colleagues and it was a
4 synthesis of 12 occupational environmental
5 exposure studies.

6 They compared risk with the LSS
7 cohort attempting to match the ages at
8 exposure and attained - they attempted to do
9 this. It's rough, but I think they did a good
10 job on that part of the study. And rather
11 than calculate DDREFs, they calculated risk
12 ratios.

13 In other words, comparing risks in
14 the worker cohort or the Techa River study,
15 for example, with those in the A-bomb
16 survivors, but flipping it using the inverse
17 of DDREF.

18 And what that does is helps you
19 avoid infinities that occur in calculations,
20 because you have dose responses where the
21 ERRs, for example, are so wide that they go
22 below zero on a lower bound of the confidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limits. They applied an inverse variance
2 approach to weighting the values. The
3 equivalent DDREFs in the 12 studies range from
4 0.05 to infinity.

5 But when they do their inverse
6 variance weighting scheme, they come out with
7 risk ratios of greater than one that convert
8 to DDREFs of 0.5 to one. 0.5 to one. Inverse
9 of one is one.

10 So, anyway, what they did is they
11 developed three groupings of occupational and
12 environmental exposure data for either cancer
13 mortality or incidence.

14 They had one group where they had
15 seven sets of data on cancer mortality, another
16 group where they had four - a different group
17 with four sets of data on cancer mortality,
18 and then one group that had three sets of
19 information on cancer incidence only.

20 The main result was based on the
21 seven studies of cancer mortality and the risk
22 ratio was 1.2. And then when you invert it,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you come up with a DDREF of roughly 0.8 with
2 confidence limits of 0.53 to two.

3 Now, there are a number of issues
4 with that study. And I won't go into all of
5 them, because there's a whole section of our
6 report that covers it.

7 I found several more issues with it
8 in getting ready for this meeting. So, those
9 will have to be included at a later date.

10 There are many who thought this was
11 the answer when it came to DDREF, but then
12 there were a lot of people who thought that
13 the BEIR VII report was the answer, and there
14 were a number of people who thought that the
15 French National Academy study was the answer,
16 and none of these studies agreed with one
17 another. And certainly the DDREF estimates
18 they produced would be very, very different.

19 Before we move on, are there any
20 questions about this?

21 MEMBER ROESSLER: What section of
22 your report is it in?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TRABALKA: I can't give you the
2 exact section right now. It starts on Page
3 149.

4 MEMBER ROESSLER: That's good
5 enough. It's such a big report.

6 DR. TRABALKA: A mighty tome.

7 MEMBER ROESSLER: Yes, it is.

8 DR. TRABALKA: And as some of my
9 colleagues would say, opaque.

10 (Laughter.)

11 MEMBER MUNN: Nicely done.

12 DR. TRABALKA: Are we ready to
13 continue?

14 DR. KOCHER: Could you allude to
15 some of the problems with that study, maybe?

16 DR. TRABALKA: Well, one of the
17 problems of course is that they used - despite
18 the fact that most of these studies did not
19 have normally distributed confidence limits
20 and they assumed that they were normally
21 distributed and estimated a standard error,
22 and then they - so they have ratio - and this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 includes the A-bomb survivor data.

2 So, they have ratios of two normal
3 distributions, which produces a Cauchy
4 distribution, which has an undefined mean and
5 variance.

6 The median is well-defined, but a
7 Cauchy distribution has very long tails.
8 Their results were given as 90 percent
9 confidence intervals.

10 And if you look at the results and
11 you start looking at 95 percent and 99 percent
12 confidence, you see that they've ballooned.
13 So, there's some issues related to that.

14 I'm not going to hit them over the
15 head with that part because, you know, they
16 were trying to come up with a better way of
17 looking at the data to estimate what DDREFs or
18 risk ratios might be.

19 Data selection is a bigger issue.
20 We think that more consideration should have
21 been given to weighting the studies according
22 to their value and their - as I pointed out,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are problems with the Chernobyl worker
2 studies, the clean-up workers, the Techa River
3 studies.

4 They also were limited in some of
5 the studies that they used. For example, they
6 left out the nuclear power plant worker study
7 by Howe and company, they left out the Cogema
8 Nuclear Power Plant worker study by Rogel and
9 company, and the Idaho National Laboratory
10 study by Mary Schubauer-Berigan and her
11 colleagues from NIOSH.

12 In the 15-country study, all three
13 of those cohorts were assigned a negative
14 risk. Of course if you would put those back
15 into this deliberation, I think you're going
16 to change the results somewhat.

17 Also, the - well, that's enough for
18 now. I would rather that you read that
19 section. And then if you have any questions,
20 I'd be happy to answer them. Written
21 responses, or just talk to you on the phone.

22 DR. KOCHER: Yes, there have been a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lot of studies of various kinds that have done
2 this inverse variance weighting scheme. And
3 that just always raises a red flag in my mind,
4 that's a way to deflate uncertainty and -

5 DR. TRABALKA: I don't like to
6 deflate uncertainty unless I have a really
7 good reason for so doing.

8 Should we move on?

9 MR. KATZ: I thought David
10 Richardson had some questions.

11 David, did you have some questions
12 or points?

13 CHAIRMAN RICHARDSON: Yes. Well,
14 there were two questions.

15 Right now you're reviewing
16 literature, if I'm correct, which is focusing
17 on dose-rate effects. These are the Jacob and
18 the workers compared to the LSS, and public
19 and environmental exposures compared to the
20 LSS.

21 You're focused on investigations in
22 which an estimate under a linear model for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 magnitude of excess risk per unit dose is
2 compared between populations where you're
3 presuming the exposures are protracted over
4 time, to populations in which there's an acute
5 exposure.

6 DR. TRABALKA: That's correct. And
7 linear risk coefficients are being compared in
8 both cases.

9 CHAIRMAN RICHARDSON: Right. So,
10 this is one - as I'm trying to map this out to
11 my thought experiment and to - this is a focus
12 on the category of solid cancer risk estimates
13 when we have protraction over time and
14 exposure.

15 DR. TRABALKA: That's correct.

16 CHAIRMAN RICHARDSON: And we're not
17 looking at leukemia here, because the thought
18 experiment is that the acute exposure response
19 shape is - does not have a magnitude - the
20 magnitude of that relationship is not affected
21 by whether the dose rate is higher or lower.
22 Which, to me, is an empirical question, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's an assumption that's built into that.

2 I would like to separate out the
3 categories of the shape of the exposure
4 response curve, where you can do a dosing
5 experiment and for a given magnitude of dose
6 we see that the response varies as a linear
7 quadratic function, and then we protract that
8 exposure over time and we might ask whether
9 the response is insensitive to the protraction
10 of exposure over time.

11 DR. TRABALKA: Right.

12 CHAIRMAN RICHARDSON: That's off the
13 table here, right?

14 DR. TRABALKA: Well, specifically
15 for leukemia for chronic exposure, what is
16 done is to take the linear risk coefficient
17 from the linear quadratic model and apply -

18 CHAIRMAN RICHARDSON: I understand.
19 I understand that. I'm pointing out that -

20 DR. TRABALKA: But the issue of
21 whether or not there is also information that
22 would suggest that you need to apply, let's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say, a DDREF to that is still an issue.

2 And I think at the moment, we
3 simply don't have enough information to be
4 able to answer that question.

5 CHAIRMAN RICHARDSON: I think we
6 have all exactly the same information,
7 actually, as we do for the solid cancers.
8 There are leukemias in the A-bomb data, and
9 there are leukemias in all of these
10 epidemiologic cohorts.

11 DR. TRABALKA: But what we don't
12 have is the latest iteration based on the DS02
13 dose response for leukemia in the A-bomb
14 survivors. That has yet to be published.

15 CHAIRMAN RICHARDSON: For what
16 outcomes are you considering?

17 DR. TRABALKA: Leukemia, multiple
18 myeloma, lymphoma -

19 CHAIRMAN RICHARDSON: You mean for
20 mortality?

21 DR. TRABALKA: No, no, incidence.
22 Remember, IREP is used to estimate cancer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incidence.

2 CHAIRMAN RICHARDSON: But we've just
3 been reviewing a set of comparisons most of,
4 for example, Jacob's work, I believe, is
5 mortality data, right?

6 DR. TRABALKA: Yes. And for cancer
7 incidence, the DDREF is about one, for
8 example, based on the three studies that they
9 included.

10 I think if you take out the data
11 for the Techa River studies and then redo it,
12 you get a DDREF that's close to one and a
13 half, but that's for the future discussion.

14 CHAIRMAN RICHARDSON: Yes.

15 DR. TRABALKA: That's not covered in
16 our report.

17 CHAIRMAN RICHARDSON: And you said
18 that the French Academy came to a different
19 conclusion than the, what I would call a meta-
20 analysis by Jacob.

21 Did they do their own meta-analysis
22 of these empirical data, or did they derive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their conclusions some other way?

2 DR. TRABALKA: They weren't looking
3 specifically at DDREF. They were looking at
4 the - or reviewing dose response model
5 information.

6 So, they were reacting to the BEIR
7 VII report that suggested there should be
8 linearity in the low-dose response. And their
9 conclusion was that phenomena such as hormesis
10 and thresholds were very highly likely at low
11 doses and dose rates.

12 And so, the issue was on the dose
13 response, of course that has a tremendous
14 effect on potential DDREFs.

15 CHAIRMAN RICHARDSON: So, it wasn't
16 derived from, let's say, observational data
17 from epidemiologic studies and -

18 DR. TRABALKA: They included
19 epidemiological studies in their evaluation,
20 yes. But it was mostly based on animal
21 studies, laboratory studies and things like
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: Thanks.

2 DR. TRABALKA: Okay. Ready to move
3 on?

4 So, anyway, as I said back around
5 2009 we thought probably it would be best to
6 combine human and animal data. But now, our
7 current approach focuses on human
8 epidemiological data for combined solid tumors
9 to estimate a DDREF.

10 We have attempted to estimate DDREF
11 distributions for thyroid and breast cancer
12 and we've compiled information on lung and
13 bone cancers.

14 And we're going to use animal data
15 - or have used animal data as a check on our
16 results, but not in quantitative derivation of
17 the DDREF distribution.

18 And we concluded from our review
19 that separate distributions for thyroid and
20 breast cancers are not warranted, because
21 there's much more uncertainty in the dose
22 responses than formerly considered.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The EPA in its 2011 revision of the
2 blue book, basically came to the same
3 conclusion. They're using common DDREF for
4 all solid cancers.

5 Now, there was another point I was
6 going to make here, but it's flown away. So,
7 I'll wait and respond to questions.

8 (No response.)

9 DR. TRABALKA: Oh, okay. That's
10 good. Go on. Let's go on to the next slide.

11 This slide summarizes some of the
12 information, but the information that we did
13 involves studies where we have combined solid
14 cancer data available for estimation of DDREF.

15 Comparing the results from the UK
16 worker study, the updated worker study with
17 the LSS cohort gives us a DDREF. And these
18 are 90 percent confidence intervals shown on
19 this slide, I should point out.

20 The next two values are LDEFs that
21 were obtained from the latest iteration of A-
22 bomb survivor data, basically the DS02-based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dose response information sets.

2 BEIR VII and Preston and company in
3 a 2007 paper, produced results that are very
4 similar. The uncertainties are different, but
5 the point estimates are identical of DDREFs -
6 or LDEFs at 1.3.

7 Continue on. The next set of data
8 are those on cancer mortality from a variety
9 of studies. There's only one DS86-based data
10 set there. That's the one from Linda Walsh
11 and her colleagues published in 2004.

12 A variety of estimates obtained
13 from the RERF folks typically for mortality,
14 the point estimates are between one - are
15 closer to two than one.

16 The linear quadratic exponential
17 model that Little and his colleagues developed
18 for the UNSCEAR report has very wide
19 confidence limits. It was reported to fit the
20 data better than linear quadratic model, but
21 it has extremely wide confidence limits and
22 its point estimates are slightly greater than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 two.

2 If we look at the comparisons
3 between the 15-country study including the
4 entire Canadian cohort and that with the UK
5 workers using mortality, you get values as I
6 mentioned earlier from 0.55 to around one.

7 Now, what we did is we assign a
8 much higher weight to values based on cancer
9 incidence, because IREP is -- the endpoint in
10 IREP is cancer incidence.

11 We assign essentially equal weights
12 between the A-bomb survivor data and that
13 obtained from comparisons between worker
14 studies and the LSS cohort.

15 That can be argued as, you know, it
16 should be looked at, but we applied a wide
17 range of sensitivity and uncertainty studies
18 to our result.

19 We even looked at assigning a
20 higher weight to a fixed value of one. We
21 assigned a 25 percent weight for that.

22 In another case, we assigned a 25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent weight to a threshold which is the
2 value of infinity and we did that in
3 combination. And what you find in doing that
4 is that the point estimates don't vary all
5 that much.

6 The upper bounds on the DDREF
7 distributions of course vary tremendously. If
8 you put a value of infinity in there, your
9 upper bound is going to be infinity, but the
10 lower end of the confidence limits and the
11 point estimates don't vary by much with a
12 result that it's that region of the DDREF
13 distribution that drives the 99th percentile
14 of PC in IREP.

15 So, you don't - in fact, most of
16 the distributions we came up with would
17 probably produce - will produce higher
18 estimates of PC than in current distribution
19 in IREP.

20 Well, let's continue on.

21 DR. NETON: I want to explore that a
22 little bit. You're saying you did sensitivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analyses.

2 DR. TRABALKA: Right.

3 DR. NETON: Explain that a little
4 more to me.

5 DR. TRABALKA: We looked at
6 different weights, the assignment of different
7 weights, for example, to the incidence data
8 versus the mortality data.

9 We ran a case where the - all of
10 our results were done using Monte Carlo
11 simulations.

12 DR. NETON: Right, yes.

13 DR. TRABALKA: And we did - and we
14 ran cases where we did attempt an inverse
15 variance approach to the data to try and
16 reduce uncertainty.

17 But with all of the ones we ran, as
18 I pointed out, we didn't have a big - the
19 point estimates varied from 1.1 to 1.6, with a
20 center probably around 1.3.

21 DR. HOFFMAN: In an attempt to come
22 up with a state of knowledge distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representing uncertainty on DDREF, we looked
2 at multiple approaches. This is what's called
3 their sensitivity analysis.

4 DR. NETON: Right.

5 DR. HOFFMAN: So, we have our
6 preferred approach, and then the report - I
7 don't know if that section has been delivered
8 or not.

9 So, this is the section of the
10 report that hasn't been delivered, which would
11 show the outcome of the sensitivity analysis
12 and how many different iterations were used to
13 not only express our overall state of
14 knowledge, but to look at the impact of these
15 various distributions of DDREF on the upper
16 99th percentile of the Probability of
17 Causation for specific diseases.

18 DR. NETON: In hypothetical cases?

19 DR. HOFFMAN: In hypothetical cases.

20 DR. NETON: See, my concern is that
21 the central estimate really is what's going to
22 drive a change in PC, because the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distributions are so tight compared to the
2 other uncertain distributions in IREP.

3 Any incremental change in the
4 central estimate of the DDREF will result in a
5 corresponding incremental change in the PC
6 calculation.

7 So, the central estimate really - I
8 don't care how much wider you make your
9 uncertainty bounds on that distribution, it's
10 not, I don't think, going to have much of an
11 affect on the overall PC change.

12 What will change is probably a
13 change in central -

14 DR. HOFFMAN: But what we're saying
15 is central estimates don't change much. Nor
16 does the lower bound. But the upper bounds
17 change dramatically.

18 DR. TRABALKA: But what Iulian and
19 Brian discovered in looking at what was
20 driving PC from DDREF distributions, was that
21 values between the fifth and the 40th
22 percentile were driving the 99th percentile PC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimates.

2 So, it is the lower end of the
3 distribution.

4 DR. NETON: I don't know if you
5 tried it with real cases or not.

6 DR. TRABALKA: Well, no. Obviously
7 we couldn't.

8 DR. NETON: You can only do so much
9 in a hypothetical situation.

10 DR. TRABALKA: That's correct.

11 DR. NETON: I think if you try real
12 cases, you might see something different.

13 DR. TRABALKA: And you could argue
14 that we need to do additional sensitivity
15 analysis. That's a fair statement.

16 CHAIRMAN RICHARDSON: So, have you
17 ended up with a histogram?

18 DR. TRABALKA: Actually, we have
19 developed continuous distributions, not
20 histograms.

21 CHAIRMAN RICHARDSON: And when you
22 were describing the upper tail, I'm imagining

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a distribution that has a long tail on the
2 right.

3 DR. TRABALKA: Well, for example,
4 I'll give you an example of how it might look.

5 A point estimate, let's say, of around 1.3,
6 fifth percentile at 0.5, and 95th percentile
7 at five.

8 That's just one, you know, that
9 might represent our preferred distribution at
10 the moment. That could change with future
11 analysis.

12 You can compare that with what you
13 get when comparing the studies - or the study
14 of Jacob of the history cases and with the
15 distribution that's currently in IREP for the
16 combined solid cancers and that from the BEIR
17 VII DDREF distribution.

18 Both of those have tighter
19 confidence limits.

20 MEMBER ZIEMER: Could you clarify on
21 the third -- or the second study by Little,
22 what does that say at the low end of that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: It means that the
2 confidence limits were wide enough they would
3 have extended below zero for a DDREF when you
4 do a Monte Carlo simulation.

5 MEMBER ZIEMER: Oh.

6 DR. TRABALKA: They provided
7 parameters for a linear quadratic exponential
8 model with uncertainty.

9 And when you run a Monte Carlo
10 simulation, what that represents in terms of a
11 DDREF, that's what you get.

12 MEMBER ZIEMER: Is that a minus 13?

13 DR. TRABALKA: Minus 13, that's
14 correct. That's correct.

15 MEMBER ZIEMER: All right.

16 DR. MAURO: This is John. Quick
17 question.

18 When you get a minus, would that be
19 interpreted as a hormetic effect?

20 DR. TRABALKA: It could be, or it
21 could just be representing noise because of
22 the wide uncertainty.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay.

2 DR. TRABALKA: I would interpret it
3 as a hormetic effect. And of course in IREP
4 since values less than zero are - or the risk
5 distributions are truncated at zero, we
6 probably should have truncated that right at
7 zero ourselves, but we just wanted to show the
8 entire data set.

9 DR. MAURO: One follow-up question
10 on that.

11 In the 95 percent confidence
12 intervals that you have been discussing, do
13 any of those intervals include negative values
14 if you propagated the distribution without
15 truncating?

16 DR. TRABALKA: Which distribution?
17 These are all 90 percent confidence intervals.

18 DR. HOFFMAN: He doesn't have
19 slides.

20 DR. TRABALKA: Oh, sorry. These are
21 all -

22 DR. MAURO: Yes, unfortunately, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't have slides.

2 DR. TRABALKA: No, in our preferred
3 distribution, we have no negative values.

4 DR. MAURO: Okay. Thank you.

5 MEMBER ROESSLER: Can I ask a
6 question on this?

7 CHAIRMAN RICHARDSON: It seems to me
8 you'd be more concerned about values of zero
9 than even negative values.

10 You take the risk coefficient and
11 divide it by zero and get infinity.

12 DR. TRABALKA: Yes.

13 DR. HOFFMAN: None of our
14 distributions have zero.

15 DR. TRABALKA: Go ahead.

16 MEMBER ROESSLER: Yes, just looking
17 at this figure and looking at your central
18 estimates, I don't totally understand all your
19 sensitivity analysis.

20 But it seems like if you, for the
21 time being, kind of set the Jacob studies
22 aside, that you're putting a lot of emphasis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on the Cardis or the 15-county data, you know,
2 compared to all those other sets that appear
3 in kind of a grouping there.

4 DR. TRABALKA: Well, actually I
5 should have given you some more details of how
6 we did all this.

7 DR. KOCHER: The answer isn't shown
8 here.

9 DR. TRABALKA: Well, I know.

10 MEMBER ROESSLER: Okay.

11 DR. TRABALKA: And of course there's
12 a reason for that.

13 But when we pooled the data, we
14 first pooled all of the LDEF information from
15 the A-bomb survivors, ran a Monte Carlo
16 simulation that applied roughly equal weights
17 to each one of those values that's represented
18 by a solid triangle, slightly lower weight to
19 the value for Walsh and company's value simply
20 because they didn't provide a lower confidence
21 limit and we had to estimate it.

22 Once we got that answer, we pooled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it with the information obtained from the
2 comparison of the 15-country study and the UK
3 study, but those two sets were given equal
4 weight.

5 So, the 15-country study is not
6 dominating at this point.

7 MEMBER ROESSLER: Okay. Then another
8 question. The French Academy study, you
9 mentioned that earlier. And you're not
10 considering that, and can you give me some
11 reasons why that was not part of your
12 evaluation?

13 DR. TRABALKA: Well, we evaluated
14 that information. ICRP evaluated it in their
15 2005 report, and we just don't think that
16 there's enough credible information in that
17 report to use.

18 DR. NETON: That wasn't really a
19 DDREF study.

20 DR. TRABALKA: No, it wasn't a DDREF
21 study.

22 DR. NETON: It was really a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commentary on LNT. That's my recollection.

2 DR. TRABALKA: Exactly. Exactly.

3 DR. KOCHER: And one of the things
4 that I try to remember in this whole business
5 is that we're starting with an LNT model.
6 That's a given.

7 And so, we're trying to estimate if
8 DDREF would apply to that model, warts and
9 all.

10 MEMBER MUNN: And I think that we
11 challenge that concept.

12 DR. KOCHER: Yes, but that's not -
13 the rules of the game have been fixed.

14 DR. TRABALKA: I should also point
15 out that a brand new study of the A-bomb
16 survivors, cancer mortality in the A-bomb
17 survivors has just been published in 2012.

18 And the DDREF estimate we've
19 obtained from that set of information is 1.8.

20 And so, it falls within the range of all
21 these other values.

22 However, there were some other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting ramifications to that study that
2 suggest that you have to be cautious in
3 writing off super linearity at low doses.

4 So, that's why values less than one
5 cannot be eliminated from DDREF distributions.

6 We have to be very, very cautious right now.

7 We have plenty of indications of
8 supralinearity in some of the human data.

9 MEMBER ROESSLER: And this new study
10 is by whom?

11 DR. TRABALKA: Ozasa et al. I think
12 it's the first one that I've seen where Donald
13 Pierce and Dale Preston haven't been involved.

14 MEMBER ROESSLER: And where was this
15 published?

16 DR. TRABALKA: In Radiation
17 Research.

18 MEMBER ROESSLER: Okay. Okay.

19 DR. TRABALKA: I think it may be
20 January. Somewhere in the January to March
21 frame.

22 DR. HOFFMAN: So, fairly recent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TRABALKA: Yes, well after the
2 draft date of our report.

3 MR. KATZ: Do folks want to break?
4 We've been going at it for an hour and a half
5 or more.

6 DR. TRABALKA: There's one more
7 slide, but it's just a quick wrap-up.

8 MR. KATZ: That's fine. That's
9 fine. Why don't we do that? That makes sense
10 then.

11 DR. TRABALKA: Well, basically our
12 own conclusion is that we can develop a
13 distribution of DDREF that represents the
14 current state of knowledge, it has wider
15 ranges, a central estimate closer to one.

16 It contains also most of the point
17 estimates from the animal data. It's just
18 that they're not given the highest priority as
19 they have been in the past in some of the
20 estimates.

21 However, our conclusions are
22 preliminary until selection and interpretation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of our data and our choices of alternative
2 distributions have been vetted. That has not
3 been done.

4 We've tried to get some comments
5 from the ICRP Committee on Section 5 and our
6 approach to doing DDREF distribution
7 estimates, but we have not been successful
8 thus far except for the comments from Peter
9 Jacob.

10 However, one thing that Peter Jacob
11 did that was very useful, was the idea of
12 using risk ratios where you invert -- it's the
13 inverse of DDREF to avoid infinities in
14 calculation.

15 So, in future it might be better to
16 try and do calculations that way and it would
17 simplify calculations. At least give
18 consideration for doing that in the future.
19 And that's it.

20 MR. KATZ: David, before we go on
21 break, David, Bill or Dick, do you have any
22 questions you want to raise before we break?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: No, I suggest
2 we take a break.

3 MR. KATZ: Okay, good. So, we'll
4 rejoin in 15 minutes, maybe, which will be
5 about 11:00.

6 (Whereupon, the proceedings went
7 off the record at 10:48 a.m. and resumed at
8 11:01 a.m.)

9 MR. KATZ: Okay, everyone's back in
10 the room, but let me check on the line. Do we
11 have David and Bill and Dick?

12 MEMBER FIELD: Bill is here.

13 CHAIRMAN RICHARDSON: David
14 Richardson, yes, I'm here.

15 MR. KATZ: Okay. I don't hear Dick,
16 but I think we can restart. So where are we?

17 DR. TRABALKA: We're done with our
18 component, except for questions that folks
19 still want to ask.

20 MR. KATZ: So, I don't know if you
21 could hear John. He's offering up if there
22 are questions people want to raise, they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 finished presenting.

2 CHAIRMAN RICHARDSON: Maybe we could
3 start with a question about the timeline that
4 NIOSH is imagining for kind of finalizing and
5 releasing a draft of this report for the
6 Committee on those final sections.

7 DR. NETON: Good question, David.
8 This is Jim. I think that we need - NIOSH
9 would like to submit this report for external
10 peer review very much like we did the
11 radiation effectiveness factor work, or that
12 SENES did.

13 We would solicit at least three
14 subject matter experts, up to five, to provide
15 comment on the full report, including the
16 conclusionary sections.

17 We're willing to move forward with
18 that very quickly as soon as, I think, John
19 wants to make a couple changes to some errors
20 that he's noticed in the report.

21 But that process is not probably,
22 from my experience, going to be real quick. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mean, we have to allow - we have to first
2 identify the experts, get them to agree. It's
3 a fairly lengthy report. I would envision
4 that in the three to six-month time frame is
5 where I would go.

6 But I think at this point,
7 sufficient information, it's been
8 consolidated, put together, that it's got to
9 go out for review.

10 And until we get that input, I
11 don't know that we can release the - I would
12 not feel comfortable releasing a full report.

13 CHAIRMAN RICHARDSON: No, sure. I
14 was just wondering how -

15 DR. NETON: And once that occurs,
16 though, I think then we would open this
17 document up for -- essentially, I would think
18 at that point.

19 And I need to work this through the
20 process, but I would assume that we would open
21 it up for public comment, which would include
22 the Working Group, and at some point the full

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Board as well, with our recommended path
2 forward.

3 We would take the subject matter
4 experts, respond to their - working with
5 SENES, respond to their comments, concerns,
6 and then adopt a position.

7 We're treading somewhat cautiously
8 here, because this is a major, major change to
9 IREP. We've done other changes in the past
10 that were minor, in my opinion, compared to
11 this.

12 And I'm struggling with the idea of
13 our charge to rely on consensus science for
14 our scientific approaches. And at this point
15 right now, we have our contractor's opinion as
16 to where things are and we'll move forward
17 with the subject matter expert reviews, and
18 then full public comment.

19 So, it will be three to six months,
20 I guess, is the best I can -

21 MEMBER ROESSLER: So, you think you
22 can get the subject matter reviewers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 accomplished in three to six months?

2 DR. NETON: Well, probably closer to
3 six months.

4 MEMBER ROESSLER: Yes.

5 DR. TRABALKA: With some arm
6 twisting.

7 MEMBER ZIEMER: That's at the 40
8 percent confidence level.

9 (Simultaneous speaking.)

10 DR. NETON: I would say six months
11 is doable. I mean, if we could identify
12 folks, we have a fairly quick mechanism. We
13 don't - we will essentially do this like we do
14 other documents of this type.

15 We would offer an honorarium. We
16 don't hire people to do this. We offer an
17 honorarium to review it and it goes quicker.

18 And then it's just a matter of
19 finding someone with the time to read almost a
20 400-page report, which maybe six months is a
21 little bit optimistic because it's a tome.

22 MEMBER ROESSLER: So, you at NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pick the reviewers.

2 DR. NETON: Yes, that's the way
3 we've worked it in the past. I think it's
4 incumbent upon us to do that.

5 We certainly accept input from
6 anyone as to what potential reviewers would be
7 available or might be appropriate because we
8 would want to get a spectrum of reviews, not
9 just one-sided.

10 MEMBER ZIEMER: Is SENES done with
11 those missing sections or close to done?

12 DR. NETON: Oh, they're done.

13 DR. HOFFMAN: The missing sections
14 are complete.

15 DR. NETON: Yes, I just - it's been
16 my holdback or at my request that they be held
17 back because I'm not comfortable releasing
18 their conclusions given the document had not
19 really been reviewed externally.

20 MEMBER ZIEMER: Gotcha.

21 DR. KOCHER: The business about
22 consensus is tricky. I mean, I can't sit in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your seat politically, but the REF work was
2 certainly not any kind of consensus thing
3 because it was new and a consensus -- consensi
4 take time to develop after new stuff comes
5 out.

6 Now, this is not a new concept, but
7 the approach to doing it is, in my judgment,
8 pretty new.

9 DR. NETON: Well, to the extent
10 there are other consensus organizations out
11 there that have a different opinion. I mean,
12 the BEIR VII report, it differs from that. I
13 guess what else is - does UNSCEAR have their
14 own distribution? I think that they -

15 DR. TRABALKA: UNSCEAR uses a linear
16 quadratic model for cancer mortality. So,
17 they don't apply DDREF. It's inherent in the
18 model.

19 And for cancer incidence, they just
20 said, hey, it's close enough to linearity,
21 we're not going to worry about it, we're just
22 going to use the value of one, just assume

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's one.

2 DR. NETON: So, to some extent, this
3 is groundbreaking, I mean, what we're doing
4 here. And I'm, like I said, we're moving
5 cautiously.

6 But I would be comfortable going
7 out and getting three, hopefully up to five,
8 independent reviews that we would solicit, get
9 those reviews back, work to address the
10 concerns.

11 And then I'm reasonably certain
12 that we would go out for public comment in
13 some kind of a Federal Register Notice to say,
14 here is our intent. Once we formulate and
15 come to a conclusion based on the report, the
16 revised report, this would be our intent.

17 Or, I mean, that's presuming that
18 we came to conclusion to revise the DDREF. I
19 mean, I don't want to presume that all the
20 comments that we see come back, you know, and
21 say, yes, this is the greatest thing to do and
22 proceed down this path.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's also possible that a
2 conclusion could be made that now is not the
3 appropriate time. I don't know. I don't want
4 to comment either way, I guess.

5 MEMBER MUNN: Well, "consensus" is a
6 dangerous word.

7 DR. NETON: Yes, it is.

8 MEMBER MUNN: And certainly human
9 history has not shown it to be always the
10 wisest choice with respect to scientific
11 endeavors. So it would be wise to be cautious
12 with that.

13 MR. KATZ: Yes, I think just to
14 clarify what we said up front in the
15 regulations about new science was that as
16 authoritative groups and so on, consensus
17 groups produce new findings, recommendations,
18 those would be taken into account and serve as
19 drivers for the program to reconsider its
20 science, but that doesn't limit the program to
21 only awaiting, BEIR reports, etc.

22 MEMBER ROESSLER: So, as a Work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Group, what is our - I guess David maybe knows
2 the answer to this. But as a Member of the
3 Work Group, what is our responsibility at this
4 point?

5 Just to follow the development as
6 it goes and be prepared to present to the
7 Board what we've observed and -

8 MEMBER ZIEMER: I think we have to
9 help the Board with whatever comments the
10 Board is going to make.

11 David actually had drafted some
12 sort of straw men comments which I think will
13 have to await the review of this, David,
14 until, you know, but I think that's the nature
15 of what we would do, because I think our
16 Board, the full Board, is going to depend on
17 us coming to them with a recommendation as to
18 what the action should be.

19 So, we can do the - we could
20 develop the recommendation and wordsmith it
21 and get it ready for eventual adoption, but it
22 seems to me we're going to have to await the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outside review.

2 Because perception-wise for this to
3 look like some sort of independent consensus
4 in a sense, I mean, it would be different if
5 an international group or NCRP had come out
6 with some - that you guys are ahead of them on
7 this, it appears to me, and there's even the
8 possibility that they would end up adopting
9 whatever the endpoint is here.

10 But in any event, if we have good
11 external reviewers that are seen by the public
12 as not having a vested interest in the outcome
13 would be very important.

14 People of recognized stature, it's
15 true you have to pay them a stipend. But I
16 think even on the CLL thing, we had different
17 views on that. And then we made a decision,
18 but we want to get the pros and cons on this
19 and then go from there.

20 I mean, even SENES will be seen as
21 having a vested interest here because you guys
22 have a contract to do this and, you know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 People, their perceptions are you're getting
2 paid to produce what the government wants.

3 I mean, that's how people look at
4 these things. We see that all the time. So,
5 we get these independent reviews and we can go
6 from there.

7 David, I wasn't trying to preempt
8 you, because you've done a straw man thing
9 which I think is the sort of thing we need to
10 provide for the Board in fleshing out the
11 detail.

12 This is much more complex than I
13 anticipated it was going to be at the front
14 end.

15 CHAIRMAN RICHARDSON: Yes, the task
16 that you suggested, I think we'll end up
17 having to do of when NIOSH makes their - or
18 puts forward something to be able to comment
19 on. And I think it would be very useful to be
20 able to comment on it and the reviewers'
21 comments as well.

22 That's sort of - it makes it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 somewhat unusual for the kind of list of
2 issues that the Work Group has before it,
3 because it's something that NIOSH is moving on
4 independently and is relatively far ahead on.

5 But the, you know, when we started
6 out laying our scope of task, it was to
7 identify issues that would be impacting the
8 risk models and suggest or raise questions
9 that would be kind of at least necessary to
10 think about for moving forward on the issues
11 and report just back the status of these
12 scientific issues.

13 It would be possible for us to do
14 that in sort of a modest scope, or we can hold
15 off and sort of table the issue and wait for
16 NIOSH to put forward their opinion. So, that
17 would be either.

18 I mean, many of the issues that I
19 think in the long run we'll be dealing with
20 are not going to be like this.

21 MEMBER MUNN: I should hope not.

22 CHAIRMAN RICHARDSON: But like this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in a sense that NIOSH may be considering or
2 reacting on it while this Work Group is really
3 not supposed to be - I don't think is supposed
4 to be proposing distributions or something
5 like that for parameters that NIOSH uses, but
6 rather kind of looking at broader issues.

7 MR. KATZ: Yes, I mean, David, I
8 mean, I think the Work Group is free to
9 comment as it will. I mean, it sounds like
10 the comment on technical matters really needs
11 to await the peer review and so on.

12 But to the extent that there are
13 already points of view or whatever that they
14 should take into account before sending it off
15 to the peer reviewers, I mean, I think that
16 would be valuable.

17 So, I don't think the Work Group is
18 restrained or the Members from providing
19 whatever kind of comments they might - or
20 point of views they might have up front here.

21 MEMBER MUNN: But by the same token,
22 it's sometimes wise for groups especially like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this Work Group, I think, to take a step back
2 and reevaluate precisely what our
3 responsibilities are in this regard.

4 It's not our responsibility to
5 identify the science, I think. I haven't seen
6 any indication of that in our instructions.

7 CHAIRMAN RICHARDSON: To identify
8 the science, did you say?

9 MEMBER MUNN: Yes. It is -- I
10 believe our responsibility is to assure that
11 the agency is performing the best science
12 available in their activities, and our
13 oversight role is one of oversight as I have
14 interpreted it.

15 Perhaps my interpretation is
16 simplistic. But if that's the case, then the
17 results of the developments in science that
18 occur during the period of time that this
19 program is operable may have results that a
20 Work Group such as this one probably should
21 consider in our deliberations before we go too
22 far in our involvement in the development of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the science itself.

2 CHAIRMAN RICHARDSON: I guess I have
3 a different view of that. I think the Science
4 Issues Work Group has a responsibility for
5 identifying scientific or technical issues
6 that impact on the risk models.

7 So we do, we should identify where
8 we think there are either issues that relate
9 to validity, clarity or the scientific basis
10 for the compensation decisions that come
11 through the program.

12 MEMBER MUNN: Well, yes, I don't see
13 that as conflict with what I said, David.

14 CHAIRMAN RICHARDSON: Okay. Maybe I
15 wasn't understanding you.

16 MEMBER MUNN: No, no. I'm just
17 saying -

18 DR. KOCHER: You're not wanting to
19 tell NIOSH which data set they should use to
20 solve a problem.

21 MEMBER MUNN: Exactly. Exactly.
22 But I certainly think that we are charged with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the responsibility of taking a look at it and
2 saying, yes, this is good science.

3 CHAIRMAN RICHARDSON: Right. So,
4 this is where kind of going back to the
5 slides, the very early slides that showed
6 what's currently done with IREP. There's a
7 flow diagram, and then there's a set of
8 histograms.

9 And where I, you know, what's sort
10 of been discussed right now relates to the
11 histogram.

12 I find the whole thing a little bit
13 mind-boggling, the flow diagram and kind of
14 the - I find that there's a level of
15 complexity there and a set of varied
16 assumptions. And then a lot of judgment that
17 to me if I was going to point to NIOSH about
18 places to think about this aspect of the
19 compensation program, I would say this - I
20 find this unsettling.

21 I mean, it's not that it's unique.
22 There are other organizations which have made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a set of similar assumptions, but there - I
2 mean, it's, you know, as the kind of
3 justification, well, this histogram was drawn
4 by Ethel Gilbert based on her judgment about
5 those parameters, it's broken out for some
6 disease entities and not others, you know,
7 there's a whole series of things here which I,
8 you know, I think we might want to think about
9 as pointing out as technical issues.

10 At least I would. I would lay on
11 the table as a series of issues moving through
12 the whole flow diagram.

13 MEMBER MUNN: Certainly anytime we
14 address something like as complex as IREP, we
15 are going to be faced with innumerable
16 technical conflicts both in terms of opinion
17 and in terms of what data is reliable.

18 That's one of the reasons why I
19 said it is more of a burden than I believe
20 could be intended for the Work Group or even
21 for the Board to undertake to -

22 CHAIRMAN RICHARDSON: But IREP is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in fact, far less complicated than a lot of
2 the dose reconstruction that's going on. I
3 mean, it's the application of a set of risk
4 coefficients and a few modifying parameters.

5 I mean, we're working with lots of
6 technical issues that are -- in which we
7 evaluate uncertain scientists -- science with
8 the reliance on subjectivity for, I mean, for
9 lots and lots of aspects of the exposure
10 reconstruction program that's going on. And
11 this is another one of those.

12 MEMBER ROESSLER: As a Work Group
13 Member -- well, what David said about this
14 being mind-boggling really hit home.

15 But I think as a Work Group Member
16 myself, I don't have the time and I don't have
17 the expertise to go into all the details of
18 this. But I think as Work Group Members, we
19 can evaluate the experts that NIOSH selects
20 and that's where I think we weigh in.

21 If we have confidence in the
22 experts who will put in the time and we know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they have the expertise, I think that's a big
2 factor.

3 MEMBER MUNN: It would be.

4 MEMBER ZIEMER: Dave, another
5 comment. It seems - this is Ziemer. I think
6 it would be appropriate for the Work Group to
7 report to the Board some, in a preliminary
8 way, number one, that we've reviewed the
9 current use of the DDREF in the IREP model
10 with the help of SENES and the NIOSH staff;
11 number two, that we're aware that there's a
12 lot of new biological data out there that
13 SENES has been evaluating it and they are
14 preparing a report for NIOSH and perhaps
15 indicate that, and this could be a Work Group
16 conclusion that, for example, that we agree
17 that these parameters need an update - or not
18 an update, but need a closer look for
19 potential update and modification of the IREP
20 model and that we agree with the direction
21 being taken here. Which is to have the
22 review, to have it independently evaluated,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and then to have the opportunity to look at
2 the potential changes. Something along those
3 lines.

4 I think we do owe the Board some
5 sort of status information on what we're doing
6 with this.

7 CHAIRMAN RICHARDSON: Yes, I think
8 that sounds reasonable.

9 MEMBER ZIEMER: We don't have to
10 reach any conclusion at this point other than
11 to say we recognize that here's what's being
12 done now, and there's a lot of new biology
13 that could impact on this. Not that it
14 necessarily will, but that it may or something
15 along those lines.

16 We can't reach a conclusion at this
17 point in any event.

18 CHAIRMAN RICHARDSON: I would like
19 to reserve the -- kind of the option or the
20 opportunity that this Work Group does -
21 expects at some point that it will tackle
22 issues that are difficult that may require

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time or resources.

2 I feel hesitant to say, well, these
3 aspects of the program are complicated and
4 technical and we can't be expected to dig into
5 them. They're as technical and complicated,
6 from my perspective at least, as much of the
7 other exposure reconstruction and other
8 aspects of the program.

9 And I guess coming onto the Board,
10 I felt like there were a number of technical
11 issues that relate to this side of the program
12 that are, you know, haven't been given nearly
13 the attention that the exposure reconstruction
14 aspects have, and yet, are part of the program
15 and will take some time to get up to speed on,
16 but are really important as well.

17 MEMBER MUNN: David, I think you're
18 amplifying what I was trying to say earlier
19 and perhaps did not say in a very concise
20 manner.

21 Really, what I was trying to say
22 is, first, my apologies for not having been on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the teleconference when you were making an
2 effort to set the Work Group up. I was
3 traveling and was on airplanes at the time.

4 But it - there's some question in
5 my mind as to whether we as a Work Group have
6 adequately defined our charge ourselves. And
7 I didn't see just reading quickly through the
8 transcript, I didn't see that the conversation
9 that was had came to a logical conclusion in
10 that regard.

11 And I think perhaps you and I are
12 saying very much the same kind of thing,
13 except that I'm asking that the Work Group
14 perhaps devote some time before we go too much
15 further with very many of these scientific
16 issues in better defining for ourselves
17 exactly what our responsibility, what our
18 charter is, and what our boundaries are going
19 to be with respect to how we address these
20 issues that have been placed before us as
21 starting points.

22 I don't know whether today is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 appropriate day to do that, but it would be
2 worthwhile from my point of view if we did
3 spend some time trying to be very clear with
4 each other about what our responsibilities are
5 and how we're going to address those.

6 CHAIRMAN RICHARDSON: Yes, we had
7 some discussion of that the first time, and I
8 think it would be worthwhile to kind of go
9 back and review those. We had talked about
10 some issues regarding scope and kind of
11 process and deliverables and how that would
12 happen.

13 Maybe we should set some time at
14 the start of our next meeting to revisit
15 those, and maybe I can circulate something
16 ahead of time as kind of a starting point for
17 that discussion.

18 MEMBER MUNN: I think that would be
19 wise. It would be very helpful from my
20 perspective to have the views of the other
21 Members of this Working Group as to how they
22 perceive their responsibility on this group to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be.

2 Yes, I would like very much if we
3 would set aside some time at the beginning of
4 our next session to do that and perhaps even
5 have some exchanges by email and try to come
6 to some general conclusion with respect to
7 limitations of what our expectations are and
8 what the Board's expectations are of us.

9 MEMBER ZIEMER: One of the
10 philosophical things I think might be worth
11 discussing is the issue of use of current
12 science and what happens when it changes. And
13 this is a practical thing.

14 For example, when we change a
15 method of reconstructing dose, and there I'm
16 talking about some usually modeling where
17 NIOSH has some early models, and then there's
18 - and we have some sites that are in this
19 category now where the models get changed
20 simply because we get new information about
21 the site and that sort of thing.

22 But here if the science changes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for example, a dose-rate factor changes this
2 issue, and I'm not sure how the regs read or
3 the legal ramifications, but does it mean you
4 go all the way back to ten years or 15 years
5 where you were using the best science at the
6 time? Because science is always going to
7 change.

8 Ted, you might help us out, but one
9 of the things that is sort of a concern is
10 that do you go back and redo everything that
11 you've done for a decade because the science
12 has changed.

13 MR. KATZ: And to speak on that
14 point, and we wrote the regs with an eye to
15 the fact that the science would change and to
16 accommodate that by, whenever necessary,
17 because the science dictates better methods,
18 incorporating those new methods.

19 And integral to that is that cases
20 that were already completed that would be
21 affected by those improvements, would take
22 into account those improvements that would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 affected.

2 I mean, honestly for cases that are
3 already compensated, it's a nonissue. But for
4 cases that are not compensated -

5 MEMBER ROESSLER: Not to reverse -

6 MEMBER ZIEMER: Well, you can't
7 reverse.

8 MR. KATZ: No, there's no reversing
9 cases that are compensated. But I'm saying
10 for cases that are not compensated --

11 (Simultaneous speaking.)

12 MR. KATZ: -- better science would
13 be employed again if it would affect their
14 results.

15 DR. NETON: And since DDREF is in
16 every single case -

17 MEMBER ZIEMER: Well, that's exactly
18 my point. And then ten years from now you'll
19 have something else -

20 MR. KATZ: That's an argument for
21 taking due diligence in revising the science,
22 I think. It's not a question of whether the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 science should be revised when it should be,
2 but it just is an argument for doing this in a
3 very deliberate, diligent way.

4 DR. MAURO: This is John Mauro. I
5 also have an observation that I'd like to put
6 out. The DDREF operates off the excess
7 relative risk, which is really the rock that
8 IREP stands on. And I realize SC&A does not
9 get involved in reviewing any IREP work, but
10 I'm on the phone and I'm listening and I'm
11 very interested with a strong background in
12 radiobiology.

13 If the day comes when a judgment is
14 made that some change will be made to the
15 distribution for the DDREF and incorporate it
16 into IREP, I would say the only caution I
17 would advise is that that be done in concert
18 with any consideration on the excess relative
19 risk, which is even more fundamental and which
20 is also a subject undergoing considerable
21 reevaluation.

22 They sort of go hand in hand and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you really wouldn't do one without the other.

2 DR. NETON: You raise a good point,
3 John. This is Jim. But there's a continuing
4 dilemma as to where you draw the line in the
5 sand and move forward.

6 I mean, we've talked about this in
7 the past and -

8 MEMBER ZIEMER: Or do you wait for
9 something to -

10 DR. NETON: Do you wait for
11 something else to catch up? And then all of a
12 sudden, as you saw, the change between our
13 2009 concept that SENES has outlined versus
14 the one today is even different.

15 So I don't know how you
16 realistically do it all at one time. It just
17 would be very difficult because the data
18 aren't available all at the same time. But
19 you raise a very - that's a very good point.

20 MEMBER MUNN: Well, since we have no
21 sunset clause for the operations that we're
22 involved in here, one can question how long we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wait for the science to mature and change.

2 I'm not sure science ever matures,
3 but as it changes as we go -

4 DR. NETON: It's a little different
5 with this particular issue because it was sort
6 of an in-house developed science -

7 MEMBER MUNN: Yes, it is.

8 DR. NETON: -- that is commenting
9 on the change versus something that's, in my
10 mind, a little more clear-cut if you have a
11 new ICRP lung model that came out, for
12 example.

13 And we would probably adopt that
14 fairly readily because it's consensus science
15 and it's put out there for general use. This
16 is a little different.

17 MEMBER MUNN: It is.

18 DR. NETON: That's why we're moving
19 cautiously, but I think the plan is valid to
20 move forward with a review by experts and see
21 where they land.

22 But I don't disagree with David

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Richardson though. We would welcome any
2 general comments on the DDREF itself and its
3 employment in the program. I mean, that's
4 certainly fair game for, I think, the Working
5 Group.

6 CHAIRMAN RICHARDSON: Well, thank
7 you very much for the presentation. I didn't
8 say that at the start, but it was really very
9 useful for me in understanding both what's
10 been done and to kind of hear about the review
11 that you've undertaken.

12 And I think a lot of the points in
13 your conclusion correspond to some of the
14 streamlining that I was imagining and hoping
15 that NIOSH will take both in kind of trying to
16 lean more on an empirical basis for the
17 distribution for this factor - I think the
18 idea of stepping away from separate
19 distributions for different tissues is
20 probably a sensible one.

21 I appreciated the way that you
22 handled a number of the points as I tried to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in my own thinking, kind of separate out low-
2 LET from high-LET radiation.

3 It's still not quite clear to me
4 what I - the point that you made, I believe,
5 is that the inverse exposure rate effect,
6 which in my world would be a dose-rate
7 effectiveness factor, is, in fact,
8 incorporated into IREP someplace else; is that
9 correct?

10 DR. TRABALKA: Within the radiation
11 effectiveness factor, yes.

12 CHAIRMAN RICHARDSON: Which is
13 interesting why that's the way it is, I guess,
14 but it is there. Because there's right at -
15 if I look at this flow chart, you're implying
16 full certainty about the dose-rate
17 effectiveness of high-LET radiation. But what
18 you're saying is that there's a distribution
19 someplace else capturing that side of the -

20 DR. HOFFMAN: Yes, there is quite an
21 important distribution associated with the
22 uncertainty and what's called the radiation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effectiveness factor.

2 And especially as that is applied
3 to high-LET radiation, namely neutrons and
4 alpha radiation, both neutrons and alpha
5 radiation have this inverse dose-rate
6 adjustment.

7 CHAIRMAN RICHARDSON: Which is a
8 DDREF less than one.

9 DR. HOFFMAN: Yes.

10 DR. NETON: At what point does that
11 kick in though? It's pretty high. The dose?

12 DR. KOCHER: No, it's always there.
13 Certainly for alpha particles it's always
14 there. For neutrons, I have to go back and
15 think about it.

16 DR. NETON: Even for very small
17 alpha doses?

18 DR. KOCHER: Yes, see, I argued that
19 it shouldn't be in there, but I lost.

20 DR. NETON: I don't remember that
21 argument.

22 DR. KOCHER: Yes, I do. You and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had at it.

2 CHAIRMAN RICHARDSON: As long as
3 this is a topic, at some point it may be
4 something that I guess I would suggest again
5 the Work Group may want to think about.
6 Because it would fall - for me, it falls under
7 the rubric of a dose-rate effectiveness
8 factor, and it's fine for us to start by
9 looking at low-LET radiation.

10 But if there's been apparent
11 disagreement among the people in the room
12 about how it's implemented for high-LET
13 radiation, then I think this is where there is
14 probably, I don't know, BEIR VI, for example,
15 has a strong opinion about high-LET radiation
16 and inverse exposure rate effects. So it
17 might be worth us at least -

18 DR. KOCHER: Let me clarify the
19 situation for neutrons since we raised it.
20 This inverse dose-rate effect is applied only
21 in cases of chronic exposure to neutrons. The
22 acute dose response is assumed to be linear at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any dose. So that's how it differs from the
2 low-LET.

3 For alpha particles, the inverse
4 dose-rate effect is always applied because all
5 the internal exposures, the alpha emitters,
6 are assumed to be chronic, reasonably enough.

7 So it's always in there.

8 And you and I had an argument about
9 whether it should be in there, and I lost.

10 DR. NETON: I completely forgot
11 that.

12 DR. KOCHER: Well, over lunch or at
13 a meeting this afternoon we can revisit that.

14 DR. NETON: That's fine. Because my
15 thinking was as long as we're talking on this
16 subject, the DDREF is built into the
17 calculation for excess relative risk for
18 alphas.

19 DR. KOCHER: That's to adjust the
20 photon risk.

21 DR. NETON: Right, adjust the photon
22 risk. Because we have gone - it was my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking early on that the change in DDREF
2 would not affect many of our cases because we
3 don't have very high external exposures, as we
4 talked about when the meeting started, except
5 in the very early years.

6 But what happened since that DDREF
7 is built into the adjustment of the photon
8 dose when you have an REF for alphas, we have
9 - as a major effect, we've done some analyses
10 internally that will majorly affect people
11 with exposures to alpha, which is probably the
12 majority - a large majority of our cases have
13 exposures to plutonium, uranium. Those are
14 the cases that have high PC values, and it's
15 going to affect those.

16 And it was a surprise to me to find
17 that out that this DDREF embedded in the
18 adjustment factor because of the way the REF
19 was developed. So it's not really accurate to
20 say that the DDREF does not affect high-LET
21 radiation, especially alpha - it does.

22 DR. KOCHER: It affects alpha

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particles but not -

2 DR. NETON: As much. At least from
3 our analysis, that's true.

4 MEMBER ROESSLER: Perhaps the Work
5 Group needs a little review of the REF.
6 Because I'm thinking back to that, and what
7 you've just said, yes, that's an impact and I
8 think we need to look at that.

9 DR. NETON: That would be an
10 interesting - I don't think we've ever gone
11 over it with the - actually, we have.

12 MEMBER ROESSLER: He did a
13 presentation.

14 DR. KOCHER: There were two
15 presentations to the Board -

16 DR. NETON: Early on.

17 MEMBER ROESSLER: But we weren't
18 thinking -

19 DR. KOCHER: Well, it was all new.

20 MEMBER ROESSLER: Yes, yes. And we
21 weren't thinking of the impact that -

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KOCHER: - snowstorm.

2 MEMBER ZIEMER: Well, as a minimum
3 I'm wondering if we could revisit that and
4 just update our own memories on that. I don't
5 recall the details of it at all.

6 MEMBER ROESSLER: I mean, that's a
7 publication, but it's pretty hard to get
8 through. It's pretty heavy stuff.

9 MEMBER MUNN: Yes.

10 DR. TRABALKA: Well, if I -

11 (Simultaneous speaking.)

12 DR. TRABALKA: -- longer and less
13 dense.

14 MEMBER ROESSLER: It was easier to
15 understand when he presented it in person.

16 MEMBER MUNN: A man after my own
17 heart.

18 DR. NETON: Well, we certainly would
19 not be against having a presentation in the
20 future as long as we can get SENES -

21 DR. TRABALKA: That's something we
22 can talk about this afternoon, if you want.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: Yes. And it is, in my
2 opinion, integral to the DDREF issue because
3 of this incorporation of it into the
4 adjustment of the photon risk model -

5 (Simultaneous speaking.)

6 MEMBER ROESSLER: It appears to be.

7 DR. NETON: Well, yes. I mean, this
8 equation -

9 MEMBER ROESSLER: How can you -

10 DR. NETON: To prepare for the
11 meeting, Daniel and I were going over the
12 Health Physics publication. Which, by the
13 way, if anybody doesn't have a copy of this
14 special edition that we put out, we have about
15 300 in the closet back at work.

16 DR. KOCHER: Please take one.

17 DR. NETON: Please take several.
18 But specifically Equation 26 in that article
19 is the one I'm talking about with the
20 adjustment. And it's pretty clear. And
21 that's why we ended up testing, Daniel with
22 Iulian and -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: Now, for alpha
2 particles and neutrons, let's set this aside
3 and say for neutrons, the DDREF for neutrons
4 applies to both acute and chronic neutron
5 exposures? Was that what you said? But at
6 different threshold levels?

7 DR. KOCHER: No, the dose response
8 for acute exposure to neutrons is assumed to
9 be linear with no adjustment at any dose. The
10 inverse dose-rate effect is applied to neutron
11 exposures only in case of chronic exposure.

12 DR. HOFFMAN: And DDREF is not
13 applied at all for neutrons.

14 DR. KOCHER: The way this separation
15 that you pointed out has been made, there's no
16 DDREF anywhere for neutrons. But there is
17 this inverse dose-rate effect that's embedded
18 in the REF for neutrons that's applied to
19 chronic exposure only. And for alpha
20 particles, the inverse - there is no DDREF for
21 alpha particles, per se.

22 CHAIRMAN RICHARDSON: So there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you've made a distinction between the shape of
2 the exposure response relationship for
3 neutrons and the effect of inverse exposure
4 rate effects, the protraction effect of the
5 exposure.

6 And you've said that the energy
7 deposition for neutrons results in cancer
8 risks which are proportional to dose and
9 they're linear on an excess relative risk
10 scale.

11 But when there's protraction, the
12 effect varies; is that right?

13 DR. KOCHER: When it's protracted,
14 it basically changes the slope of the entire
15 dose response for chronic exposure. It just
16 shifts the slope because it's applied
17 independent of dose for chronic exposure.

18 The same distribution applies at
19 any dose. It's a little different than this
20 phasing in of the DDREF for the low-LET. It's
21 just a single correction that's applied to any
22 dose as long as the exposure is chronic or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 protracted. So you're just changing the slope
2 of the linear dose response, basically.

3 CHAIRMAN RICHARDSON: And someone
4 characterized what the recent UNSCEAR report
5 did for solid cancers with exposure to low-LET
6 radiation, which was they said, well, with the
7 cancer incidence data the effect looks
8 proportional to dose. The shape of the
9 exposure response is well-modeled by a linear
10 model.

11 And that, I mean, I don't want to
12 go too far there except to say it remains for
13 my thinking that what's been done, for
14 example, in modeling the effects of inhalation
15 of radon and other places, is to separate out
16 the question of the shape of the exposure
17 response function from the effect of
18 protraction or the exposure rate effect.

19 And that's - there's a lot of
20 clarity to be gained there.

21 DR. KOCHER: You can't do that for
22 radon because there's no such thing as an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acute exposure.

2 CHAIRMAN RICHARDSON: They've
3 modeled the shape of the exposure response
4 function and the exposure rate effect.

5 DR. KOCHER: Yes, based on an
6 observation in some studies that the risk was
7 higher the lower the dose rate at the same
8 dose.

9 CHAIRMAN RICHARDSON: Yes, exactly.

10 DR. KOCHER: But the difference
11 between radon and gamma rays is you don't have
12 acute exposure to radon.

13 CHAIRMAN RICHARDSON: I mean, we
14 talked through the experimental setups in
15 which you could consider the effect of
16 different types of dosing experiments, right?

17 And all of those thought
18 experiments involved separating out the shape
19 of the exposure response function from
20 separating out questions about the effect of
21 protraction.

22 We're all aware of the effects of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 priming exposures, for example in
2 radiotherapy, in which the response is
3 mitigated by fractionating the exposure and
4 giving the priming dose.

5 That would be an example where even
6 if we're talking about leukemia, as I read it,
7 the shape of the exposure response may be
8 linear quadratic. And yet, a priming dose,
9 fractionation or delivering the same total
10 dose over periods, is going to yield a
11 different response.

12 The argument that they're somehow
13 intimately embedded by the linear quadratic
14 shape of an exposure response function for a
15 single exposure doesn't conform to
16 experimental evidence in medical practice.

17 So I'm sort of looking at like a
18 lot of complexity laid out in this flow
19 diagram for scenarios where I would think we
20 could question a series of these decision
21 points. And I think what's been done has
22 started to simplify them, but it's -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KOCHER: Yes, my reaction to
2 your comment about the flow diagrams is that I
3 suspect it's fairly transparent what has been
4 done if you stare at the flow diagram for a
5 little while because there's not that many
6 decision points. But what you're raising is
7 certainly something that we would be
8 interested in knowing more about, and that is
9 problems that you have with these decision
10 points and how they're made.

11 That's a whole other area that if
12 you have different thoughts about the validity
13 of these decision points, I suspect NIOSH
14 would like to hear about that.

15 MEMBER ZIEMER: All you're saying is
16 this is what you're doing now.

17 DR. KOCHER: Yes.

18 MEMBER ZIEMER: So the interesting
19 question is what would the decision points
20 look like in your new proposal, and are they
21 the right ones?

22 CHAIRMAN RICHARDSON: Right, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 No, I - yes, I agree. So it's great to know.

2 I mean, as I said, there have been a series
3 of these questions about what was currently
4 being done that weren't as clear in my mind as
5 they are now after your presentation. And I
6 really appreciate that. And I'm still, I
7 guess -

8 DR. KOCHER: Your comment about
9 leukemia I thought was also well-taken. My
10 sense is that, okay, we have a linear
11 quadratic model in IREP for acute exposure.
12 And the assumption that only the linear term
13 applies in cases of chronic exposure, that's
14 probably a judgment.

15 I doubt that that's written in
16 concrete somewhere. Charles might disagree
17 with me, but I suspect that falls in the
18 rubric of an assumption.

19 MEMBER ZIEMER: Have you thought
20 about a similar diagram for the new things
21 you're proposing or -

22 DR. APOSTOAEI: This is Iulian

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 speaking. One point that will change in the
2 flow diagram is that we will have the same
3 distribution and be applicable for all solid
4 tumors. So that decision point to separate
5 thyroid and breast will go away. So, that's
6 one example and -

7 DR. NETON: The histogram goes away.
8 I mean, it's a continuous function, right?

9 DR. APOSTOAEI: Right. So, but we
10 have two distributions; now we're going to
11 have just one.

12 DR. HOFFMAN: We'll have one
13 distribution also where we are proposing
14 different ranges for the definition of what
15 should be considered a lower bound for the
16 acute dose. That would determine when a DDREF
17 needs to be considered for acute exposures.

18 DR. KOCHER: Other than that change,
19 it really - I don't think we've thought about
20 any changes in the decision diagram, per se.

21 MEMBER ZIEMER: You're proposing ten
22 millisieverts when you lower the bar. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's currently 30, okay.

2 CHAIRMAN RICHARDSON: You know, as
3 the histogram you're imagining becomes - has
4 more mass at one -

5 DR. HOFFMAN: Yes, and it becomes
6 essentially a piece-wise uniform distribution,
7 continuous. And the reason for this is that
8 numerous data sets that are then weighted in
9 terms of degrees of plausibility are then
10 combined.

11 And so the final state of knowledge
12 of distribution that we are recommending that
13 replace these histograms, is a continuous
14 distribution, but it can only be approximated
15 by the - it is not exactly - does it conform
16 to a named statistical distribution.

17 CHAIRMAN RICHARDSON: Right. I'm
18 imagining though -- so we've got a decision
19 point here with - you've got on the left and
20 right-hand side of this figure - on the right-
21 hand side of the flow diagram, there's a
22 complicated function which moves you from one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a distribution which you're imagining which
2 has mass - the majority of its mass around
3 one.

4 And as you get to this threshold,
5 you're smoothing the transition between a
6 value of one and a value which has its modal
7 value around one. So you're moving from
8 certainty about the shape of the acute
9 exposure response relationship -

10 DR. HOFFMAN: To uncertainty.

11 CHAIRMAN RICHARDSON: -- to one
12 where there is uncertainty about it.

13 DR. HOFFMAN: Right.

14 DR. KOCHER: Which increases as the
15 dose goes down.

16 CHAIRMAN RICHARDSON: Yes, but it's
17 - it does that as a function of the magnitude
18 of the acute dose.

19 DR. HOFFMAN: Yes.

20 CHAIRMAN RICHARDSON: So it's saying
21 that's the - as you get into the low-dose
22 range, there is greater uncertainty about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shape of the exposure response function.

2 DR. HOFFMAN: Yes.

3 CHAIRMAN RICHARDSON: Is that what
4 you - maybe that is what you think of as the
5 DDREF.

6 DR. HOFFMAN: Well, the way you said
7 it in plain English is exactly what's
8 happening. As the acute dose decreases, the
9 amount of uncertainty in the risk associated
10 with that dose increases. But the central
11 value, given our proposed revisions, would be
12 closer to straight linearity or closer to a
13 DDREF of 1.0 than it was previously.

14 CHAIRMAN RICHARDSON: Right. I
15 mean, previously as it got down there, you
16 divided the linear excess relative rate model
17 by a factor which had its modal value around
18 1.5?

19 DR. HOFFMAN: That's right.

20 CHAIRMAN RICHARDSON: Which to me,
21 was sort of, you know, it's surprising if you
22 would say that to most people when they would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be thinking about, you know, saying we're
2 starting off with the assumption of a linear
3 no-threshold model. And yet, implicit in this
4 is that there's non-linearity. There's a
5 curvature to the exposure response function as
6 you get to lower doses.

7 I guess one of the questions is we
8 know there's uncertainty about the exposure
9 response function as you get to lower doses.
10 And why isn't that captured already by the
11 variance of the risk estimates?

12 Why are we adding another factor in
13 to say that there's uncertainty of our
14 essential estimates -

15 DR. KOCHER: The uncertainty in the
16 ERR per sievert is independent of dose, is the
17 reason why. If there were no DDREF, the
18 uncertainty would - in the ERR would be
19 independent of dose.

20 CHAIRMAN RICHARDSON: So if you just
21 wanted to answer that question, the best way
22 to do it, the most logically coherent way to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do it would be to derive point-wise confidence
2 bounds using the lifespan data for the risk,
3 for all the risk models.

4 You already have - you have
5 preferred data sets you want to use to derive
6 the dose response coefficient, you've fitted a
7 model to it, and now you want to reflect the
8 fact that there's variance in a point-wise
9 fashion as you're moving along in the model.

10 So this would be like a very
11 complicated exercise to get around to
12 something - I'm not saying our best estimate
13 is from the fitted model, but the uncertainty
14 increases as you get to move along the datas.

15 DR. KOCHER: The DDREF is really
16 kind of an artificial construct that -

17 CHAIRMAN RICHARDSON: Absolutely.

18 DR. KOCHER: -- attempts to make
19 things a little easier, but of course it's a
20 complicated problem.

21 CHAIRMAN RICHARDSON: No, but if you
22 would show this to somebody and they've got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this weird assumption about a very complicated
2 function smoothing in an uncertainty
3 distribution that's going to move from one to
4 a bound around one with a distribution that's
5 going to be around modal and it's to capture
6 uncertainty in the exposure response function
7 at the lower doses where we've extrapolated to
8 where all the exposure is occurring for the
9 workers, then maybe what you just want to do
10 is just take the point-wise confidence bounds
11 from the data sets that the risk estimates are
12 coming from.

13 DR. KOCHER: So you would say that
14 the ERR per sievert should have an uncertainty
15 that's a function of dose?

16 CHAIRMAN RICHARDSON: Well, you
17 would use the empirical confidence bounds for
18 the risk estimates. You're already doing
19 that. I mean, you've already got one. You're
20 just - it's not point-wise right now.

21 I mean, I'm still, you know, I'm
22 trying to work through what all is wrapped up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in this. And there's a lot of things wrapped
2 up in it.

3 One of them is the shape of the
4 exposure response function. One is the kind
5 of confidence you have in the estimates in the
6 low-dose range. And it's, I mean, I guess -

7 DR. HOFFMAN: Yes, I mean, if we
8 were to think about a major revision to IREP,
9 then there are several ways one could proceed.
10 One is a revision update of DDREF and how it
11 is applied to linear risk coefficients that
12 have been published. Another is to forget the
13 DDREF and apply confidence bounds to the
14 epidemiological data as they are extrapolated
15 down below limits of epidemiological
16 detection.

17 DR. TRABALKA: There's some
18 extremely large uncertainties on low-dose
19 data.

20 CHAIRMAN RICHARDSON: Right. Well,
21 I mean, that's - I'm just not - introducing a
22 shape parameter as - which appears ad hoc, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dose limit which is some transition value, a
2 complicated kind of logistic function, I
3 guess, sort of shaped thing to smooth - to
4 transition into that to capture the fact that
5 we're uncertain about the low-dose risk
6 coefficients.

7 I mean, it seems to me like lots of
8 parameters and decisions about parametric
9 forms introduced - I mean, there's already - I
10 mean, from my point of view the risk estimates
11 are already highly imprecise. I'm not sure
12 there's actually any value in any of it.

13 You could just say, I mean, if you
14 wanted to do that, you would say these risk
15 estimates are - these are our best empirical
16 risk estimates. We have a linear model that
17 we fit to solid cancer data. It has an
18 estimated variance around it which captures
19 uncertainty, and it leverages some form of
20 precision through the parametric assumption
21 that as exposure increases, cancer risk
22 increases. And that's the best we can do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think, I mean, from my point of
2 view, that's what's done in epidemiology right
3 now.

4 DR. KOCHER: But yet all the
5 published risk coefficients using a linear
6 model are dose independent.

7 CHAIRMAN RICHARDSON: No, what's
8 done - exactly. What's done right now is you
9 say the best we can do is to make a parametric
10 assumption that cancer risk varies as a linear
11 function of dose and we estimate it.

12 And that's where we're leveraging
13 our confidence. That's where we're leveraging
14 the precision about the low-dose range is by
15 the parametric assumption that there's a
16 linear exposure response function.

17 And that's, you know, so that's the
18 best estimate. That's one simple parametric
19 assumption. And what's being - if my
20 characterization that moving from the left-
21 hand side to the right-hand side of this flow
22 diagram is when we're going to - if we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going to have modal values centered around
2 one, it's just adding in greater uncertainty
3 about the low-dose range where you've just
4 stepped away from the kind of, you know, the
5 foundational kind of parametric assumption
6 that we had that, well, we can get some sort
7 of best estimate by just saying the risk - the
8 average risk changes apportioned to the dose
9 like we do with almost all risk assessments.

10 DR. KOCHER: Well, it would be a way
11 of representing greater uncertainty at the low
12 doses where you really can't see anything.

13 DR. TRABALKA: It would make
14 adjustments based on DDREF look like pikers.
15 Because, remember, the A-bomb survivor data
16 and the risk coefficients in IREP, these are
17 driven by doses between 0.5 and 4 gray.

18 The question then becomes how does
19 the risk change from the risk estimates made
20 derived by the - apparently these higher
21 doses, down at very low doses?

22 And the only theoretical way to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is to assume a linear quadratic model
2 could be there in the data. It's just
3 submerged in the uncertainty.

4 It really should be a linear
5 quadratic exponential model that covers the
6 whole dose range, which adds even more
7 uncertainty in the three parameters to deal
8 with. Everything blows up.

9 Right now the DDREF is probably the
10 best we can do, and we simply don't have
11 enough information to separate data on LDEFs
12 and DREFs to do what you're suggesting, which
13 would be excellent, you know, in your
14 hypothetical approach here.

15 But it's a compromise approach and
16 there's no getting away from it, but that's
17 just the state of our knowledge.

18 MEMBER MUNN: The question is is it
19 the best approach? That seems to me to be our
20 responsibility as a Work Group. Is it the
21 best we can do? Our only responsibility, as I
22 see it, is to judge whether or not this is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 best science available.

2 DR. TRABALKA: And speaking for the
3 SENES group, we would welcome any input on
4 that topic and any specific comments you have
5 on the report recognizing that people like
6 professors are very, very busy and they don't
7 have time to do all this, and others.

8 MEMBER ROESSLER: Can I make a
9 comment - two comments, actually.

10 Jim Neton mentioned Equation 26 in
11 the publication by NIOSH. And I just found it
12 and I think everybody should look it up,
13 because it very clearly -

14 DR. KOCHER: Also in the REF paper.

15 MEMBER ROESSLER: That is the REF
16 paper, I think.

17 DR. KOCHER: No, that's the IREP
18 paper.

19 MEMBER ROESSLER: Yes, it very
20 clearly shows the relationship between ERR,
21 REF and DDREF in dose, weighting factors.
22 It's just right there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now we know what the real impact is
2 going to be, even on high-LET, which is - I'm
3 glad David brought it up.

4 The other thing I noticed when I
5 was looking at that issue -

6 DR. KOCHER: The key is that
7 Equation 26 applies to alpha particles as well
8 as low-LET. So, you end up saying, I thought
9 there was no DDREF for alpha particles, but
10 this is a DDREF for photons.

11 MEMBER ROESSLER: The other thing in
12 that same issue and I haven't looked it up, is
13 a paper by Paul Ziemer. And I haven't
14 reviewed it, but maybe we should look at that
15 on the responsibilities of the Board.

16 And so, Wanda, you brought that up
17 and we should maybe reread that.

18 DR. NETON: Which paper, I'm sorry?

19 MEMBER ROESSLER: The issue of the
20 journal that you have there. There's a paper
21 by Paul Ziemer talking about -

22 MEMBER ZIEMER: I don't remember it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 myself.

2 DR. NETON: I remember it being in
3 there. I haven't read it in a while -- oh,
4 the responsibilities of the Advisory Board.

5 MEMBER ROESSLER: Yes.

6 DR. KOCHER: You make good points,
7 David, but we're basically playing by the
8 rules of the game as conventionally accepted
9 today realizing that DDREF is an artificial
10 construct that covers all kinds of sins. If
11 you had the right -

12 MEMBER ZIEMER: Well, David may be
13 saying, go and sin no more, I think is what he

14 -

15 (Laughter.)

16 DR. KOCHER: I mean, if you knew the
17 correct dose response down to zero dose, you
18 wouldn't use it.

19 CHAIRMAN RICHARDSON: Well, one of
20 my concerns is suppose we take the empirical
21 data for solid cancers. We take the empirical
22 data for the leukemia, we fit a model, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a parametric model and we stop there.

2 We take the empirical data for the
3 solid cancers, we have a model which fits
4 reasonably well, and we say, but under
5 different exposure conditions, we think the
6 data should look - should have curvature to
7 it, and it doesn't.

8 Then you were sort of, you know,
9 I'm at a loss as to why we don't look at
10 protraction effects for the exposure response
11 for leukemia or vice-versa.

12 Why are we - why would we divide
13 the risk coefficient by DDREF that has an X
14 percent probability of being as large as four
15 saying that there's a real nonlinearity below
16 something as large as 200 millisieverts - and
17 are either of those consistent with a
18 reasonable model for the observed data?

19 DR. KOCHER: Well, that was kind of
20 the BEIR VII's conclusion that they just can't
21 - you just can't find much curvature in that
22 dose response.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So they came up with a small DDREF
2 with low uncertainty bounds.

3 CHAIRMAN RICHARDSON: Yes.

4 DR. KOCHER: But that's a different
5 data set than what Ethel Gilbert would have
6 based her judgments on.

7 CHAIRMAN RICHARDSON: So I kind of
8 fall back on Occam's razor here of saying
9 we're positing factors to describe departures
10 from a simple model and that I find hard to be
11 consistent with the empirical data.

12 DR. KOCHER: They're not in the data
13 at high doses, but you don't know, you know,
14 the uncertainties are so big at the -

15 CHAIRMAN RICHARDSON: Below 200
16 millisieverts, it's possible in the LSS data
17 for solid cancers to describe - it's
18 consistent with a DDREF of five?

19 That's not my recollection of those
20 data.

21 DR. TRABALKA: The problem is that
22 the risk coefficients are based on doses from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 zero to four gray.

2 CHAIRMAN RICHARDSON: No, but
3 there's a whole series of papers on restricted
4 analyses. You get like 80 percent of the LSS
5 cohorts below 200 millisieverts, I think.

6 DR. TRABALKA: But a DDREF has to
7 account for differences in risk at high doses
8 above 0.5 gray to 4 gray, and doses below 0.5
9 gray.

10 CHAIRMAN RICHARDSON: But the simple
11 model for solid cancers fits the line across
12 the entire range of observed data.

13 DR. TRABALKA: Right.

14 CHAIRMAN RICHARDSON: A reduced
15 model fits the slope over, let's say, a range
16 of zero to 200 millisieverts. And we're
17 saying there is X percent probability that the
18 slope over that restricted range is five-fold
19 lower than it is over the full range.

20 My question is is that at all
21 compatible with the observed data for the
22 lifespan study?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. TRABALKA: It is.

2 CHAIRMAN RICHARDSON: My
3 recollection is that that -

4 DR. TRABALKA: It is for skin
5 cancer.

6 CHAIRMAN RICHARDSON: Yes, but -

7 DR. TRABALKA: Look at the figure
8 for skin cancer.

9 CHAIRMAN RICHARDSON: -- this is not
10 a model primarily for skin cancer, is it?

11 I'm talking about, I mean, I would
12 use if I was going to make a judgment, I would
13 look at solid cancers as a group.

14 DR. HOFFMAN: I think your question
15 is a good one. And it's certainly something
16 as we move forward into this next phase of
17 peer review and revising our report we need to
18 keep in mind. Because one avenue of attack is
19 to look at various values in our distribution
20 and say are these values even plausible given
21 the epidemiological data at low doses.

22 And if the answer is, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they're not plausible, well, then that speaks
2 towards a revision of our proposed
3 distribution.

4 DR. KOCHER: I think BEIR VII kind
5 of faced the same dilemma. And they ended up,
6 if I remember it correctly, they ended up
7 inflating their uncertainty to account for
8 animal studies. And you may be able to argue
9 that their bounds of uncertainty don't fit the
10 LSS data.

11 DR. TRABALKA: Just the opposite,
12 David. They used animal data to reduce the
13 uncertainty in the DDREF. The epidemiologists
14 then inflated it back so that the uncertainty
15 represented that and the A-bomb survivor data.

16 Because they said it was just - the
17 exercise was just too uncertain.

18 DR. HOFFMAN: And to find all that
19 out, you need to read the fine print in the
20 report. It's not easy to find.

21 DR. TRABALKA: I'd like to make two
22 final points, and then I'm going to shut up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I like your idea of looking at
2 parametric models. I think the whole exercise
3 of looking at alternate models of the dose
4 response is a very good one. It's something
5 that really hasn't been done up till now and
6 needs to be done.

7 It's being done in conjunction with
8 the development of the German IREP, for
9 example.

10 And the other is that even though
11 we have a bias, let's say, to certain values
12 of DDREF, if our data sets contain uncertainty
13 such that we have a range, let's say, from 0.5
14 to 5 and we have information that suggests we
15 can have values less than 1, and we have other
16 information that suggests we can have values
17 as high as 5, in fact we have some data that
18 suggests, for example, mortality from lung
19 cancer in tuberculosis fluoroscopy patients
20 that would suggest a threshold even though we
21 all have questions about the meaning of that
22 data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The UNSCEAR used a quadratic model
2 to fit the data for bone cancer in the A-bomb
3 survivors. That's a very different one. It
4 produces a risk at low doses that's very high.
5 It produces a DDREF that's very great.

6 And your point about, yes, if we
7 lump all the solid cancers together and we get
8 what looks like this interesting straight
9 line, is that model representative of the data
10 all over the entire four-sievert range.

11 If we fit a model that had to
12 accept all of the data from zero to four
13 sieverts, would it have the same linearity as
14 the data from zero to one and a half sieverts
15 or two sieverts that's currently being
16 modeled?

17 No, because you'd have to have a
18 cell-killing component that would affect the
19 curvature at the low end and the low doses.
20 It's going to change it.

21 The A-bomb survivor data had not
22 been adjusted for effects of smoking. What

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would happen if we took out lung cancers and
2 related cancers?

3 The data for the A-bomb survivors
4 for digestive cancers are clearly different in
5 terms of the incidence of those cancers from
6 Western populations and other populations.

7 What would happen if we took those
8 out and then remodeled the data? Are we going
9 to get such a perfect straight line?

10 These kinds of uncertainties are
11 still inherent in the A-bomb survivor data.
12 It's the best data set we have, but it's not
13 perfect. We have no perfect data sets.

14 That's why I'm saying is we have to
15 be very careful not to restrict uncertainty
16 unnecessarily because of some preconceived
17 assumptions that we have. I would rather -

18 CHAIRMAN RICHARDSON: I'm not
19 talking about restraining uncertainty.

20 DR. TRABALKA: No, I know you're
21 not.

22 CHAIRMAN RICHARDSON: There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually been quite a bit done on assessment
2 of confounding by smoking.

3 DR. TRABALKA: That's not -

4 CHAIRMAN RICHARDSON: I'm saying if
5 there's uncertainty in the risk coefficient
6 and there's already been uncertainty factors
7 added into the risk coefficients to deal with
8 transporting risk coefficients from a Japanese
9 population with different baseline rates to
10 another one, there's uncertainty coefficients
11 added in for dealing with potential
12 confounders, but there's - if you want to add
13 in more uncertainty, then just increase the
14 uncertainty.

15 The kind of - if that's what this
16 is about, then just make it much simpler.
17 Just take the risk coefficient and increase
18 the uncertainty at the low-dose range.

19 DR. HOFFMAN: And, in fact, that's
20 exactly what's being done at this point.

21 CHAIRMAN RICHARDSON: Okay, maybe
22 that's it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HOFFMAN: I mean, it's not that
2 there's something magical in this DDREF. The
3 fact that the central value is moving closer
4 towards one, but then as the dose goes down,
5 we increase the uncertainty, but the overall
6 functional effect is exactly as you say.

7 CHAIRMAN RICHARDSON: Okay, that's
8 great then. What I would suggest is we can
9 say, you know, previously what was done was we
10 divided the risk coefficient by a value that
11 was not unity and we had an uncertainty
12 distribution about it, which had lots of
13 implications.

14 What we're suggesting is
15 extrapolation down to low doses implies
16 uncertainty. So we're going to increase the
17 uncertainty on the risk estimates.

18 DR. HOFFMAN: Yes.

19 CHAIRMAN RICHARDSON: That's a very
20 straightforward story. I found that 400 pages
21 of arguments about kind of biological
22 processes really was obscuring something.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What you're talking about is
2 uncertainty due to statistical and precision
3 in risk assessments as you extrapolate the low
4 doses.

5 That's a one-paragraph -

6 MEMBER ZIEMER: But I don't think
7 they're describing it that way. Maybe the -

8 DR. NETON: The distribution is
9 closer to one, but it's not one in the central
10 estimate.

11 CHAIRMAN RICHARDSON: It's not,
12 exactly. So we've introduced something more
13 complicated. And I'm asking, you know, I'm
14 still not satisfied with the description of
15 the etiology of the complication.

16 DR. TRABALKA: Well, if you have
17 suggestions for alternate choices of data and
18 approach, we certainly welcome them.

19 DR. KOCHER: Yes, I have some
20 misgivings about saying that the dose response
21 for all solid cancers combined defines DDREF
22 because that -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: Well, I do
2 too.

3 DR. KOCHER: That implies that every
4 - IREP is interested in individual cancers.
5 And you kind of have to bear that in mind.

6 DR. TRABALKA: You know, and there's
7 a page that has a set of figures from
8 Preston's report, the dose responses for the
9 individual cancers, and there's also another
10 one that - another page that shows the dose
11 response for skin cancer and those data are
12 all over the map.

13 CHAIRMAN RICHARDSON: You're talking
14 about the estimate of the magnitude and shape
15 of the site-specific cancer risk; is that
16 right?

17 DR. TRABALKA: Let's look at -

18 CHAIRMAN RICHARDSON: Yes, and again
19 I would say as you get to site-specific cancer
20 risk coefficients, they have larger
21 uncertainties than the analyses in which you
22 pool categories of either cause of death or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 types of cancers.

2 DR. TRABALKA: That's right.

3 CHAIRMAN RICHARDSON: I mean, again,
4 this is by definition.

5 DR. TRABALKA: Right.

6 CHAIRMAN RICHARDSON: Statistical
7 imprecision and uncertainty.

8 DR. TRABALKA: The figures on Page
9 136, for example, from Preston and Company's
10 report, if you compare the parametric -
11 nonparametric fit, for example, with the
12 linear extrapolation, you get to see some
13 rather interesting variations that are, in
14 part, statistical in nature and give you some
15 idea of what the uncertainty might be.

16 Looking at the one, the figure for
17 thyroid cancer on Page 136, Preston and
18 Company made the observation that doses below
19 about half a gray or roughly in that region,
20 that there appeared to be a pattern of perhaps
21 super linearity in the response.

22 So and you look at lung cancer, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can see something similar to that. So there
2 are all kinds of ways you can interpret the
3 low-dose response in these data.

4 But I think looking at alternative
5 models like you suggested is an interesting
6 exercise that should be done.

7 DR. HOFFMAN: And, in fact, in other
8 work that we're involved with, with Dale
9 Preston, Charles Land and Peter Jacob and his
10 colleagues in Germany, they are developing
11 approaches whereby the uncertainty on site-
12 specific cancer risks will include modeling
13 uncertainty accounting for multiple models
14 that plausibly fit the data.

15 This is something that up until
16 now, no international group has undertaken to
17 include the effect of model uncertainty above
18 and beyond the selection of one model and
19 including simply statistical uncertainty on
20 the fit to the epidemiological data.

21 CHAIRMAN RICHARDSON: I certainly
22 agree that as you move to risk coefficients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for site-specific diseases, there's greater
2 uncertainty in the risk estimations and there
3 is greater sensitivity to model selection.

4 I mean, that, you know, again
5 you'll end up with a model best estimate and
6 an estimate of the variance of the risk
7 coefficient.

8 So I think that the suggestion that
9 we provide a brief report maybe talking about
10 the awareness of new data, SENES' efforts to
11 evaluate that and report to NIOSH, and I mean,
12 I agree this is a really important and not
13 straightforward issue.

14 I would hope that the - as we move
15 forward, I mean, maybe, I mean, NIOSH seems
16 like they're going to have an important role
17 in moving this report out to kind of a broader
18 discussion in the scientific community about
19 DDREF.

20 And as they do that, I mean, maybe
21 we could have some comments about the utility
22 of kind of clarifying and hopefully

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 streamlining what has the appearance of being
2 in many cases, a very subjective kind of
3 approach to handling this form of uncertainty.

4 I think that there's probably more
5 that can be done. I mean, what do other
6 people in the Work Group think? Would you
7 like, I mean, as a first step, that we follow
8 something along a brief report to the Board
9 along the lines that Dr. Ziemer suggested?

10 MEMBER ZIEMER: Well, of course I
11 like my own idea, but I would amend it a
12 little bit. Some of the concerns that you
13 raised, I think we can raise those as well
14 that we hope that these issues are looked at.

15 It's always appeared to me that to
16 some extent we get driven into fiddling with
17 these models because they have gotten accepted
18 like the BEIR VII. And you end up with, okay,
19 this is the accepted model. So, now how do we
20 adjust this so it fits what we're doing here?

21 If we go back to the logical
22 simplest case, which is just a linear model,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which from a physics point of view is what you
2 can strive for, the simplest model is what
3 you'd like, and then everything else
4 particularly at the low end is uncertainty,
5 even if it makes sense, it's going to be
6 harder to sell simply because of the way these
7 things have developed over years.

8 And, in part, because of attacks on
9 the linear model, which I suppose reflect some
10 different worlds. One is the epid world,
11 which is -- it certainly makes sense. And the
12 other is the health physics world where we
13 have, to some extent, run into problems with
14 going as low as reasonably achievable and then
15 saying, yes, but there's still risks there and
16 arguments about the risk being greater at the
17 low doses and all of those kinds of things
18 we've gone through those gyrations over
19 decades now. And we find ourselves in this
20 kind of dilemma as to what does it look like,
21 what's the model.

22 So to some extent we end up - and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm not arguing that we shouldn't do this, but
2 you sort of end up fighting the scientific
3 establishment for how you model dose.

4 But I think it's worth raising the
5 issues and maybe SENES goes back and can think
6 about some alternatives to - well, you can
7 accomplish the same thing by this in this
8 simple way. That may be worth looking at too.

9 I don't know.

10 But I think David's raised some
11 interesting points for us to think about.

12 MR. KATZ: Just from a process
13 standpoint, I'm just also wondering, I think
14 raising these questions might be very useful
15 for the peer review context as well.

16 I mean, why not put those -

17 MEMBER ZIEMER: Yes, you can ask the
18 reviewers to think in terms of as those
19 questions even if you - even if they are not
20 explicitly raised, maybe could you accomplish
21 this just as easily in this other manner. I
22 don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HOFFMAN: Well, I think we're
2 going to take these seriously one way or
3 another. And as we work through this report,
4 I mean, if it turns out that for acute
5 exposures there is a more transparent
6 straightforward way of handling the increase
7 in uncertainty in risk with decreasing dose, I
8 would like to recommend that that be adopted.

9 But right now, this is the best
10 we've been able to do.

11 MEMBER MUNN: From my perspective -

12 MEMBER FIELD: This is Bill. Can I
13 just make a comment or two?

14 MEMBER MUNN: Please.

15 MEMBER FIELD: Yes, I've just been
16 listening to this and I'll tell you my
17 learning curve has been at a high rate here.

18 MEMBER MUNN: Is it bent?

19 MEMBER FIELD: I think I have
20 previous knowledge about some of the aspects
21 of the report. But, boy, the scope of the
22 knowledge you need to understand the report in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 its totality with all the possible
2 interactions with the topical areas has been a
3 bit challenging for me.

4 And this whole process I think
5 would be a lot easier, obviously, if there was
6 somebody else that had some sort of report
7 that was considered a consensus.

8 I think what we're running into is
9 that the report as presented is very pushing
10 the envelope as far as what's known. And we
11 don't really know what the consensus will be
12 on this report from content experts or the
13 public or others.

14 So I think at this point, I think
15 content experts, to me it's very critical to
16 really see where the points of agreement lie
17 or where the points of disagreement lie that
18 we can explore further.

19 And I think considering that, it's
20 going to be very important to figure out which
21 five people you ask to review it. Because as
22 you know, you can pick five people that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know a priori will probably consent on it or
2 probably say, this is pretty good stuff.

3 So I think getting a diverse group
4 of reviewers would be good. And I would favor
5 five as opposed to three. And I think once
6 that process is done, then I think it would be
7 interesting then to come back together and see
8 if they found some of the similar problems
9 that Dave has brought up or some of the
10 similar concerns, to see if they also are
11 sharing those concerns.

12 MEMBER MUNN: Thank you, Bill. This
13 is Wanda, and this - Bill's comments bring me
14 back, I think, to my original question which
15 may need to be deferred until after we've had
16 our discussion that we mentioned earlier at
17 our next meeting.

18 And that is, is the purpose of this
19 group to help define the science, or is the
20 purpose of this group to report out that we
21 feel that the agency is or is not pursuing the
22 proper effort to assure that the best science

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is being used?

2 It's a fairly basic question, I
3 think, and one with which I think the Work
4 Group needs to come to grips very clearly
5 because there's an enormous difference in
6 whether or not we will immerse ourselves in
7 the science of this process as it goes
8 forward, or whether we will make a judgment
9 call as to whether or not the agency is
10 pursuing the best science. Two entirely
11 different questions.

12 MR. KATZ: Can I say something to
13 this?

14 I mean, I think -

15 MEMBER MUNN: Can I stop you?

16 MR. KATZ: You can if you want to,
17 but I think the Board can do both in a sense.

18 I mean, I think the Board is welcome
19 particularly when the agency invites it, to
20 provide counsel along the way, as well as at
21 the end of the day provide advice, counsel on
22 what it thinks about the results that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agency thinks it's going to take.

2 So, I don't think there's really
3 necessarily an either/or here. And the agency
4 is clearly asking for advice along the way.
5 And I think as deep as you are able to go on
6 that question is being welcomed.

7 So it's really more a matter of
8 your capacity to provide such advice, but I
9 don't think you're constrained. That's my
10 point of view.

11 MEMBER MUNN: Well, it's a point I
12 think we need to come to some agreement on as
13 a Work Group.

14 CHAIRMAN RICHARDSON: Again, we did
15 have a discussion about scope and process.
16 And I think what I'll do is recirculate the
17 discussion of the scope and the points that
18 were laid out there, and then we can talk
19 about that again.

20 I would view the scope as something
21 larger than saying is the process NIOSH is
22 taking sufficient. I hope that we can talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about the substance of the issues as well.
2 That's, I mean, the way that we talk about the
3 substance of other technical issues, which is
4 to provide some form of evaluation and comment
5 on them.

6 MEMBER MUNN: Well, it would be hard
7 for us to take a position as to whether or not
8 the process is appropriate without spending
9 some time looking at the nitty-gritty.
10 There's no question about that. We have to
11 know what is going on and what the issues are
12 if we're going to weigh in on whether or not
13 it's appropriate.

14 CHAIRMAN RICHARDSON: Yes.

15 MEMBER ZIEMER: And I don't think we
16 will, as a Work Group, come up with sort of
17 the decision on whether a linear low-threshold
18 model is the preferred one over - but we want
19 to make sure the questions are asked and that
20 the scope of what's being done is being fully
21 considered in going - I mean, I'm going to
22 rely on the experts because that, you know, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 work, you know, some of us even teach about
2 there being a no-threshold model, but we're
3 not, you know, the experts on the biology or
4 even the statistics of it.

5 MEMBER ROESSLER: That's one whole
6 lecture on the various models.

7 MEMBER ZIEMER: Oh, I can stretch it
8 into two if necessary.

9 (Laughter.)

10 CHAIRMAN RICHARDSON: I think
11 there's - I get the sense that there's
12 agreement that there's going to be a lot of
13 value in seeing what Bill was calling the
14 content experts, seeing what they come back
15 with. And I think that makes a lot of sense.

16 I think it would be worthwhile for
17 us to maybe at the next meeting at least
18 whether it's in written form or orally, at
19 least, to update the Board on what we're
20 doing.

21 Does that make sense?

22 MEMBER MUNN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: And then to
2 kind of move forward with kind of keeping an
3 eye on the process that NIOSH is going to take
4 with their external review and hopefully have
5 an opportunity to read over the comments that
6 come back from the content experts. And
7 expect that we'll take it upon ourselves to at
8 that point, provide a follow-up discussion
9 maybe in a little bit more formal sense based
10 on their comments in the report.

11 MEMBER ROESSLER: David, with regard
12 to the selection of the content experts, and I
13 think we're wanting to do it fairly soon, do
14 you see that the Work Group would ask to be
15 involved in the decision on that at some
16 point, some soon date?

17 CHAIRMAN RICHARDSON: I don't know.
18 I think NIOSH usually has a fairly formal
19 process laid out for that, don't they?

20 MEMBER ROESSLER: NIOSH just went
21 out for a minute.

22 MR. KATZ: Jim Neton stepped out. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean, they have a process for selection, but,
2 I mean, I think they are open to suggestions.

3 I mean, I think they start with a pool and a
4 number of factors as to what they end up with,
5 including willingness to perform.

6 So, I would just say to all of you
7 here, I mean, on the Work Group that certainly
8 if you have people in mind that you think
9 should be considered, NIOSH will be glad to
10 hear that.

11 MEMBER ZIEMER: Yes, I wouldn't want
12 us to review names -

13 MR. KATZ: I'm not saying -

14 MEMBER ZIEMER: -- as a Work Group,
15 but individually -

16 MR. KATZ: Yes, that's what I mean.

17 And it's NIOSH's decision, but certainly any
18 advice, suggestions you have I think will be
19 helpful to NIOSH in this next step.

20 MEMBER MUNN: We could always ask
21 NIOSH about it after lunch.

22 MR. KATZ: NIOSH being Jim Neton who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stepped out, you mean, right?

2 MEMBER MUNN: Lunch being -

3 MR. KATZ: I don't think he'll tell
4 you anything much different.

5 MEMBER MUNN: Lunch being the
6 operative word.

7 MR. KATZ: I understand that lunch
8 is on your mind.

9 MEMBER ZIEMER: David, do you plan
10 to give sort of an interim report at our
11 teleconference? At least report on this
12 meeting. It's coming up in two weeks.

13 CHAIRMAN RICHARDSON: That sounds
14 like a good idea.

15 MEMBER ROESSLER: What date is the
16 teleconference?

17 MR. KATZ: April 26th at 11:00 a.m.

18 MEMBER MUNN: Correct.

19 MR. KATZ: The question was, when
20 was the Board teleconference, for those folks
21 on the phone who -

22 MEMBER ZIEMER: April 26th.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right. So do we have a -
2 Wanda is almost making the motion for lunch.

3 MEMBER MUNN: Yes, I am.

4 MR. KATZ: But let me just ask,
5 because it's not clear to me, do we have an
6 agenda for after lunch?

7 MEMBER MUNN: Guess I thought we
8 did. Don't we have several other items?

9 CHAIRMAN RICHARDSON: My sense is
10 that on this topic, we're at a stopping point
11 with DDREF unless there are clear ways forward
12 aside from providing kind of a report on our
13 status and the status of NIOSH's activities
14 and then holding this topic until we see back
15 kind of the external review.

16 MR. KATZ: So then the only question
17 that I think I'd raise in an email - hope I
18 didn't - maybe I didn't - was whether the Work
19 Group wants to at this point, and you may not,
20 to discuss the rest of the agenda other than
21 this of this Work Group, whether you want to -
22 whether you need any discussion of what other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 items are on that agenda and their path
2 forward, etc.

3 MEMBER ZIEMER: Well, what were
4 these other slides that were in our packet
5 that you sent out? Were those from NIOSH path
6 forward?

7 DR. NETON: You mean the - there was
8 an agenda that was sent out.

9 MEMBER ZIEMER: No, there were two
10 sets of slides you sent us.

11 DR. HOFFMAN: That other thing, get
12 rid of it because that's supposed to be an
13 internal discussion between us and NIOSH.

14 (Simultaneous speaking.)

15 MR. KATZ: So everyone in the Work
16 Group, just to be clear, what I sent forward
17 this morning to the Work Group was what I
18 received from SENES.

19 MEMBER MUNN: Can someone please
20 tell me what SENES stands for? Nobody knows?

21 DR. HOFFMAN: Yes, to give you the
22 story -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KOCHER: Just give them the
2 answer. It's lunchtime, Owen. Define the
3 acronym.

4 DR. HOFFMAN: Yes, but I have to get
5 warmed up into it. It's Scientists -

6 DR. KOCHER: No, it's not.

7 DR. HOFFMAN: Specialist - okay, you
8 name it. I can't remember.

9 DR. KOCHER: Well, it's the
10 specialist part. And then N is nuclear and S
11 is sciences. And then the two Es are either
12 energy and environmental or vice-versa.

13 DR. APOSTOAEI: No, it stands for
14 Specialists in Energy, Nuclear and
15 Environmental Sciences.

16 MEMBER MUNN: All right.

17 DR. HOFFMAN: We get asked that
18 question so seldom.

19 DR. KOCHER: The N stands for
20 nuclear. And the two Es stand for
21 environmental and energy.

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. APOSTOAEI: Specialists in
2 Energy, Nuclear and Environmental Sciences.

3 DR. HOFFMAN: Specialists in Energy,
4 Nuclear and Environmental Sciences.

5 MEMBER MUNN: Thank you.

6 DR. HOFFMAN: It goes way back to
7 the Canadian firm in Toronto that wanted to
8 name their firm Energy Nuclear and
9 Environment, and someone else had ENE already
10 coined. So, they put Ss on either side of it.

11 And then later people said, what
12 does SENES stand for? What does SENES stand
13 for? Specialists in Energy, Nuclear and
14 Environmental Studies.

15 MR. KATZ: So let me just return to
16 the question so that we can decide whether
17 we're adjourning or we're breaking for lunch.

18 David and the Work Group, do you
19 have any discussion, do you want any
20 discussion at this point about other agenda
21 items of the Work Group?

22 CHAIRMAN RICHARDSON: Well, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the next Work Group meeting we would return
2 to the topic of scope and process. And then
3 one of the suggestions as another topic was
4 something that's going to parallel this very
5 closely was issues of RBE. And I believe
6 SENES has a report as well on that, which if
7 it's available, we may want to ask for that
8 and kind of follow a similar line.

9 Is Jim back?

10 DR. NETON: Yes, I'm back. Sorry.

11 CHAIRMAN RICHARDSON: Does that -
12 would that be possible, and is that -

13 DR. NETON: Yes, I'm trying to
14 remember if there is actually a standalone
15 report, or is it just the Health Physics
16 publication? I think there's a NIOSH report.

17 DR. KOCHER: There's a huge paper on
18 your website that was put up in 2002.

19 DR. NETON: You're right. You're
20 right. So, yes, that's out there available
21 now.

22 DR. KOCHER: And that represents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what's in the program, what's in the code.
2 There's nothing like this DDREF report about
3 what might come next.

4 DR. NETON: No, no. There's not
5 been any additional work on our radiation
6 effectiveness factors. The report that's out
7 there is what we're using - intend to use, but
8 it could be summarized on a presentation.
9 Because as we pointed out or discussed, some
10 of the high-LET distributions are affected by
11 DDREF applications.

12 MEMBER ZIEMER: We did have a list
13 that we prioritized at our previous meeting of
14 the issues that we'd look at. I don't recall
15 the top five, but -

16 CHAIRMAN RICHARDSON: I believe RBE
17 was number two.

18 MEMBER ZIEMER: Okay.

19 CHAIRMAN RICHARDSON: There was also
20 issue - the third one on that list if I'm
21 recalling correctly, it was the adjustment of
22 Probability of Causation based on other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 factors.

2 There was interest in reviewing
3 smoking and radiation joint effects.

4 MEMBER MUNN: Yes, there was that.

5 CHAIRMAN RICHARDSON: And both of
6 those would be, you know, again, meaty topics
7 to get into and report back on.

8 MEMBER ZIEMER: Well on RBE, is
9 there anything active going on in the agency
10 there? And I guess my question is are there
11 particular issues that we would need to
12 address on RBE versus smoking, which I know we
13 adjust for smoking in part of the model for
14 lung cancers, but is there additional smoking
15 information that's available that should be
16 looked at? Anything new there?

17 CHAIRMAN RICHARDSON: There were
18 some questions about how joint effects were
19 being handled. And this has come up several
20 times, I think, at the full Board meetings.
21 And some interest in asking that there be some
22 evaluation of kind of time friendliness of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those -

2 DR. NETON: Yes, I think Dr. Lemen
3 raised an issue some time ago.

4 MR. KATZ: Yes, I think that's
5 right.

6 DR. NETON: That sort of was the
7 genesis, I think, of the Science Work Group
8 being put together, was a question that was
9 raised on smoking and interaction, I believe.

10 CHAIRMAN RICHARDSON: Right.

11 MEMBER MUNN: Yes.

12 DR. NETON: Susan Reutman, our
13 epidemiologist, is working on that issue now
14 doing some pretty extensive annotated --
15 searches and stuff to build up an annotated
16 bibliography of -

17 MEMBER ZIEMER: Well, would it be of
18 value just to have sort of an update on what -

19 DR. NETON: We could describe what
20 we currently do and how it evolved and what's
21 maybe out there.

22 MEMBER ZIEMER: And what's out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, what the issues are. And we obviously
2 aren't in a place where we would do anything
3 to -

4 DR. NETON: Correct. Because, you
5 know, we've always maintained as the science
6 evolved, we may revisit that issue.

7 CHAIRMAN RICHARDSON: Yes, there
8 was, you know, RERF had a report either in
9 2011 or very early 2012 on fitting some new
10 joint models for smoking and radiation with
11 lung cancer, which went a good ways beyond
12 what had been done previously with those data.

13 So that would be useful to kind of also
14 consider.

15 MEMBER MUNN: But also based on the
16 notes from your last meeting before you go
17 away from the idea of the RBE issues, even
18 though that does not seem to be a burning
19 issue or one that's of as much interest as the
20 smoking and PoC questions, since SENES has
21 done a recent update of where we are with
22 that, it would seem only logical that we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 want to at least hear about that.

2 And as you suggested last time,
3 David, report back to the Board on the status
4 of the assumptions that are made in RBEs, our
5 assertion that they are or are not good
6 science.

7 DR. NETON: Well, I'm a little
8 confused, Wanda. There is no update by SENES
9 on the radiation effectiveness factor.

10 MEMBER MUNN: Oh.

11 DR. NETON: It stands as it was, and
12 we currently have no plans to revisit that.

13 MEMBER MUNN: Okay.

14 DR. TRABALKA: Wanda may have been
15 referring to what I said that David's involved
16 in an NCRP committee that's looking at those.

17 MEMBER MUNN: No, I was just reading
18 from that from the transcript from last time.

19 Led me to believe that a study that SENES has
20 recently done is an update of all the
21 information on the RBEs.

22 That's all right. Forget it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: And switching
2 the order so that we deal with the PoC and
3 then maybe come back to the RBE might also be
4 useful because the NCRP is looking at this
5 issue. They may be further along. Maybe they
6 won't be.

7 MR. KATZ: So just to remind you all
8 as well because these - seems like these are
9 all IREP-related matters, but there are some -
10 - these would have been termed differently,
11 cross-cutting or whatever, science issues
12 related to dose reconstruction that also have
13 been suggested might be considered by this
14 Work Group. They were in the lineup, I think,
15 as I understand it.

16 DR. NETON: I thought the decision
17 was made early on not to include those because
18 they're handled under a different format like
19 the Procedures Work Group handles those cross-
20 cutting issues.

21 MEMBER ZIEMER: You mean like the
22 resuspension factor?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Resuspension factors and
2 that sort of thing, yes.

3 MR. KATZ: There have been a
4 variety. And I've shared - I've sent, I
5 think, to the whole Work Group, as well as to
6 David, emails including some transcript
7 material that was suggested by the Procedures.

8 But the Procedures Subcommittee had sort of
9 expressed -

10 DR. NETON: But I think if you go
11 back and read, I think that was all sent out.

12 And I think at some point when the Work Group
13 developed their charter or mission statement,
14 I thought that they agreed to focus primarily
15 on risk model issues. I could be wrong.

16 MR. KATZ: No, that was never
17 explicitly discussed, I don't think.

18 DR. NETON: Really?

19 MR. KATZ: If it was, I missed that.

20 DR. NETON: Well, David's the chair.
21 He can -

22 MR. KATZ: David, what's -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN RICHARDSON: That was early
2 on kind of in the discussion of scope,
3 science-based issues impacting on risk models
4 used by the program and that the group would
5 focus on risk model issues.

6 So are there - Ted, you're pushing
7 for scope creep?

8 MR. KATZ: I'm not pushing at all.
9 So let me make that clear. But there were a
10 number of items that were more dose
11 reconstruction, but sort of fundamental - or
12 cross-cutting dose reconstruction matters,
13 more science than sort of particulars related
14 to sites or what have you, things that have
15 arisen that a number of sites and caused
16 concern and never been really properly put to
17 bed.

18 And there certainly were at least
19 suggestions on the Procedures Subcommittee
20 that these might be matters best taken up by
21 the Science Issues Work Group versus the
22 Procedures Work Group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So that's the discussion that
2 arose. And some of these items were, I think,
3 in the list that sort of was the -

4 DR. NETON: Well, there were two I
5 think.

6 MR. KATZ: Anyway, of this Work
7 Group produced by Jim Neton. So those weren't
8 - those items weren't prioritized when the
9 Work Group first met, the Science Issues Work
10 Group first met, but they were raised in an
11 initial paper that I gave to the Issues Work
12 Group.

13 And then since then, I have, like I
14 said, I think shared some transcripts and
15 other materials with you, David, when the
16 question arose in the Procedures Subcommittee.

17 So it's clear that no one is ready
18 to take that up right now, that issue. But I
19 think maybe at the next meeting you might want
20 to just consider those issues and whether they
21 deserve to be addressed by this group or rest
22 with other groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Procedures is the only sort of
2 generic group there is to otherwise take them
3 up, but it's constituted very differently than
4 this group. I mean, you have a lot of
5 scientists on this group that are good for
6 some of these issues, I think.

7 MEMBER MUNN: We perhaps can shine a
8 little light on that by next time.

9 CHAIRMAN RICHARDSON: That sounds
10 good.

11 MR. KATZ: Okay.

12 CHAIRMAN RICHARDSON: Well if - I
13 know Wanda is hungry.

14 MEMBER MUNN: You bet your bottom
15 dollar.

16 CHAIRMAN RICHARDSON: So I would
17 suggest adjourning at this point if that's
18 acceptable.

19 MEMBER MUNN: If we have nothing
20 more to discuss, then -

21 MEMBER ZIEMER: I move we adjourn.

22 MEMBER MUNN: Second.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LEMEN: I'll second it.

2 MR. KATZ: It's good to hear your
3 voice, Dick.

4 MEMBER LEMEN: Well, I'm still here.
5 I've learned a lot today.

6 DR. NETON: Just to clarify, I'm
7 looking at the Science Issues Work Group
8 mission statement on our website.

9 (Simultaneous speaking.)

10 DR. NETON: It says is responsible
11 for reviewing the status and number of risk
12 model issues that have been identified as
13 important for the EEOICPA program. These
14 include incorporation of epidemiologic
15 studies, DDREF, cancer, age-at-exposure. the
16 Work Group will review the status of the
17 current work and report back to the Board.

18 MR. KATZ: I'm familiar with that,
19 but that was generated after the first meeting
20 where we just came up with this initial
21 priority list and some of the things just
22 really weren't addressed in that discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: All right. I thought it
2 was the other way around.

3 MR. KATZ: No, it wasn't. We
4 actually it took a while to clarify that
5 charge. We started off thinking we knew the
6 charge. And then we readdressed it and came
7 back to the Board.

8 DR. NETON: Okay.

9 MR. KATZ: Anyway, it's -

10 DR. NETON: Okay.

11 MR. KATZ: We can resolve it at the
12 next meeting.

13 MEMBER ZIEMER: Well, I think the
14 Work Group can look at those overarching
15 issues and see if there are science issues in
16 there that we need to look at.

17 MR. KATZ: Right. I mean, the scope
18 can be changed. So the important issue is
19 where is the best place to address those
20 issues, not whatever scopes are specified
21 currently for these different Work Groups.

22 Okay. So I think we're -- thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you, everyone. David, thank you.

2 CHAIRMAN RICHARDSON: Sure. And I
3 look forward to seeing you soon.

4 MR. KATZ: Yes. Take care.

5 (Whereupon, the meeting was
6 adjourned at 12:52 p.m.)

7

8

9

10

11

12

13

14

15

16

17

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701