

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR
OCCUPATIONAL SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION
AND WORKER HEALTH

+ + + + +

HANFORD WORK GROUP

+ + + + +

WEDNESDAY,
SEPTEMBER 12, 2012

+ + + + +

The Work Group convened
telephonically at 1:00 p.m., Eastern Daylight
Time, James M. Melius, Chairman, presiding.
MEMBERS PRESENT:

JAMES M. MELIUS, Chairman
BRADLEY P. CLAWSON
PHILLIP SCHOFIELD
PAUL L. ZIEMER

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
ISAF AL-NABULSI, DOE
ROBERT BISTLINE, SC&A
FRED DUNCAN, ORAU Team
SAM GLOVER, DCAS
JENNY LIN, HHS
ARJUN MAKHIJANI, SC&A
JIM NETON, DCAS
LaVON RUTHERFORD, DCAS
SCOTT SIEBERT, ORAU Team
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

TABLE OF CONTENTS

AGENDA ITEM	PAGE
SC&A review of Hanford SEC Petition #155...	6
Summary and WG report to the Board.....	58
Status of current Hanford activities.....	61

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:01 p.m.)

3 MR. KATZ: First of all, it's the
4 Advisory Board on Radiation and Worker Health.
5 It's the Hanford Work Group. Welcome,
6 everybody.

7 Let's begin roll call. Since
8 we're speaking about a specific site, please
9 speak to conflict of interest as well for all
10 Board Members and agency staff and related
11 staff. And let's begin with the Board
12 Members. Thank you.

13 (Roll call.)

14 MR. KATZ: So the agenda for this
15 meeting is posted on the NIOSH website. And
16 the document, which is the SC&A review of the
17 petition and NIOSH's Evaluation Report on the
18 petition, is up on the NIOSH website, as is
19 the presentation by which people can follow
20 along summarizing that review by SC&A, which
21 Arjun will be doing.

22 So let me just remind everyone,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 please, if you are not addressing the group,
2 mute your phone. If you don't have a mute
3 button, use *6 to mute your phone. And then
4 you press *6 again to take your phone off of
5 mute. Please nobody put their phone call on
6 hold. Hang up and dial back in if you need to
7 leave for a piece.

8 And, Jim, it's your agenda.

9 CHAIRMAN MELIUS: Okay. Yes.
10 Thank you, Ted. And thank you for everybody
11 to come on this call.

12 Today we are going to be focusing
13 on Hanford, the Petition Number 155. And so
14 that is going to be probably the major part of
15 the discussions of this meeting. We will at
16 least have as an agenda item a brief update on
17 other Hanford activities at the end of the
18 call, but, again, the major focus is the
19 Petition 155. And we have recently received a
20 very thorough evaluation of the NIOSH
21 Evaluation Report on that SEC petition. And
22 SC&A has done that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I am going to ask Arjun to
2 present the SC&A review. And, as Ted
3 mentioned, he has prepared a short
4 presentation on that. So I'll turn it over to
5 Arjun, and then we'll have questions and
6 comments and further discussion on that.

7 So, Arjun, go ahead.

8 DR. MAKHIJANI: Thank you, Jim.

9 So I will just mostly follow the
10 slides. I have a couple of other things I
11 would like to mention along the way. But I
12 will follow along with the slides so we have
13 the record of what's being done. And, if you
14 like, of course, you can interrupt me with
15 questions on any slide or save it to the end.

16 The petition relates to the
17 1987-89 period to Hanford 200 area. And its
18 basis is that the bioassay data are not
19 trustworthy and should not be used for dose
20 reconstruction.

21 Environmental Protection Agency
22 had several problems with U.S. Testing in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period that were detailed in various documents
2 that are part of the petition. NIOSH
3 evaluated this petition and found that any
4 data mishandling and fraud had not affected
5 the bioassay data. And so the bioassay data
6 could be used for dose reconstruction.

7 And the Board asked SC&A to
8 review, and we did. We focused on four
9 questions, and they're not in the slide, but I
10 should mention them. Of course, a large part
11 of our investigation was, was that direct
12 evidence of fraud or mishandling of data that
13 affected the bioassay program? We looked hard
14 for evidence.

15 Were there issues of concern that
16 point to the potential for fraud or data
17 mishandling? Were there other data integrity
18 concerns? And how do the issues raised by the
19 EPA relate to the usability of the bioassay
20 data?

21 So these were the four broad
22 questions. And we did a pretty wide-ranging

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review. We reviewed the petition and
2 Evaluation Report, a number of other documents
3 from the EPA relating to the testing. We
4 reviewed internal U.S. Testing and PNL audits
5 of the bioassay program. We reviewed the
6 external reviews in 1990 and '90-'91 that were
7 done as part of this whole investigation of
8 fraud and mishandling. And we reviewed
9 documents supplied by the petitioner. And we
10 also reviewed non-public documents. And Bob
11 Bistline was our point person for doing that.

12 And they were reviewed along with NIOSH and
13 with Board Member Brad Clawson.

14 We also did a lot of other
15 research. We interviewed the petitioner and
16 the petitioner's representative. We reviewed
17 external -- the external bioassay expert for
18 the 1990 oversight. We interviewed two
19 external experts who participated in the May
20 1990 oversight and had raised a specific
21 concern. No. Sorry. We interviewed one of
22 the two external experts who participated in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the May 1990 oversight for the DOE. And we
2 interviewed two of the external experts who
3 did the 1991 retrospective review.

4 And during these interviews, Board
5 Member Brad Clawson and Sam Glover were
6 present. And there was also a DOE
7 classification officer. And all interviews
8 which have been reported in the report itself
9 were reviewed by DOE for classification and
10 also by the interviewees.

11 And we reviewed data quality
12 issues pretty extensively, including MDAs,
13 minimum detectable activities. And we
14 specifically reviewed bioassay data for
15 plutonium, uranium, americium, strontium-90
16 and neptunium, and four completed dose
17 reconstructions from just as specific kind of
18 bioassay data used to address an issue raised
19 by the petitioner.

20 MEMBER CLAWSON: Arjun?

21 DR. MAKHIJANI: Yes?

22 MEMBER CLAWSON: This is Brad. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just wanted to let you know that I am on the
2 phone. I have been on for a little while.
3 But I hate to interrupt you. I just wanted to
4 let you know I was on.

5 DR. MAKHIJANI: Well, and thank
6 you, Brad, for all the effort you made during
7 this process.

8 MEMBER CLAWSON: No problem.

9 DR. MAKHIJANI: So just to address
10 directly the question, did fraud affect U.S.
11 Testing bioassay data? So we looked
12 extensively for evidence of fraud or
13 mishandling of data. We asked the petitioner
14 and the petitioner's representative for
15 documentation of personal knowledge of fraud.
16 And none of the information provided
17 contained direct evidence of fraud in the
18 bioassay program.

19 The interviews revealed two issues
20 that could be potentially of concern, and I
21 will talk about them in more detail. But
22 those two issues also had reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explanations and did not indicate fraud.

2 We didn't find any motive for
3 fraud in the bioassay program. The reviews
4 that were conducted could have detected crude
5 levels of fraud, but they did not find. But
6 it should be stressed -- and you will see this
7 in the interviews as well as in the
8 documentation of the reviews -- that these
9 reviews as well as the audits that were done
10 during the time by U.S. Testing, or by PNL, I
11 should say, were not set up to find or detect
12 sophisticated fraud.

13 So our conclusion is that, to all
14 available evidence, U.S. Testing bioassay data
15 are not affected by fraud or mishandling of
16 data. But obviously since none of the
17 internal or external audits were structured to
18 detect sophisticated fraud, you know, we can't
19 arrive at any complete and 100 percent
20 definitive conclusion about this.

21 There are two views of data
22 relating to the fraud. The petitioner in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 petitioner's interview, as well as the
2 Department of Energy in 1990, PNL itself, and
3 Environmental Protection Agency, indicated in
4 various ways that if any part of the data
5 generated by U.S. Testing was affected by
6 knowing and willful manipulation of tests or
7 data, that all of the data should be regarded
8 as suspect. So in that case, if the data are
9 suspect, then the implication is they should
10 not be used.

11 And this was explained by the
12 then-DOE site manager in a deposition. There
13 was a lawsuit after the PNL contract was
14 terminated. And PNL terminated the U.S.
15 Testing subcontract, including for the
16 bioassay program, for default in 1990, along
17 the lines that are quite similar to the
18 reasoning of the petitioner in the
19 petitioner's interview as well as in the
20 petition itself.

21 In contrast, there is another view
22 expressed by the oversight and retrospective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reviews in 1990 and '91, that found the
2 bioassay data to be acceptable because there
3 was no direct evidence of fraud -- there were
4 quality assurance and other issues, but
5 overall these reviews found and the
6 participants that we interviewed confirmed
7 during the interviews that the data were
8 useable.

9 One interviewee said he would give
10 a qualified yes to the usability of the data
11 for reasons that are explained in the
12 interview. And I can go into it in more
13 detail.

14 But the reviews did not conclude
15 that bioassay data were unusable because of
16 quality assurance issues or because of the
17 fraud issues that had been raised on the
18 chemical side of the U.S. Testing program.

19 And, finally, in the court
20 proceeding regarding the termination of U.S.
21 Testing's contract, the court said that
22 termination of the contract for default was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 not warranted, but termination for convenience
2 essentially, as I read it anyway, that because
3 so many concerns had been raised, that the
4 contract had been terminated for convenience.

5 There were a number of quality
6 assurance issues that stretched back to the
7 1960s. And this was some of the documentation
8 provided by the petitioner and the
9 petitioner's representatives.

10 There was also evidence that U.S.
11 Testing and PNL made efforts to correct these
12 problems, but they persisted from the 1980s
13 until the period under review. Of course, the
14 pre-1987 data quality issues don't have a
15 direct bearing on the usability of the data.

16 We did review the quality
17 assurance issues from the point of view of, do
18 they affect the data sufficiently that they
19 are unusable? Generally, the quality
20 assurance problems related to minimum
21 detectable activities in some cases, for
22 example, for strontium-90, the minimum

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 detectable activities were more stringent than
2 prevailing industry norms.

3 And we have a number of
4 observations and recommendations about the use
5 of the bioassay data. They would need to be
6 adjusted to take into account these quality
7 assurance problems, but we didn't think that
8 problems such as those affecting minimum
9 detectable activities rendered the data
10 unusable.

11 The May 1990 oversight review
12 found that a quality control file had been
13 edited. I am now on slide 8. This edit
14 appears to have a reasonable explanation. And
15 this is based on a memory going back 20 years.

16 There is no paper trail that can verify that
17 only a minor change not involving the data was
18 made. So this is memory from the person who
19 participated in the review itself.

20 Also the quality, the change, the
21 fact that the quality control data file had
22 been changed was flagged in the file itself.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 And this, according to SC&A, lent support to
2 the hypothesis that a change was made to
3 correct an error, rather than to manipulate
4 data. Apparently, no data were changed, just
5 the name of the person.

6 Were data withheld from the 1991
7 review? So the 1991 retrospective review
8 contained, in more than one place, an
9 observation that data were withheld. And so
10 this, of course, raised a question in our
11 minds. And we investigated it. We
12 interviewed two of the participants.

13 There is, in our mind, some
14 uncertainty regarding the completeness of the
15 data in the possession of Pacific National Lab
16 at the time of the review in 1991. But there
17 is no evidence that records were actually
18 withheld to hinder the review or affect it in
19 any way. The unavailable records appear to
20 have been the result of prior procedures for
21 records transfer. And these procedures were
22 basically set by PNL.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the team, the review team
2 itself, concluded that this matter did not
3 affect the conclusions in any way. And they
4 were able to conduct their review in the
5 manner that they desired. And they got all
6 the data that they actually requested for
7 review. And they found no evidence of fraud
8 or data manipulation.

9 So the bottom line in this review
10 of fraud is really a policy question and not a
11 technical question. Technically, we did not
12 find fraud in the bioassay data. But there
13 was the problem of fraud affecting the company
14 and data mishandling in another side. So the
15 bottom line, as it says on slide 10, is,
16 should bioassay data, which to all available
17 evidence are unaffected by fraud but generated
18 by a company that was dismissed because of
19 data manipulation and fraud in another
20 technically unrelated area, chemicals, be
21 trusted for use in dose reconstruction?

22 SC&A did not express a view

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regarding -- because this is a policy
2 question, we felt, for the Board.

3 There were some other observations
4 we made and, in particular, failure to meet
5 minimum detectable activity limits, a quite
6 important observation and finding, actually,
7 but fecal data had never been subjected to
8 quality assurance sampling. NIOSH had also
9 noted this in its Evaluation Report.

10 SC&A concluded that these problems
11 did not invalidate the bioassay data but that
12 appropriate adjustments would be necessary in
13 some cases before their use.

14 We have two findings. There were
15 a number of observations but two findings.
16 Petitioner had raised the review of the proper
17 use of fecal data. And SC&A reviewed four
18 completed cases not in litigation and selected
19 from the cases that NIOSH has completed.

20 In three of those cases, we found
21 that fecal data had been appropriately used in
22 the dose reconstruction, but we found that in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one case, the procedure hadn't been followed
2 and it resulted in a considerable
3 underestimate of the plutonium intake.

4 And the second finding is that
5 there is less confidence in the fecal sample
6 results since no quality assurance samples
7 were ever analyzed in the period under review.

8 And this is what led one of the experts to
9 say that QA samples are needed to assure that
10 results are credible, but it does not
11 necessarily mean that the results are not
12 credible. But certainly was a weakness of the
13 program that there were no fecal QA samples.

14 So there is some uncertainty
15 arising from this problem. And that should be
16 addressed when using the fecal data. Also,
17 obviously, the procedure that had been set
18 down for the use of fecal data should be
19 followed more carefully since we found, in one
20 of four cases, it was not followed. And I
21 should caution four cases obviously does not
22 constitute a statistically valid sample.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Thank you. That ends my
2 presentation.

3 CHAIRMAN MELIUS: Thank you,
4 Arjun.

5 Board Members on the Work Group
6 have any questions for Arjun or comments?

7 MEMBER ZIEMER: This is Ziemer.
8 I'll just make a general comment that I really
9 appreciated the work that was done on this by
10 SC&A. I know it was a very comprehensive and
11 thorough look at the issues. So I appreciate
12 their report.

13 DR. MAKHIJANI: Thank you, Dr.
14 Ziemer.

15 CHAIRMAN MELIUS: Yes. I second
16 that, Paul. I had told Arjun that I think it
17 was a very good report technically and very
18 helpful in terms of understanding this issue.

19 And I think for this particular type of
20 concern, whether it may have been fraud or
21 other problems in a laboratory like this, a
22 thorough report is really the -- the sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thorough technical report and going through
2 the facts and what happened is really the best
3 and only way we can address it. I thought he
4 did a very good job with this and the others
5 at SC&A.

6 DR. MAKHIJANI: Thank you. Of
7 course, I should mention our team. Joyce
8 Lipsztein was a very prominent member. And
9 she did all of the QA review and the dose
10 reconstruction reviews. And Bob Bistline was
11 our document review point person and also
12 participated in the review of the non-public
13 documents. We had a lot of help from Lynn
14 Ayers in terms of the logistics of arranging
15 the interview.

16 CHAIRMAN MELIUS: Any other Board
17 Member comments or questions?

18 MEMBER ZIEMER: This is Ziemer
19 again. I'd maybe just ask NIOSH this
20 question. In the use of this bioassay data
21 for dose reconstruction, had we been using the
22 minimum detectable limits that were stated to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be in the contract, which they apparently
2 didn't need?

3 So I gather that the actual MDAs,
4 or minimum detectable activities, were higher
5 in actuality than the contract had called for.

6 That's a matter of basically you would end up
7 assigning a little more dose if there was a
8 minimal value.

9 Do we know which was used in
10 actual practice?

11 DR. GLOVER: This is Sam Glover.
12 I believe that the TBD -- you know, at Hanford
13 this has been going on for some time. So the
14 TBD is part of the review.

15 And so we haven't changed it based
16 on the things that we have found, but I
17 believe the stated contractual limits I
18 believe were what are in the TBD.

19 For a lot of people, coworker
20 data, Paul, actually will be used in this time
21 frame, though. And so that really won't be
22 affected by the MDA so much. I believe I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stating that correctly.

2 MEMBER ZIEMER: Okay.

3 DR. MAKHIJANI: Could I ask Sam a
4 question about that, if you don't mind?

5 CHAIRMAN MELIUS: Yes. Go ahead,
6 Arjun.

7 DR. MAKHIJANI: Sam, doesn't the
8 MDA kind of set the lower limit of how the
9 coworker model is constructed?

10 DR. GLOVER: But it doesn't really
11 affect below that. It really doesn't change
12 the fit to the line. I think we basically use
13 all of the data.

14 DR. MAKHIJANI: Oh, okay.

15 DR. GLOVER: And so I don't think
16 it is going to have much material effect on
17 how our coworker models are put together. We
18 certainly will look at it.

19 DR. NETON: This is Jim Neton. I
20 think the only way that it will affect the
21 populations is if the 50th percentile of the
22 distribution was at or below the MDA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Yes.

2 DR. MAKHIJANI: Right.

3 MR. RUTHERFORD: This is LaVon. I
4 think we need to look and see what the TBD
5 actually calls out for the MDA. Me, I would
6 be rather surprised if it actually took the
7 contract limits. I would think it would have
8 looked at other documentation for that. So I
9 think we need to look at that.

10 DR. GLOVER: And this certainly
11 isn't a question or an answer off the cuff. I
12 don't recall. We looked at it. We discussed
13 this. And I can't recall where we left it.
14 And I apologize for that.

15 At the Board meeting, we can come
16 up with an answer I think between now and
17 then.

18 CHAIRMAN MELIUS: Yes. I think
19 that would be helpful if you can do that.

20 DR. GLOVER: Yes.

21 CHAIRMAN MELIUS: Any other
22 questions or comments on the SC&A report from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Board Members?

2 MEMBER CLAWSON: Jim, this is just
3 Brad. I would just like to make a comment. I
4 know that Arjun has been through this, but if
5 I could just have a minute, though, and part
6 of my concern that I have with some of these
7 things, if I could.

8 As you know, I was involved in
9 most of the documentation that was pulled and
10 so forth like this. And Arjun is right
11 exactly in what he said, that we have seen no
12 proof of manipulation and so forth like that.

13 There are some things that did
14 bother me in going through the report. And
15 the people we brought in to interview did a
16 marvelous job. I think also, too, NIOSH, did
17 a job. What this really comes down to, what I
18 want to put out, especially to the Board
19 Members, is this is going to come down to us.

20 SC&A isn't going to say one way or another.

21 The thing that bothers me about
22 this is that people were able to change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports, that there was no documentation of
2 it. Plus, we never knew what was changed.
3 Now, they said that it was editorial or
4 whatever else like that. Well, we could never
5 know about that.

6 These are the caveats I just want
7 you to think about as we go in and we're
8 saying that, yes, we can use this data or, no,
9 that we can't.

10 There was a comment that was made
11 by one of the people that performed the audit
12 about access to the files. And due to a PNL
13 issue, they could take and request certain
14 things within a category and then PNL would
15 pull all of these documents out for them.
16 They by no means had access to whatever they
17 wanted. Whatever they requested they seemed
18 to be able to get brought to them.

19 But then one of the other things
20 that came out that struck me into this, and
21 this is the weak program. The process that
22 they did, even in the auditors' eyes, was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 weak program. There were no checks and
2 balances. There was nothing like this.

3 All these things put together, I'm
4 sitting here. I'm looking at a Board Member,
5 at the other Board Members, and how they think
6 about this. And I want us to just keep this
7 in the back of our minds.

8 All this information is
9 questionable anyway because of what happened
10 at U.S. Testing. We have gone through this
11 report. And I can truthfully tell you that I
12 could not really see any kind of outstanding
13 -- that there was any kind of fraud or
14 anything else like that. But there were many
15 things that didn't pass the smell test, they
16 just didn't smell right, but the processes
17 were very weak. There are a lot of little
18 things that I didn't like into this.

19 So this, in my eyes, is going to
20 come down to us as Board Members to be able to
21 discuss this process and be able to understand
22 it. And we've already heard that they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 lost these contracts because of convenience,
2 because it didn't look right, it didn't smell
3 right, and so this is why their process came
4 to an end.

5 And this is mainly for you, Jim,
6 and also as we bring this forth to other Board
7 Members. I just want to make sure that they
8 understand the SC&A did a marvelous job.
9 NIOSH has done a marvelous job.

10 I would like personally to
11 compliment Sam because he has really dug into
12 this and really worked on this. I have been
13 involved in many, many of the interviews with
14 this process. And I have not seen any
15 fraudulent things, but I have sure seen some
16 things that didn't sit right with me. And I
17 just wanted to make sure that I say this up
18 front of what my personal -- and this is just
19 my personal feelings on what I have seen.

20 That's it.

21 CHAIRMAN MELIUS: Okay. Thank
22 you, Brad.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just one comment or question. I
2 believe that Arjun certainly addressed the PNL
3 issue in the report or is that a discussion?

4 DR. MAKHIJANI: Yes, it is in the
5 report, Dr. Melius.

6 CHAIRMAN MELIUS: Yes.

7 DR. MAKHIJANI: PNL did conduct
8 audits, but they weren't really --

9 CHAIRMAN MELIUS: Audits.

10 DR. MAKHIJANI: You know, they
11 weren't so independent from the bottom-up
12 audits. They were more like double checks of
13 what U.S. Testing was doing.

14 CHAIRMAN MELIUS: Yes.

15 DR. MAKHIJANI: And this is in the
16 review reports that were done, I believe
17 either one or both of them, in 1990 and 1991.

18 For example, when they submitted blind
19 samples, it was often known. So the blind
20 samples weren't really blind.

21 And what Brad just said is right,
22 that there wasn't a check on whether third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 parties could change the data or not. So one
2 of the very strong recommendations in the May
3 1990 report was that some control should be
4 put in place as to when and how data were
5 changed, and that there should be a paper
6 trail of the old data as well as the new data
7 and who changed the data and all of that. So
8 that there was a verifiable trail of why data
9 were changed and so fraud could be ruled out
10 in cases. But none of the audits actually
11 covered this issue. They couldn't. There
12 isn't a paper trail to go back.

13 And the other thing regarding
14 availability of data that I should have
15 mentioned but didn't, is that the
16 retrospective review team in 1991, to the best
17 of my memory now, requested data from a PNL
18 log. So they requested data from what PNL
19 already had. So it was natural that they were
20 able to get whatever they requested, but we
21 don't know what data remained with U.S.
22 Testing. It didn't remain with U.S. Testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 because U.S. Testing withheld it for some
2 nefarious reason, to the best that we can
3 tell, or the PNL policy. But I do think that
4 probably some data remained with U.S. Testing
5 at the time, or possibly some data remained
6 with U.S. Testing at the time of the review.

7 CHAIRMAN MELIUS: Okay. Thanks
8 for that clarification.

9 MEMBER CLAWSON: Jim, this is also
10 Brad. There is something else I wanted to
11 just throw out. I apologize, but just to keep
12 in the back of your mind, too, that these
13 audits that came in were like a one-time
14 audit.

15 They never followed up. If I
16 remember this correctly, they never followed
17 up down the road to be able to see the
18 changes. These people came in. They did a
19 one-time audit, and basically they were gone.

20 And so, you know, to me that is just another
21 weakness that I was looking at.

22 And I know why that they did the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 audits and so forth like that, but it doesn't
2 even -- you know, if the information was taken
3 "Okay, we'll look into that" or anything else
4 or any follow-up that we could see that the
5 programs were or the suggestions were even
6 taken.

7 DR. NETON: Yes. They were
8 outside groups.

9 MEMBER CLAWSON: Yes.

10 DR. NETON: And, as I recall, I
11 mean, some pretty prominent people were
12 involved.

13 MEMBER CLAWSON: These auditors
14 were all quite renowned, and they did a very
15 good job. They had some -- I think I guess
16 the thing that kind of got to me a little bit
17 is here we're looking at this. We're looking
18 at this program here. And they come in. And
19 as they do this audit for certain reasons,
20 they also offer up suggestions to be able to
21 control the process, et cetera.

22 CHAIRMAN MELIUS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: And then, you
2 know, we don't even know if anything was
3 followed up on or if changes were made or so
4 forth.

5 CHAIRMAN MELIUS: Yes.

6 MEMBER CLAWSON: And so --

7 CHAIRMAN MELIUS: And I understand
8 that.

9 MEMBER CLAWSON: Okay.

10 CHAIRMAN MELIUS: Any other Board
11 Member questions?

12 MEMBER ZIEMER: This is Ziemer. I
13 have another question. I think I just asked
14 Arjun this. This has to do with the inter-
15 comparison issue. I think contractually they
16 were required to do this every so often, maybe
17 every six months or something like that.

18 Was the issue that they didn't do
19 that on the frequency that they were supposed
20 to. Were some inter-comparison standards run
21 on these bioassay samples? They're fecal
22 samples or not?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAKHIJANI: There was no
2 quality assurance done on the fecal samples.
3 There were some inter-comparisons done with
4 the environmental measurements lab, but there
5 were gaps in that inter-comparison program.

6 MEMBER ZIEMER: Right.

7 DR. MAKHIJANI: And they are
8 identified in the report. I actually don't
9 recall the specific areas that were called out
10 as a deficiency in inter-comparisons, but I
11 can do a search of the document.

12 MEMBER ZIEMER: I think they
13 wouldn't do it as frequently as they were
14 supposed to. Is that --

15 DR. MAKHIJANI: There is actually
16 a gap in the inter-comparison program. And
17 there were also gaps in the internal audits.
18 But, you know, U.S. Testing and the EPA
19 actually called this out as a problem in 1990,
20 when they were discussing the whole question
21 of the status of U.S. Testing.

22 DR. GLOVER: Dr. Ziemer, this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Sam Glover. I just want to mention, the fecal
2 sampling didn't exist unto itself. I mean,
3 usually that's a complimentary technique. And
4 these people also had urinalysis.

5 MEMBER ZIEMER: Okay. In dose
6 reconstruction, what was used or what would
7 have been used?

8 DR. GLOVER: I believe what
9 happened on one, they treated the fecal
10 sampling as if it was a positive data point,
11 is why it was low, rather than use like MDA
12 and multiplying it. There's a Super S
13 correction factor that wasn't put properly.
14 But there is a procedure, and it is spelled
15 out in one of the appendices of OTIB-49. It
16 can walk you through how the data should be
17 applied.

18 MEMBER ZIEMER: Okay.

19 DR. MAKHIJANI: Dr. Ziemer, I can
20 now answer your earlier question more
21 precisely. They did do inter-comparisons of
22 the uranium with the environmental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measurements lab, but they were required, as
2 you said, to do them every six months. And
3 inter-comparing also shall be performed with
4 EPA.

5 Now, environmental radiochemistry
6 section of UST participates in these QA
7 programs. The bioassay section does not. And
8 this, I was just reading a direct quote from a
9 DOE reviewer, one of the auditors in 1990.

10 So there was a lack of external
11 checks because, as I said, the PNL reviews
12 were not what one would really call external
13 audit. And that was observed at the time.
14 There was more in the nature of a double
15 check.

16 MEMBER ZIEMER: Okay. Thanks.

17 DR. MAKHIJANI: Sure.

18 CHAIRMAN MELIUS: Any other
19 questions or comments?

20 (No response.)

21 CHAIRMAN MELIUS: Are the
22 petitioner or the petitioner's representatives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the line and wish to make comments?

2 (No response.)

3 CHAIRMAN MELIUS: Apparently not.

4 Then do we have a recommendation as a Work
5 Group to give to the Board, or how do we want
6 to handle that?

7 MEMBER ZIEMER: This is Ziemer.
8 What are our options here? What actions are
9 needed?

10 CHAIRMAN MELIUS: A possibility is
11 the -- I mean, I think the major possibility
12 would be that we have the SEC Evaluation
13 Report from NIOSH recommending that the
14 petition be denied. And we have a report from
15 SC&A that basically confirms that
16 recommendation. And I think the question
17 would be, do we recommend to the Board that
18 the petition be denied, that the NIOSH
19 Evaluation Report be accepted?

20 DR. MAKHIJANI: Dr. Melius, just
21 one sort of point is we didn't actually go
22 into the area whether the NIOSH recommendation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 should be accepted or not.

2 CHAIRMAN MELIUS: Yes.

3 DR. MAKHIJANI: We posed the
4 policy question to you.

5 CHAIRMAN MELIUS: Yes. Well, I
6 think certainly on technical grounds, NIOSH
7 did not find -- SC&A's findings were basically
8 confirming the NIOSH findings on a technical
9 level.

10 DR. MAKHIJANI: That's correct,
11 Dr. Melius.

12 CHAIRMAN MELIUS: Yes.

13 DR. MAKHIJANI: We did agree with
14 NIOSH that we did not find evidence of fraud
15 in the bioassay program.

16 CHAIRMAN MELIUS: Yes. All right,
17 which is the basis for the petition.

18 MEMBER ZIEMER: So let me sort of
19 ask this question. This is Ziemer again. It
20 seems to me there are two parts of this. One
21 is the policy issue on whether or not, even if
22 there is no evidence of fraud, because this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 company had some questionable practices on the
2 chemical tests in a separate program, whether
3 that should carry over to this, even in the
4 absence of evidence of fraud.

5 And then a separate issue is,
6 well, suppose we say, if there is no evidence
7 of fraud, then the data can be accepted. You
8 still have the issue of the quality of the
9 data or the related issue.

10 So I don't know if we -- let me
11 ask it this way. If we were to recommend to
12 the Board that they agree that the fraud issue
13 is not sort of a showstopper in itself, I
14 believe NIOSH is saying, in spite these other
15 sort of shortcomings on the minimum detectable
16 levels and quality assurance and so on, they
17 can still reconstruct dose. And it's not
18 clear to me whether SC&A agreed with that part
19 of it or not.

20 CHAIRMAN MELIUS: Yes. We --

21 MEMBER ZIEMER: I think they
22 haven't taken a position on that part of it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Yes. I think we
2 have basically charged SC&A with evaluating
3 the fraud question/concern --

4 MEMBER ZIEMER: Okay.

5 CHAIRMAN MELIUS: -- and to
6 evaluate what reports have been done to, you
7 know, evaluate that in the past with the two
8 outside reports and then to go into that for
9 us. So I think that was the main focus of
10 their charge and of their report.

11 I think certainly -- I certainly,
12 in reading the report and reviewing the NIOSH
13 report before, I didn't see any findings in
14 the SC&A report that would support a finding
15 that -- you know, that contradicts the NIOSH
16 Evaluation Report. I mean, I think that I
17 didn't see any findings that say that NIOSH
18 cannot do dose reconstruction with sufficient
19 accuracy, despite the shortcomings in the
20 data.

21 Now, I mean, another option we
22 have, we could, you know, ask SC&A to evaluate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of those technical issues, if that's what
2 people would like.

3 MS. LIN: Dr. Ziemer and Dr.
4 Melius, this is Jenny with OGC. I think the
5 Board is definitely in a position to make
6 policy decisions with respect to the air
7 quality and the use in this program, but I
8 just want to caution the Board that, even
9 though you could make a policy decision, that
10 decision needs to be sustained by some
11 technical basis. So I'm just putting it out
12 there --

13 CHAIRMAN MELIUS: Yes. No, I
14 understand.

15 DR. MAKHIJANI: Dr. Melius, maybe
16 I just --

17 CHAIRMAN MELIUS: Yes.

18 DR. MAKHIJANI: -- point the
19 Working Group to a couple of things? As you
20 know, we did look into the quality assurance
21 issues to some extent, specifically with
22 regard to some radionuclide. As you observed,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this report was mainly focused on the fraud
2 and data manipulation question.

3 The petitioner raised the question
4 of quality assurance. And so we have looked
5 at it also. It's not a full review of the
6 quality assurance question.

7 The one issue, I think, the one
8 finding we had in that regard relates to the
9 lack of quality assurance on fecal samples.
10 And, you know, when it comes to minimum
11 detectable activities, you can make
12 adjustments for that. We have not
13 investigated whether or not adjustments can be
14 made, given that there are no quality
15 assurance samples in the fecal program and
16 that fecal data are being used for dose
17 reconstruction.

18 DR. GLOVER: Dr. Melius, this is
19 Sam Glover.

20 CHAIRMAN MELIUS: Yes.

21 DR. GLOVER: I just want to
22 briefly mention that this is a -- you know, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are seeing this data only because we are
2 getting nearer to the '90s and seeing the
3 advent of DOELAP and these issues. You know,
4 this is a process that has taken decades to
5 come here. This quality assurance is
6 something that was developed over time. Many
7 of the old samples from HASL, they're the best
8 available science and technology that was
9 implemented.

10 I just want to throw that out and
11 remind you that we used that QC data to -- did
12 we see anything? They were testing it. Did
13 that give us evidence that something bad was
14 happening? I wasn't trying to put them and
15 hold this program into another standard when
16 we hadn't tried to subject the same data
17 previously when no QC, no EML existed. I just
18 wanted to throw that out.

19 CHAIRMAN MELIUS: Yes.

20 MEMBER SCHOFIELD: This is Phil.
21 I've got a little bit of worrying just on the
22 fecal samples. How large of an impact that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would have on the reliability of the dose
2 reconstruction.

3 DR. MAKHIJANI: So I think that's
4 a question maybe that NIOSH should address
5 because we reviewed for dose reconstruction.
6 We didn't address the specific question that
7 you are asking.

8 MEMBER SCHOFIELD: Okay.

9 CHAIRMAN MELIUS: Sam, do you want
10 to address that or --

11 DR. GLOVER: I hate to do it
12 totally off the cuff.

13 CHAIRMAN MELIUS: I mean, if you
14 are not comfortable --

15 DR. GLOVER: I just want to make
16 -- you know, they are complementary tools. I
17 mean, we have data from lung counts,
18 urinalysis, and fecal sampling. So it's part
19 of a complementary -- basically, Hanford was
20 looking at trying to assess -- they brought a
21 special program into play, to see was there a
22 very low-level intake happening below which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the urinalysis program might be missing it?

2 They're assigning missed dose for
3 that anyway. So they're trying to go down
4 even further and use this fecal sampling
5 program to look at really low doses. So
6 that's why it was done.

7 And it also complements what you
8 can understand from the particle sizes. And
9 so it can be useful from an accident scenario.

10 Lack of a fecal sampling program
11 does not prevent us from doing, even if we
12 throw out the fecal data, from doing dose
13 reconstruction. I hope that's -- we could
14 address it technically and show you in a
15 presentation, but, really, they had a very
16 broad-scope bioassay program. It was
17 multi-tracking, multi-pronged.

18 CHAIRMAN MELIUS: Yes. I guess
19 I'm just having problems, Sam. I know you are
20 speaking off the cuff on this and so forth,
21 but I guess I have a little bit of problem
22 with an argument that, well, just because this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a newer and at least theoretically better
2 technique, the fact that there was no quality
3 assurance doesn't mean that it couldn't have
4 been, you know, misused or misapplied or that
5 there couldn't be some problem with certain
6 individuals as this technique was -- you know,
7 other dose reconstruction would be more
8 dependent on this and so forth.

9 DR. GLOVER: Would it be fair to
10 ask maybe if we looked at it if we had
11 bioassay data from the fecal program or any
12 other and then we were to remove that, what
13 would the impact be? Would that be helpful to
14 the Board? I guess what I am asking --

15 CHAIRMAN MELIUS: Yes. I'm trying
16 to think off the cuff also on what would be
17 appropriate steps to take and who should do
18 them, and how that would be done in the most
19 sort of efficient way to address this.

20 I guess, first of all, I would
21 just like to back up a little bit on this
22 issue to get some input from the other Work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Group Members as to whether they would like
2 this avenue to be pursued before we go to make
3 a recommendation to the Board.

4 MEMBER CLAWSON: Hey, Jim, this is
5 Brad. Can I speak for just a minute? I'm
6 going to speak just from my personal opinion
7 on this. So take it for what this is worth.

8 I know that we were looking at
9 this from the fraud standpoint of it. And we
10 got into this. And, you know, it's not
11 inconclusive that -- we didn't find any
12 blatant fraud. To tell you the truth, I
13 wouldn't use this data with a ten-foot pole
14 because there are too many questions over it.

15 And this is where SC&A put out to the Board
16 that this is actually a policy question.

17 I saw the audit reports. I talked
18 with the people that got involved with it. My
19 personal opinion is that I don't like the
20 looks of the data. And it doesn't look and
21 smell very good to me.

22 I just want the other Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Members to realize that because of my
2 involvement in this -- this is just my opinion
3 now. I know that Dr. Ziemer is going to look
4 at this from a different standpoint as, well,
5 "We can change this and go to that." But
6 also, too, from the standpoint of a petitioner
7 who has brought question into this, the
8 company has been under question. I myself
9 would not really like to use this information,
10 period.

11 CHAIRMAN MELIUS: But, Brad, I
12 mean, I think the problem with that approach
13 is that, as Jenny said, then we have to have a
14 technical basis for --

15 MEMBER CLAWSON: Not using.

16 CHAIRMAN MELIUS: -- not using the
17 data that was fraudulent or there is some
18 other technical problem with the data that
19 renders it not --

20 MEMBER CLAWSON: It's not useable.

21 CHAIRMAN MELIUS: -- useable for
22 the purposes of dose reconstruction in a way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, you know, precludes or does not support
2 dose reconstruction with sufficient accuracy.

3 So I think we have to have more than, you
4 know, than that, than what your sort of
5 personal view would be on this.

6 And I think that I personally
7 looking at reviewing the report from SC&A
8 don't see a technical basis for doing that
9 based on the fraud issue. And that was the
10 issue that we asked them to do.

11 MEMBER CLAWSON: Right.

12 CHAIRMAN MELIUS: I guess the
13 question I am asking is would other Work Group
14 Members feel that it was more helpful before
15 we make a recommendation to the full Board to
16 look in further detail at the use of this data
17 in relationship to the quality assurance
18 issue, which was not addressed in the SC&A
19 report because we didn't ask them to do that.
20 Maybe this step, because I think if it hadn't
21 --

22 MEMBER ZIEMER: This is Ziemer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Let me comment on that, Jim. As I understand
2 it, the fecal data really only becomes
3 important at the lower end of the intakes
4 where the known samples, or the in vivo counts
5 are insufficient could detect something. Is
6 that what you were saying, Sam? It sounds
7 like this was a tool for the very low end of
8 the intake spectrum. Did I understand that
9 correctly?

10 DR. GLOVER: I think one of the
11 reasons we had the discussion about the two to
12 three times multiplier, that's so we don't
13 underestimate the dose. We're supposed to
14 multiply it and raise that up, because there's
15 a ratio of --

16 MEMBER ZIEMER: Yes, yes, but --

17 DR. GLOVER: And you're right.
18 It's going to be a complementary technique
19 that you would look at all of the data
20 packages together.

21 DR. MAKHIJANI: Dr. Ziemer,
22 there's a procedure -- is it 49, Sam?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. GLOVER: That is correct.

2 DR. MAKHIJANI: -- in which it is
3 stated that if the fecal sample is more than
4 two months after the incident, then there is
5 -- then it should be used as a urine -- I
6 mean, be misstating it, so correct me if I am
7 wrong. Then it should be interpreted as a
8 urine sample and multiplied by three. And
9 that is the specific thing that we actually
10 reviewed as to whether that procedure would be
11 applied.

12 So it isn't exactly, in my
13 reading, that these correspond to where there
14 are very low intakes that are being
15 interpreted with this dose reconstruction
16 procedure. That was actually the review that
17 was done at the time to try to detect whether
18 they were missing something as part of the
19 fecal program. So I think that's correct, but
20 I don't think that's exactly the way it is
21 being applied.

22 Unfortunately, Joyce could not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 on the call. And she is the one that did all
2 of the detailed review of these cases. But
3 that's the best of my understanding. I could
4 certainly stand to be corrected by Sam or Jim.

5 MEMBER ZIEMER: Well, this is
6 Ziemer again. I would just like to know if
7 maybe I would recommend sort of a two-part
8 motion, the first part being that,
9 recommending that the Board accept the data as
10 being useful with respect to the issue of data
11 fraud, since there is no evidence of fraud,
12 that we proceed on the basis that we have a
13 usable database and then ask SC&A and NIOSH,
14 to the extent that they need to provide
15 additional information, to give us an
16 assessment of the impact of the way the fecal
17 sample calculations and corrections are made.

18 CHAIRMAN MELIUS: Yes.

19 MEMBER ZIEMER: Something along
20 that line.

21 CHAIRMAN MELIUS: Yes. I sort of
22 agree with --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: And maybe it would
2 be two motions. I don't know.

3 CHAIRMAN MELIUS: Well, I'm
4 thinking even to sort of step back from
5 motions but think about the way of moving
6 forward.

7 DR. NETON: Dr. Melius?

8 CHAIRMAN MELIUS: Yes?

9 DR. NETON: This is Jim Neton.

10 CHAIRMAN MELIUS: Yes.

11 DR. NETON: I would like to just
12 say something first before this goes too far
13 down the path. It seems to me that -- and Sam
14 can correct me if I am wrong, but the fecal
15 samples, as Sam indicated, are really used to
16 estimate a lower bound than what would be
17 predicted by the urine samples. In other
18 words, the fecal samples always have a much
19 better lower limit of detection of an intake
20 than a urine sample.

21 So it seems to me that if the
22 fecal samples are invalid, then one can always

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rely on the urine samples to calculate the
2 intake. At that point it becomes effectively
3 a Site Profile issue, in my mind, not can dose
4 reconstructions be completed.

5 Fecal samples aren't absolutely
6 necessary to complete dose reconstructions.
7 They're helpful. They're useful to help
8 bound, to count at a lower bound, but they are
9 not in and of themselves a whole new way one
10 can do a dose reconstruction. So I just
11 thought I would offer that.

12 CHAIRMAN MELIUS: No, I think in
13 theory, I agree with you. I'm not sure that
14 we've presented it to the Work Group and we're
15 all familiar enough with it to sort of reach
16 conclusion on it in this meeting is my
17 concern.

18 What I was about to suggest was
19 that, rather than ask for an additional
20 report, though that is a possibility, is that
21 we ask that we hold another Work Group
22 meeting, that we ask NIOSH to present in more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detail the method that's used and how these
2 are used, and that we also schedule it at a
3 time when both Arjun and Joyce would be
4 available and that we have a discussion and we
5 try to reach, you know, conclusion then.

6 So we wouldn't require another
7 report necessarily, I don't think. Now, you
8 tell me if a report would be helpful. But
9 that way it would inform us on it and I think
10 we could reach either closure on this or
11 certainly can determine if further work is
12 necessary.

13 Paul, does that --

14 MEMBER ZIEMER: Sure. I'm
15 comfortable with that. I was just wondering
16 if we would want to put the fraud issue behind
17 us as far as the Board is concerned.

18 CHAIRMAN MELIUS: I just think
19 that my concern about that is that the Board
20 deals with things better if they come with a
21 package, at a single time. And the second
22 issue is so major, it would require further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 work on that.

2 And I think to some extent a part
3 of this issue is so it would bind with this
4 fecal sampling issue that I'm afraid other
5 Board members would have some of the same
6 questions we're having. And we need to be
7 ready to address those at the same time.

8 MEMBER ZIEMER: That's fine with
9 me.

10 CHAIRMAN MELIUS: Brad and Phil,
11 is that --

12 MEMBER SCHOFIELD: I agree with
13 that approach because, like Paul said, I'm
14 still uncomfortable with using that data at
15 this point until it's a little more qualified.

16 CHAIRMAN MELIUS: Yes. I think
17 then we would all know better how the data is
18 being used and what some of the primers are
19 and some of the, I guess, potential problems
20 with that.

21 MEMBER CLAWSON: This is Brad
22 again. I agree with you, Jim.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 CHAIRMAN MELIUS: Yes.

2 MEMBER CLAWSON: I think this
3 would be a lot better approach to it, and
4 personally it would make myself feel better
5 and I think the other Board members coming in,
6 too.

7 CHAIRMAN MELIUS: Good. Okay.

8 DR. MAKHIJANI: Dr. Melius, could
9 I request one specific thing --

10 CHAIRMAN MELIUS: Sure.

11 DR. MAKHIJANI: -- that might kind
12 of smooth the way a little bit? Since the
13 question of MDAs is fairly prominent in our
14 report, maybe as NIOSH prepares their
15 presentation, they might address the MDA and
16 other QA issues that aren't specifically
17 related to fecal sampling but do concern urine
18 sampling as to how they are actually used,
19 what they are actually using in the current
20 dose reconstructions, as you discussed earlier
21 with Sam.

22 CHAIRMAN MELIUS: Yes. Thanks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 That makes sense. Sam, Jim, do you meet with
2 that?

3 DR. NETON: Yes. That's fine by
4 me.

5 CHAIRMAN MELIUS: Yes.

6 DR. GLOVER: Seems perfectly fine.

7 Many of the Board members haven't heard the
8 Super S stuff. This might be a time for them
9 to.

10 CHAIRMAN MELIUS: Good. Okay.
11 What I'll do at the Board meeting is just
12 report on our review, you know, discussions,
13 and that we will be having another Work Group
14 meeting and share our recommendation with the
15 Board we hope after that meeting.

16 Sam or Arjun, do you want to have
17 an update on other Hanford activities?

18 MR. KATZ: Jim, before we do that,
19 can I just clarification for preparation at
20 least for Denver? So Arjun's presentation and
21 so on, that won't, then, need to be presented
22 at the Board level in Denver. Is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 correct?

2 CHAIRMAN MELIUS: That is a good
3 question.

4 MR. KATZ: Well, either way. I
5 mean, I was meaning that as a leading
6 question, actually. I just am uncertain.

7 CHAIRMAN MELIUS: Well, I was
8 actually thinking it would be helpful to do
9 that.

10 MR. KATZ: Okay. Okay, good.
11 Then, actually, if other Board members have
12 other questions or whatever --

13 CHAIRMAN MELIUS: Right. Exactly.
14 That's what I'm also thinking. And then we
15 sort of declare up front that we're not ready
16 to make a recommendation yet, that we have
17 further work with this schedule. We go and
18 Arjun's ready. So --

19 DR. MAKHIJANI: Is this scheduled
20 for the 18th, Ted? Because I am only going to
21 be there on the 18th.

22 CHAIRMAN MELIUS: It's on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 18th.

2 MR. KATZ: Yes, it is. So, Arjun,
3 I don't think you need to make any changes to
4 your presentation. We can just send that out
5 for that, right?

6 DR. MAKHIJANI: Okay. No, no
7 changes are needed.

8 CHAIRMAN MELIUS: Eleven a.m. on
9 the 18th.

10 DR. MAKHIJANI: Yes. I'll be
11 there. I'm coming on the 17th.

12 CHAIRMAN MELIUS: Okay.

13 MR. KATZ: Okay. Thank you.

14 CHAIRMAN MELIUS: Good.

15 DR. GLOVER: I wanted to just be
16 absolutely confirmatory. NIOSH will develop a
17 presentation for the future Work Group
18 meeting.

19 CHAIRMAN MELIUS: Right.

20 DR. GLOVER: We will not try to
21 develop a presentation between now and next
22 week?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 CHAIRMAN MELIUS: Yes.

2 DR. GLOVER: Very good.

3 CHAIRMAN MELIUS: Yes. Good. Sam
4 or Arjun, do you have updates on other
5 Hanford-related activities that you can share
6 with us or want to share with us?

7 DR. MAKHIJANI: Sure, I can give
8 you an update. I think that ball is in SC&A's
9 court after NIOSH presented the last
10 Evaluation Report to you. So you asked us to
11 investigate the remaining outstanding period,
12 1984 to 1990, for the Hanford SEC 57-2. And
13 we are doing that. You know, Hanford is such
14 a complicated site. So, unfortunately, it is
15 taking a fair amount of digging.

16 We have scheduled with NIOSH a
17 cooperative sort of data capture visit. I
18 prepared a memorandum for Joe Fitzgerald and
19 Bob Bistline, who are going out there on
20 SC&A's behalf. So there are some very
21 specific requests.

22 Just to give you a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vignette, you know, there were highly enriched
2 uranium inventories into the 1990s, just to
3 make sure that, were they handled, were they
4 repackaged. And there are uranium data that
5 can be used, but to try to see whether the
6 workers who handled the highly enriched
7 uranium were the ones who were monitored
8 appropriately. So we're kind of really trying
9 to get down into the fine print.

10 And there will be at least one
11 data capture visit. It is scheduled for
12 September 30th. In the meantime, I am working
13 in parallel to review the available
14 documentation and prepare, you know, initial
15 notes for a report.

16 But I think it is going to be
17 February before you see a report. I hope to
18 give you a report that can be presented at the
19 February Board meeting, but I am not sure that
20 I will be able to do that because I don't know
21 when the documentation we requested will be
22 available. The first visit is September 30th.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Sam might want to amplify. We
2 have been corresponding a little bit about
3 these data capture visits.

4 DR. GLOVER: Very briefly. Arjun
5 has some very specific things that he has
6 listed. We, as you know, Bob Bistline and
7 SC&A have participated in this. But we went
8 through an extensive data capture.

9 And so some of this is to make
10 sure that Joe Fitzgerald and Bob are fully
11 aware of what we have already put hands on,
12 where that is, and make sure that we use the
13 data that we have already touched because some
14 of that certainly had an extensive classified
15 review.

16 So they will come to grips with
17 that and then determine what else they need to
18 pull. And then they will be working with Gail
19 Splett to resolve budgetary issues so that
20 they can get this done in a timely fashion.

21 DR. MAKHIJANI: Yes. Just to
22 complement what Sam just said, you know, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important for us to have unclassified notes
2 from these classified reviews so we can
3 actually put them in reports that then
4 petitioners can see and the Board can discuss
5 and evaluate. So part of the effort here is
6 to go for this classified review but also to
7 make a set of notes that can go through the
8 declassification review process and be made
9 available for a public report.

10 DR. BISTLINE: This is Bob
11 Bistline. Just for clarification, that
12 session at Hanford is going to be on the week
13 of the 24th, Arjun.

14 DR. MAKHIJANI: Oh, I see. I
15 wasn't aware that the dates had been shifted.
16 Thank you.

17 CHAIRMAN MELIUS: Any Work Group
18 Members have questions for Sam or Arjun on
19 that?

20 (No response.)

21 CHAIRMAN MELIUS: If not, then I
22 don't believe we have any more Work Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 business for today. And I believe we can
2 adjourn. Ted, do you have any final words?

3 MR. KATZ: No final words. In
4 fact, I look forward to seeing all of you out
5 in Denver.

6 CHAIRMAN MELIUS: Denver next
7 week. That's right. Thanks, everybody.

8 (Whereupon, the above-entitled
9 matter was concluded at 2:08 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701