

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

1

CENTERS FOR DISEASE CONTROL

NATIONAL INSTITUTE FOR
OCCUPATIONAL SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION
AND WORKER HEALTH

+ + + + +

FERNALD WORK GROUP

+ + + + +

MONDAY,

JUNE 17, 2013

+ + + + +

The meeting convened in the Zurich Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Bradley P. Clawson, Chairman, presiding.

MEMBERS PRESENT:

BRADLEY P. CLAWSON, Chairman
PHILLIP SCHOFIELD, Member
PAUL L. ZIEMER, Member*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal
Official
BOB BARTON, SC&A
KATHY BEHLING, SC&A*
ELIZABETH BRACKETT, ORAU Team*
HARRY CHMELYSKI, SC&A*
STU HINNEFELD, DCAS
KARIN JESSEN, ORAU Team*
KAREN KENT, ORAU Team*
JOSH KINMAN, DCAS contractor
TOM LaBONE, ORAU Team*
JENNY LIN, HHS*
JOYCE LIPSZTEIN, SC&A*
MARK ROLFES, DCAS
MATT SMITH, ORAU Team*
JOHN STIVER, SC&A

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

TABLE OF CONTENTS

AGENDA ITEM	PAGE
Intro/Background (progress since 3/7/2013 WG meeting)	4
Uranium bioassay coworker model for subcontractors during pre-1986 period (SEC Issue #1)	5
Thorium-232 coworker model based on DWE reports for 1953-1967 period (SEC issue 6a)	78
Thorium-232 coworker model based on chest count data for 1978-1988 period; implementation	179

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 P-R-O-C-E-E-D-I-N-G-S 4

2 (9:00 a.m.)

3 MR. KATZ: Good morning, everyone.

4 On the line is the Advisory Board of
5 Radiation and Worker Health Fernald Work
6 Group. For notice for people on the line, the
7 agenda for this meeting and some other
8 materials for this meeting are posted on the
9 NIOSH website under the Board Meetings section
10 under today's date. So you can follow along
11 there.

12 Let's start with roll call. And
13 since we have a specific site, let's speak to
14 conflict of interest as well. And let's begin
15 with Board Members, with the Chair.

16 (Roll Call.)

17 MR. KATZ: Brad, it's your agenda.

18 CHAIRMAN CLAWSON: Okay. Well,
19 first of all, I kind of wanted to recap where
20 we are at on Fernald right now. And, as we
21 sit right now, there has been an SEC. It was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from '68 to '78, correct? 5

2 MR. STIVER: Correct.

3 CHAIRMAN CLAWSON: And that was
4 under thorium?

5 MR. STIVER: Correct. That was on
6 the in vivo thorium reported as milligrams
7 thorium.

8 CHAIRMAN CLAWSON: Okay. And then
9 I just want to make sure that we have that
10 kind of in place right there. And what we're
11 looking at is the earlier years right now of
12 construction workers. And I believe it's into
13 SC&A's court to start out and go from there.

14 MR. STIVER: Okay. This is John
15 Stiver from SC&A. At the last meeting, in
16 March, I know DCAS had 11 different action
17 items that they were tasked to produce for
18 this meeting. And the first six relate to
19 this issue of whether the uranium bioassay
20 coworker model is indeed applicable to the
21 subcontractors employed at Fernald in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pre-1986 time frame. This is during NLO's_g
2 National Lead of Ohio's, tenure before
3 Westinghouse came and took over the M&O
4 contract.

5 And Stu and Mark had posted several
6 documents related to this. So it might be
7 best if you guys would just kind of lead out
8 and, you know, give us all an overview of what
9 you did and what you feel the conclusions are
10 from that and we can follow up with them.

11 MR. HINNEFELD: Okay. Well, we had
12 a couple tasks or several tasks related or
13 items related to the applicability as a
14 construction subcontractor or applicability of
15 the coworker model to construction
16 subcontractors, the reason being that until
17 about 1986, not all of the bioassay or
18 subcontractors got placed into the database,
19 the site-wide database, although some of it
20 has been captured in hard form and we do have
21 a smattering of subcontractor bioassay data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 over early years. And then starting in about
2 '83 or '84, there is a more consistent pattern
3 of subcontractor data.

4 So one of the items we were to look
5 at was a specific set of subcontractors from
6 1969 who were, in fact, for bioassay and look
7 at the exposures that they received. And that
8 is item number 1. There's a summary presented
9 in item number 1 that shows several people
10 involved in that and how their intake rates
11 would relate to the intake associated that the
12 coworker model would assign. And in each of
13 those, for each solubility class, there were
14 intakes for this monitored population that
15 would be higher than the coworker model.

16 So there is evidence here that
17 there were some -- this set of contractors was
18 exposed more heavily than the coworker model
19 would dictate. And, of course, on the other
20 side of the coin, these contractors were
21 monitored. So Fernald appropriately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recognized that in this case, these
2 contractors would be exposed or were being
3 exposed. And so they were placed on bioassay
4 programs.

5 So that is a piece of information
6 that may be relevant to our discussion. Its
7 interpretation is not definitive. You can
8 say, well, and one interpretation is that
9 contractors were more heavily exposed than the
10 site worker population. And, therefore, the
11 site worker population model won't hit. That
12 is one interpretation.

13 The other interpretation of the
14 same data is that when these contractors came
15 in and were going to be heavily exposed, they
16 were monitored. And so the coworker data
17 wouldn't be used for them anyway. So those
18 are essentially the two ways to interpret that
19 data set. And it is hard to -- you know, the
20 evidence that we have been able to gather
21 doesn't provide a definitive, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 description of which interpretation is true. 9

2 I think it is a fact to know -- you
3 know, something that has kind of flavored my
4 discussion here is that quite often or maybe
5 not at all will we get an exposure history
6 record for a subcontractor prior to sometime
7 in the '80s, '86 or maybe a little before
8 that, because the site as it appears to me did
9 not generally generate an exposure record for
10 subcontractor personnel, even as far as the
11 film badge. In very many cases, subcontractor
12 personnel wore a visitor badge, as opposed to
13 a defined badge with an exposure record, in
14 their name.

15 So that is one aspect of this that
16 kind of influenced my thinking. But, on the
17 other hand, this one piece of evidence we have
18 of a subcontractor workforce that said we were
19 exposed, they did appropriately monitor them
20 for bioassay.

21 So that is sort of one of the tasks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we did and piece of information I think ¹⁰
2 might be relevant to the discussion. But,
3 like I said, the interpretation is not
4 definitive. You don't really know how to
5 interpret that piece of information.

6 Does anybody want to offer anything
7 beyond that or should I go on with just in
8 general the discussion of subcontractors in
9 general?

10 MR. STIVER: I would like to say
11 something about that. I am kind of in the
12 same place you are, Stu. This is John Stiver.

13 Because you have, as you say, you have got
14 this long period of time. You ignore the
15 bookend years, like you say, of '51 to '53,
16 when the construction was going on in a
17 pristine radiological environment when they
18 were actually building a site.

19 And then, evidently, based on
20 another report that we haven't talked about
21 yet Gene Potter put together, it looks like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there may be enough data on the '84-'85 time
2 frame.

3 So then you have this period from
4 about '53 to '83 where there is, like you say,
5 a smattering of bioassay data for these
6 people. And as you go back in time, it
7 becomes more and more spotty, really. Prior
8 to 1969, there just isn't any at all.

9 So there is this question, this
10 nagging question, about what do you do about
11 subcontractors in this early period? They are
12 not well-represented. And, I mean, you can't
13 necessarily make the presumption that you have
14 kind of a random group from the same
15 population, some monitored, some aren't, so
16 that you could build the -- you know, just use
17 this coworker model to bracket these exposures
18 for the unmonitored group. So I guess that is
19 my main problem.

20 We had quite a few technical issues
21 about how some of the assumptions that went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 into the dose reconstructions you guys did for₁₂
2 these unmonitored and non-claimants, the nine
3 non-claimants, --

4 MR. HINNEFELD: Right.

5 MR. STIVER: -- and also for -- you
6 were able to pick out I think the top four
7 from that group who have the hard-copy records
8 in that pre-1986 environment. Evidently there
9 are about 20 claimants in that group, too.
10 And so you are able to pick out the high four
11 or five of those and do reconstructions for
12 them as well. So we have some questions about
13 kind of, you know, whether, really, the proper
14 type of comparison was done between those.

15 But I think the bottom line is that
16 this little -- you can't really tell the
17 statistical analysis because there is not
18 enough data. It's really more a
19 proof-of-concept study.

20 You know, given that we have this
21 group from this period of time and we actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have bioassay data for them, you know, what¹³
2 would the coworker model have provided were
3 they not bioassayed? And then you can make a
4 comparison between the two.

5 And, as you say, the evidence is
6 that, no, the coworker model probably wouldn't
7 bound most of these people. So you're stuck
8 in this position. What do you do now? It
9 would appear to me that the coworker model
10 really is not applicable to this group of
11 workers in this period of time. It is not too
12 representative of them and certainly not
13 bounding.

14 So I guess that is where we are at
15 this point for the 10,000-foot view. I mean,
16 certainly we could get into some of the
17 details if we need to.

18 CHAIRMAN CLAWSON: Well, I was a
19 little -- I was still trying to understand
20 which approach that NIOSH was really hanging
21 their hat on because a lot of this -- you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, we have gone back and forth in several¹⁴
2 different revolutions of what we were going to
3 do. And one of my questions was to NIOSH,
4 what approach with the coworkers were we going
5 to take. And if it was a coworker, you know,
6 I wanted to make sure that we justly went in
7 and reviewed it because at one time, we have
8 kind of gone back and forth.

9 And we have got kind of a course
10 that is kind of a broad spectrum, let me say,
11 what your approach towards it was. And I
12 wanted to pin down exactly the approach that
13 NIOSH was wanting to proceed forward with.

14 MR. HINNEFELD: Well, with respect
15 to the coworker uranium intake, I think we
16 have been relatively consistent on that. I
17 mean, we have done some examination of
18 subcontractor populations we could find
19 compared to the in-house workforce. But I
20 think we have been fairly consistent in saying
21 that we have a coworker model built from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay data that, until 1986, is only the ¹⁵
2 in-house employees pretty much and what we
3 felt that their exposure experience would be
4 sufficiently representative or bounding of
5 subcontractor exposures who were not
6 monitored, subcontractors who were not
7 monitored. So, I mean, that has pretty much
8 been our approach.

9 And then most recently, the
10 alteration was we did look at the last three
11 years of that period, so the '83, '84, and
12 '85, I guess, and said, in those 3 years, we
13 have enough bioassay data from subcontractors.

14 We have over 30 people monitored in each
15 year. And that is kind of our number where we
16 will start to look at the feasibility of a
17 coworker population. And we have said that,
18 if desirable, we could use to build or
19 construct a subcontractor coworker model for
20 '83, '84, and '85, but '85, it would be no
21 different. There is really no difference in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 '85 between subcontractors and the in-house. 16

2 So that is really I think the only
3 variation we have made, is that we could, we
4 believe, construct a construction coworker
5 model for construction workers who were not
6 monitored in '83 and '84.

7 And then from '85 forward, the
8 coworker model that we had proposed all along
9 would be the model for everybody because
10 everybody's data is in that data set. So, you
11 know, that is I think the only variation we
12 made on this.

13 Now, later on, we have had several
14 possible approaches when we get into DWE work
15 or the early thorium work. So there are
16 several possible things that are going to take
17 around there, but I think on this one, we have
18 been fairly consistent.

19 CHAIRMAN CLAWSON: What about on --
20 this is Brad -- the Type 50 data? This came
21 in and now --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. HINNEFELD: Well, the Type 50₁₇
2 there was some confusion about whether Type 50
3 should be in the database or not. And I think
4 it was sort of a misunderstanding. Type 50
5 seemed like it was like incident type and it
6 wouldn't be indicative of routine exposures
7 when, in fact, I think a 50 was assigned to
8 anyone who wasn't on a routine bioassay
9 program. And so very often the
10 subcontractor's code was put in as a 50
11 because he wasn't considered one of the
12 in-house routine monitored people.

13 CHAIRMAN CLAWSON: I apologize if I
14 misrepresented, Stu, when I was saying what I
15 was. I guess what I was looking at is the
16 information with just Type 50 data. And it's
17 gone out back and forth. I was just looking
18 at some point of the approach of it and what
19 information is going to be used in it. And so
20 that's --

21 MR. HINNEFELD: I think most of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that has been settled. And I think, really, 8
2 the question here for the Work Group -- and,
3 you know, I didn't come down here to fight
4 about anything. I came down here to kind of
5 get all of this information out here and kind
6 of moving forward.

7 And so the question, then, is,
8 given what we know -- and there are some big
9 holes in what we know, but we may want to look
10 at some more stuff later on in terms of the
11 number of contractors, to scan a list of
12 contractors without data. Given what we know,
13 do we feel like construction subcontractors,
14 like in 1969, when there was a job that
15 warranted monitoring, that Fernald was
16 consistently picking people and monitoring
17 those people? So that there just wasn't that
18 much construction work between '54 and '69
19 that would have occurred in a controlled area.

20 And so that is why there is not
21 much data from subcontractor-side data or do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we feel like the 1969 incident, where Fernald¹⁹
2 appropriately monitored the subcontractor, was
3 that the aberration and that someone just
4 happened? You know, a particular person
5 happened to be particularly conscientious who
6 was following that job and made sure those
7 people were monitored; whereas, other jobs,
8 maybe not quite as bad as that one, but other
9 exposed jobs done by contractors, did not get
10 -- you know, they weren't quite as
11 conscientious. And so you have got
12 subcontractors out there unmonitored who may
13 not have exposures represented by the coworker
14 data set. To me, that is really the question
15 that we need to address.

16 And before we get too far down that
17 path of addressing it, I think there is one
18 file or two files that we posted under item
19 number 4 which are lists of workers, lists of
20 claimants who do not have bioassay data. Now,
21 we have a much longer list of claimants that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was compiled around 2010, and we didn't update²⁰
2 that. It is just an extraordinary amount of
3 work. And I didn't think the nature of the
4 claimant population -- there is no reason to
5 believe it would have changed between 2010 and
6 2013. It would just be an extraordinary
7 amount of work to update that spreadsheet.

8 So on there, what I did is out of
9 the entire list, which I can also -- I can put
10 the entire unedited list of claimants on item
11 4 if anybody wants to look at it, but what I
12 did from that entire list of claimants was
13 clipped out the ones who do not have bioassay
14 and put them on the spreadsheet. So there are
15 like 80 names who do not have bioassay. And
16 this includes the job description for those
17 people. So you can make some judgments about
18 that.

19 And there are certainly -- there
20 are certainly construction trades in there.
21 There are sheet workers. There are asbestos

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 installers. There are things like that. And ²¹
2 there are security police officers, who only
3 worked for a short period of time who are
4 almost surely hired by the prime. Certain
5 people who only worked for a short period of
6 time were almost certainly hired by the prime,
7 but were there for such a short period of time
8 they didn't get a bioassay sample. There was
9 an AEC employee, pretty clearly an AEC
10 employee, on the list.

11 So we can kind of take a look at
12 those and see what we think about what that
13 informs of. There are a lot of last
14 employment dates around 1954, which would
15 indicate to me they were involved in the
16 construction of the plant because I think they
17 were still building things up until then.

18 And then it occurs to me that the
19 health and safety buildings; for instance, the
20 health and safety building, was built later
21 than 1954, but it wasn't a lot later. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it was the last half of the '50s when they²²
2 decided they should build a health and safety
3 building, rather than do whatever the health
4 and safety activities or the lab or
5 administration building, where they had been
6 doing them. So there might be some there. I
7 haven't looked at it very thoroughly. But
8 there are some.

9 The one that comes to mind is a
10 particular person who was an asbestos
11 installer or something like that who worked
12 for a subcontractor and has a long period of
13 time of verified employment. So that would
14 not be someone who was only there for the
15 early construction.

16 So, I mean, if you would like, it
17 might be worthwhile for us, if we can look at
18 that spreadsheet, just for each person, to
19 kind of look and see if there is something
20 noteworthy about job titles from that.

21 MR. STIVER: And to kind of follow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on, Bob Barton had done a similar exercise²³
2 from our end. And they are about the same
3 number of personnel. And he was also able to
4 compile statistics on the average period of
5 employment, the number of workers on a monthly
6 basis at that time and the same type of
7 information on jobs.

8 Maybe, Bob, you want to talk a
9 little bit?

10 MR. BARTON: Yes.

11 CHAIRMAN CLAWSON: Is that one of
12 the things you sent last night?

13 MR. STIVER: Yes. It was about
14 10:00 last night. My apologies for that.

15 MR. BARTON: This is Bob Barton.
16 As John was just alluding to, we kind of today
17 focus review of some claims on NOCTS, you
18 know, specifically targeting construction job
19 types. And you can go into their DOL initial
20 case file. And it will very often tell you
21 whether they are employed by NLO or Legge -- I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 am not sure if that is how you pronounce it $\bar{24}$
2 or any of these other subcontractors that were
3 at the site. And, not surprisingly, they
4 don't have internal dosimetry. We found about
5 just under 50 claimants that are, in effect,
6 subcontractors that would be under
7 consideration for all the discussions
8 concerning application of the coworker model.

9 And, actually, see, you had
10 something that I think is an important point
11 in that if we could come around and say, well,
12 listen, these 1969 workers, for instance, they
13 were monitored. So you wouldn't even
14 necessarily need the coworker model for those
15 workers if they were monitored.

16 I mean, you probably need it for
17 unmonitored dose, the last bioassay sample,
18 you would have to apply the coworker model
19 there. But, as I understand it, the data for
20 the 1969 workers was not something that was
21 sent by DOE. It was as a result of an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 independent records search that you guys had²⁵
2 performed. And you found a lot of books, and
3 you transcribed them yourself.

4 So I guess, as a practical matter,
5 you know, maybe they did go in and did take
6 samples from these subcontractors who were the
7 highest exposed. And so, you know, you would
8 have records for them.

9 But when you go to do a DR, if you
10 are not getting those records from DOE and --
11 you know, are you going to go out and try to
12 find all of the log books that are possibly
13 out there? Because if you are not actually
14 getting their data to do a dose reconstruction
15 on it, well, then you are stuck applying the
16 coworker model.

17 MR. HINNEFELD: Yes. I understand
18 what you are saying. What you are saying is
19 that this data that we have from 1969 was
20 captured in a data capture. And Mark can
21 probably speak more knowledgeably about how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 confident we are of whether we got everything²⁸
2 that could possibly exist because your point
3 was maybe Fernald did everything the right way
4 and they were appropriately conscientious
5 every time a contractor came in and was
6 exposed, but they didn't make an exposure
7 record for that person. So we don't get it
8 from DOE.

9 CHAIRMAN CLAWSON: Right.

10 MR. HINNEFELD: And our ability to
11 find that depends upon whether we actually
12 captured every possible data point that we
13 could have captured. Now, Mark, can you say
14 more about how to capture the data or maybe
15 somebody from ORAU?

16 MR. ROLFES: Yes. I recall this
17 has been a few years back that we requested
18 the data. They were on urine sample request
19 cards. Basically an employee would be asked
20 to report for a sample at a certain time.

21 The results came on maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 four-by-six-sized cards. We asked for ~~log~~²⁷
2 books for urine sample request cards, anything
3 and everything that we thought would fit the
4 bill for a bioassay sample. To the best of
5 our knowledge, we requested everything we
6 could think of to request, and we received
7 everything that DOE has been able to find.

8 I don't think there's really too
9 much more, but what we have done with those
10 results, we have linked them in NOCTS. We
11 have a document in our Site Research Database.
12 And each individual claimant who provided a
13 hard copy or a urine sample that is recorded
14 on a hard copy piece of paper, we have linked
15 that document from our Site Research Database
16 into the claim file.

17 And so when we originally thought
18 we had an unmonitored claimant, it turns out
19 that if you look in the Site Research Database
20 or in this other exposure record, it turns out
21 that who we thought was unmonitored may have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 actually been monitored. 28

2 But yes. You know, we have no
3 guarantee that we have every piece of data.
4 So that comes back to what we would do with an
5 unmonitored person, a true unmonitored person.

6 MR. HINNEFELD: So we attempted --
7 I should have said this, for instance, we
8 didn't get a chronologically complete, in
9 other words, for essentially every workday, a
10 set of urine request cards. We got a pile of
11 urine request cards, but presumably it doesn't
12 cover almost every day of the --

13 MR. STIVER: Essentially you have
14 kind of a patchwork for those years, but --

15 MR. HINNEFELD: Right. We don't
16 know.

17 MR. ROLFES: We didn't have a list,
18 for example, that says we have urine request
19 cards taken on all of these days and we don't
20 have, you know, something to compare what we
21 have in our possession to a master list, --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN CLAWSON: Right. 29

2 MR. ROLFES: -- for example, of all
3 those samples that were ever collected. But,
4 to the best of our knowledge, we requested
5 and, to the best of our knowledge, we received
6 everything that was recorded.

7 MR. STIVER: So that 940 hard copy
8 records for about 180 individuals over the 9
9 different years is basically -- that plus the
10 HIS-20 is what we have got to work with.

11 MR. ROLFES: Yes.

12 MR. BARTON: That is where we will
13 expect to find more data.

14 MR. HINNEFELD: Yes. We would not
15 expect to find any more.

16 MR. STIVER: So to the extent that
17 you could build a coworker model for those
18 earlier years is contingent on that data. And
19 you're telling me and from what I have seen,
20 too, certainly, '84 and '85 look pretty good,
21 '83 possibly. But before that, just in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of gray area where you really don't 30
2 you have to make -- in order to make any kind
3 of determination, you have got to make some
4 presumptions about how many people were
5 actually monitored, how complete those records
6 might be, whether in earlier years they are
7 not monitored because they didn't have an
8 exposure potential.

9 Now, personally I find it hard to
10 believe that, you know, during the whole
11 period of operations, where there is equipment
12 being used, wearing out and replaced, there
13 are some capital projects going on, you've got
14 guys, an asbestos worker there for a number of
15 years, sheet metal workers, all these people
16 who would be expected to be highly exposed.

17 I find it hard to believe that lack
18 of monitoring data for these folks would be
19 indicative of a lack of exposure potential.
20 And so I guess, you know, the problem we have
21 got now is really to identify -- you know, of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all those construction trades and those³¹
2 claimants, to the extent that the claimant
3 list, those 50 or 80 are really fully
4 representative.

5 You know, you have indicated some,
6 like security guards that are there for a few
7 days and so forth. They wouldn't really fall
8 within this group. Whereas, sheet metal
9 workers, asbestos workers, carpenters, people
10 of that type probably would be.

11 So maybe it might be good to take a
12 look at that, the spreadsheets, and kind of go
13 through and get an idea of the types of
14 workers that we would be concerned with here.

15 MR. KATZ: So, Paul, are you online
16 as well as listening?

17 MEMBER ZIEMER: Yes, I am on the
18 line.

19 MR. KATZ: So are you able to also
20 look at that spreadsheet?

21 MEMBER ZIEMER: Yes, I have it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here.

32

2 MR. KATZ: Okay. Great, great.

3 MEMBER ZIEMER: I do have a couple
4 of questions if I could interpose at this
5 point.

6 MR. KATZ: Yes, by all means.

7 MEMBER ZIEMER: I don't know if Stu
8 would answer this or one of the others, but
9 were there any policies and procedures in the
10 plant, the subcontractors, in terms of
11 determining formally when bioassay would be
12 required or was it just left up to an
13 individual HP as to whether the folks they
14 were monitoring would have bioassay?

15 MR. HINNEFELD: I don't think we
16 have found anything about the guidance, you
17 know, some set of procedures or policies that
18 the company adopted.

19 MEMBER ZIEMER: Okay. So we can't
20 hook into anything other than sort of the
21 implication that perhaps since we have some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 folks like these 12 who seem to have high³³
2 values, that perhaps it was required, but that
3 is no reason to hook into to put them away,
4 then, right?

5 MR. HINNEFELD: I don't believe we
6 have found anything like a policy from the
7 '50s, '60s, or '70s that would say when
8 subcontractors come in or anybody, you know,
9 here is the policy for monitoring people
10 working at the site. I don't know that we
11 have found anything that would fit that.

12 MEMBER ZIEMER: Okay.

13 MR. ROLFES: I can take a look and
14 see, Dr. Ziemer, and get back to the Work
15 Group after this meeting.

16 MEMBER ZIEMER: Well, if there is
17 no policy and it was left sort of to the
18 individual to make a judgment sort of on the
19 fly, then it creates a little bit of a problem
20 for us, I think, in terms of assuming that
21 there was some consistency. It's a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more ad hoc. 34

2 The other question was, were these
3 12 contractor individuals or any of them
4 included in the coworker model? Did I
5 understand it was just the regular employees
6 in the coworker model?

7 MR. HINNEFELD: Yes. The coworker
8 model we built was built from the data in the
9 database. And these 12 people's results were
10 not in the database. And so they would not be
11 in the coworker population that was used or
12 the population that was used in the coworker
13 approach.

14 MEMBER ZIEMER: And what impact --
15 I don't have a good feel for -- there are just
16 12 individuals here. Do we have other
17 subcontractor data, bioassay data, or is it
18 just these 12?

19 MR. HINNEFELD: Well, in 1969, I
20 don't think we have any other subcontractor
21 data. We have a smattering of subcontractor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay data from a handful of years. 35
2 forget exactly how many of years between '52
3 and '82. There's just a handful of years when
4 we have -- and in any given year, we have
5 certainly fewer than 30 and probably fewer
6 than 12 individuals, you know, subcontractors
7 who were monitored. Do you have that data,
8 Mark?

9 MR. ROLFES: Yes. I have it pulled
10 up here. For 1969, we have 52 results; 1971,
11 there are 85; 1972, there's 17; 1973, there
12 were only 4; 1981, it's 35 results. Then in
13 1983, it jumps up to 164; '84 is 275; '85 is
14 307; '86 is 370.

15 MR. HINNEFELD: And those are the
16 results.

17 MR. ROLFES: Correct.

18 MR. HINNEFELD: That's not the
19 number of monitored people.

20 MR. ROLFES: Correct.

21 MEMBER ZIEMER: Right. Did you go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to that random or are these just the ¹²/₃₆
2 highest?

3 MR. HINNEFELD: The 12 people we
4 selected from 1969 were 12 people that we had
5 bioassay data for, and they I think probably
6 were the highest bioassay data. They were
7 pretty high. That probably was the highest
8 bioassay data we found.

9 MR. STIVER: If I could step in?
10 This is John Stiver. We picked that group in
11 1969 because mainly it looked as though they
12 had an acute exposure that wasn't experienced
13 by the corresponding primes. So it was
14 really, they had some high results. It looked
15 like there was an event they were involved in
16 that wasn't experienced by the prime workers.
17 We thought that would be a good subset to
18 look at in terms of comparison because it
19 would appear that the exposures would not have
20 been representatives or -- excuse me -- the
21 prime exposure distribution would not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 necessarily be representative of the types of
2 exposures these guys had.

3 And so they were high, but I think
4 it was also the fact that it was just when
5 compared side by side, you could see they were
6 definitely involved in some other work that
7 was unique to their particular operation.

8 MEMBER ZIEMER: Well, see that sort
9 of casts a different thing, too, because there
10 wasn't an a priori determination that they
11 needed bioassay.

12 MR. STIVER: That just hits the
13 point. We don't have any procedures or
14 policies in place that would set up a
15 framework, at least that we know of, that
16 would set the criteria for who is going to be
17 monitored under what conditions. And so it
18 could very well have been one HP on the fly
19 who was cognizant and realized these guys can
20 be decontaminating and pulling out scrap
21 material that is pretty heavily contaminated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We should probably put them on the bioassay.38

2 Did that happen every time there
3 was a dirty job? We don't know.

4 MEMBER ZIEMER: Yes. Okay. That's
5 helpful. Thank you.

6 DR. LIPSZTEIN: May I step in?
7 This is Joyce Lipsztein.

8 MR. KATZ: Of course, Joyce.

9 DR. LIPSZTEIN: Okay. We have
10 looked at the claimant files of the four
11 people that were analyzed. And if you look at
12 the claimant files, you go into the claimant
13 files, there isn't really an internal
14 monitoring data like we used with regular
15 workers.

16 For example, one of the workers was
17 employed from September '69 to December '74.
18 The only thing that there is in his internal
19 monitoring data is some sheets of material of
20 union monitoring from '71 from several
21 workers. That is it, nothing more.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And even his employment from '69
2 through '74 can only be proved through the
3 affidavit from a colleague of him. So it's
4 not like, you know, the definite proof. Well,
5 that is what he says. He said he was employed
6 from this date to that date. And he has an
7 affidavit from a colleague that will tell I
8 worked with him. And then there is this union
9 monitoring for a lot of workers during some
10 months in '71. So that's it.

11 So, even for the claimants, we
12 don't have too much information.

13 MR. STIVER: Joyce, if I could step
14 in again? This is John. What Joyce is
15 talking about is we're not looking right now
16 at the 1969 non-claimants. This is the group
17 of claimants that were also among those 180 or
18 so workers or during that early period from
19 '69 to '85 who had the hard copy records. And
20 these represent the ones that had, I believe,
21 the top four of that group of claimants in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 terms of the excretion rate data. 40

2 And this particular guy Joyce is
3 alluding to also indicated that he was
4 bioassayed on a regular basis every couple of
5 weeks. Yet, during that four-year period of
6 employment, '69 to '73, there are only records
7 for August of 1971. And so that kind of calls
8 into question, you know, the completeness of
9 his bioassay records. And so it is just
10 another kind of unknown that has to be
11 addressed in one way or another.

12 Either presumptions have to be made
13 or, you know, we have to kind of possibly
14 consider the fact that this guy has an
15 incomplete record and it's not really
16 indicative of what his true exposures were.

17 Anything else you would like to say
18 about this particular worker, Joyce? Joyce,
19 are you still there?

20 DR. LIPSZTEIN: You know, urine
21 results were a lot of work. It's not like his

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay. You know, it's a sheet with⁴¹
2 bioassay for a lot of workers in the same
3 date. And many of them have worked during
4 other months and other times. And this
5 particular one says he worked from '69 to '71
6 and we just have data from '71, for one month
7 in 71. So it looks like it's incomplete.

8 I don't have anything to add. You
9 said everything. And in his telephone
10 interview, he says that he collected urine
11 once a week, sometimes twice, every two weeks,
12 and sometimes every three weeks. And we don't
13 have anything. And he worked in '69 also.
14 And he is not among the people that were
15 monitored in '69 in our list.

16 MR. BARTON: This is Bob Barton. I
17 think that kind of goes along with that
18 earlier point that the only reason we know
19 this guy was monitored, because he happened to
20 be in that list of 939 results that was
21 uncovered with the data capture. I mean, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you didn't have that, you wouldn't have⁴²
2 anything.

3 And so, I mean, I understand that
4 that probably represents all that we can get,
5 all that is available to us as far as data is,
6 but it sure looks to me like it is not
7 complete. So you are going to have
8 subcontractor claimants that may have been
9 monitored because they may have had a dirty
10 job, but we are never going to know about it
11 because DOE didn't put together exposure
12 records. So we're not going to see that
13 information in a dose reconstruction setting.

14 So we have to apply the coworker model that
15 started this whole thing off.

16 MR. STIVER: And the coworker
17 model, at least for the groups that we have
18 looked at, the individual does not appear to
19 be bounding. So what do we do? Where are we
20 at this point?

21 CHAIRMAN CLAWSON: In essence, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is where we've gotten to. And we're looking
2 at one Class of employees, correct? And this
3 is just construction workers or
4 subcontractors, as they called it?

5 MR. HINNEFELD: Well, that would be
6 something we would want to define carefully,
7 to go through this list of subcontractors.

8 I mean, there are a couple of
9 physicians on there. There are some people
10 who look like AEC or DOE employees who
11 probably worked in the administration
12 building. One is called an administrative
13 officer.

14 We'd have to be careful about how
15 we define this. And then we also have -- for
16 instance, I think there is a sprinkler
17 repairman, who would have a job a lot like a
18 construction subcontractor probably in terms
19 of his work, that may not be caught on a Class
20 Definition that said like construction trades.

21 And it may require some conversation with DOL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about the administration. How can we get this₄₄
2 Class administered the way we think it should
3 be administered, what language to write into
4 the Class Definition if we're going to go that
5 way?

6 CHAIRMAN CLAWSON: Well, I'll be
7 right honest with you. This is one of the
8 struggles that I have been having about --
9 yes, know, I know it's not our place as a Work
10 Group or anything else like that to set these
11 boundaries, but we have also got to be able to
12 give them something that they can actually
13 implement.

14 MR. HINNEFELD: Yes. I think it is
15 kind of our job to decide --

16 CHAIRMAN CLAWSON: Yes.

17 MR. HINNEFELD: -- where are the
18 boundaries of infeasibility if that is where
19 we are going here. I don't want to presume
20 anything. If that is where we go here, what
21 are the boundaries of infeasibility? And can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we describe them in a fashion that the⁴⁵
2 Department of Labor can then administer it the
3 way we think, you know, in accordance with
4 those boundaries? So that may be difficult
5 yet.

6 MR. STIVER: Yes. Some of these
7 are pretty obvious that they would be
8 included, but, like you say, I mean, you have
9 got other -- and then others would be clearly
10 excluded, but there is kind of a gray area
11 sometimes. Like you say, an instrument
12 mechanic, he could very well be going into a
13 dirty area and refitting in a very dirty
14 environment.

15 So the implementation in the Class
16 Definition certainly is going to be tricky.

17 MR. ROLFES: The other thing I
18 wanted to point out also is that some of these
19 individuals don't have more than 250 days of
20 employment.

21 MR. HINNEFELD: So by the numbers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you can only have a few months. One has ~~one~~⁴⁶
2 day.

3 MR. ROLFES: Quite a number.

4 MR. STIVER: Yes, there are. Bob
5 did an analysis on that. What was it, about
6 60 percent of them are a year?

7 MR. BARTON: Yes, a rank order.

8 MR. STIVER: Yes. Yes. I said
9 that's what I said last time. There was a
10 graph that I showed with the curves.

11 MR. BARTON: There are a fair
12 number of -- and, again, I was doing kind of a
13 focused review with any job that I thought
14 could possibly be subcontractors. I mean,
15 we're not saying everyone here was only on
16 site for a few weeks. I don't want that
17 notion to be out there. There are certainly
18 --

19 MR. HINNEFELD: There are a number
20 that --

21 MR. BARTON: There are a number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that --

47

2 MR. HINNEFELD: -- were out there
3 for a long time.

4 MR. BARTON: -- that would not meet
5 the 250-day criteria, but most of them I think
6 -- because, you know, maybe they were only on
7 site for a month or two but then, you know, a
8 couple of years later, they were back on site
9 for a month or two. And you add up all of
10 those time periods and maybe --

11 MR. KATZ: Well, it doesn't really
12 matter because --

13 MR. HINNEFELD: Yes. It doesn't
14 matter.

15 MR. KATZ: -- at the end of the
16 day, that is not an issue for whether you add
17 a Class or not. It doesn't really matter.
18 You don't need to factor that in.

19 CHAIRMAN CLAWSON: That is what the
20 250 days is.

21 MR. KATZ: Well, yes. All I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying is you never know. Some of these⁴⁸
2 people may have worked at another site. That
3 might work out for them if they have another
4 site that is an SEC. But, anyway, it's not
5 really a consideration for whether you add a
6 Class or not.

7 CHAIRMAN CLAWSON: My question --
8 and I just wanted to clarify while I have got
9 all of you in here because I'll tell you right
10 now I am proposing a Class where the
11 construction appears from, actually --

12 MR. KATZ: I'm sorry. Someone on
13 the line hasn't muted their phone. They just
14 said O-R- A-L or something like that. But,
15 anyway, whoever you are, just if you would
16 mute your phone? If you don't have a mute
17 button, if you would press *6, that will mute
18 your phone. Thanks.

19 CHAIRMAN CLAWSON: I am looking for
20 the construction workers because I want to
21 separate the construction builders of Fernald

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from when the facility went hot. It went hot
2 in what, '54?

3 MR. HINNEFELD: It depends on what
4 you are talking about.

5 CHAIRMAN CLAWSON: Okay. They were
6 probably doing things in '52 in the pilot
7 plant, I think.

8 MR. STIVER: '51, actually, they
9 started receiving material.

10 MR. HINNEFELD: Started receiving
11 material in '51?

12 MR. ROLFES: I think some of the
13 drum K65 materials were sent.

14 MR. HINNEFELD: Okay. So the K65
15 materials I think would have just been dumped
16 in the silos, right?

17 MR. STIVER: Yes. The pilot plant
18 was doing some experimental work. They were
19 handling the uranium in '51, but the other
20 plants, the refinery and so forth, weren't
21 online until, weren't completed until '53. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 '53 on, you've got the process is in place,⁵⁰
2 materials are being pushed through. And so
3 there's potential for exposure at that point.

4 But I would say prior to '53, the
5 construction was being performed in
6 essentially a pristine environment outside of
7 the pilot plant. So I think that those
8 bookend years would probably not be
9 appropriate to include. So basically '53 to
10 '83 is what I am seeing at this point based on
11 what the data tells me.

12 MR. BARTON: I think one important
13 point here, though -- and we're kind of
14 talking construction versus non-construction.
15 We're really talking about subcontractors.

16 MR. STIVER: We are talking
17 subcontractors.

18 MR. BARTON: Because the
19 delineation seems to be whether you worked for
20 NLO or not, whether you were involved
21 routinely in the bioassay program would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that information available. Now, it is going
2 to be mostly construction workers, but there
3 are also NLO construction workers that would
4 have records.

5 MR. STIVER: Yes. We need to make
6 that distinction, that this is -- the
7 subcontractors are the ones who are not on a
8 routine bioassay. So it wasn't the focus on
9 --

10 CHAIRMAN CLAWSON: So we are
11 looking at '53 to '83.

12 MR. HINNEFELD: I think we're
13 starting, really, on '53. And then we should
14 next talk about the end date.

15 MR. STIVER: Yes. The end dates,
16 what I'm seeing, 1983 is kind of in a
17 transitional period. You've got about 38
18 individuals, 164 results. Certainly '84 and
19 '85 are, for all intents and purposes,
20 indistinguishable from '86 in terms of the
21 number of personnel in the samples per person.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And, having taken a look at that⁵²
2 data, it seems to be pretty well represented
3 throughout the year. '83 seems to be more
4 loaded towards the end of the year,
5 November-December time frame. I don't know if
6 there's much prior to June or July. Bob, have
7 you looked at '83 in detail?

8 MR. BARTON: No. I think it was
9 later in the year, though.

10 MR. STIVER: It was kind of more
11 weighted towards the tail end of the year.
12 You kind of see a progression of
13 implementation over time here.

14 CHAIRMAN CLAWSON: When did
15 Westinghouse actually officially take over
16 after '85?

17 MR. HINNEFELD: December of '85.

18 CHAIRMAN CLAWSON: But we saw in
19 the earlier years, the '83 to '85, that the
20 bioassay program starts --

21 MR. STIVER: You can kind of see it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 start to ramp up here in this table here. '83
2 is kind of in a transitional area. So we
3 might need to look at that in a little bit
4 more detail in terms of the
5 representativeness. A first approximation, I
6 think we would be looking at '53 to '83.

7 MR. HINNEFELD: If I remember my
8 history, '83 was probably the year that NLO
9 made the papers and there was a lot more focus
10 on the site.

11 MR. STIVER: Yes. So that --

12 MR. HINNEFELD: I think that was
13 the year of the Plant 9 dust release. Was
14 that '83?

15 MR. STIVER: Okay.

16 MR. HINNEFELD: '82?

17 CHAIRMAN CLAWSON: I think it was
18 '82, Stu. They were starting to see the
19 results.

20 MR. HINNEFELD: Yes. I mean, up
21 until the Plant 9 dust release, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 became big news -- I'm sorry. I'm speaking⁵⁴
2 from history here, speaking from my conflicted
3 knowledge here, but up until the dust
4 collector release in the early '80s, -- I
5 can't remember what year it was -- Fernald was
6 pretty, you know, unknown. It was pretty
7 anonymous around here. And then when that hit
8 the news, it was all of sudden a big deal and
9 there was a lot more focus, a lot more folks
10 on the site from the Department of Energy as
11 well. And so there was a lot more emphasis.

12 And so it is not surprising that
13 sometime around there, they started to pay
14 more attention to construction workers and
15 getting them in the bioassay program and
16 things like that. That is kind of consistent
17 with my memory of the history of the place.
18 That was very early in my tenure there.

19 MR. STIVER: Yes, it seems to me
20 reasonable given the timelines of the activity
21 taking place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. HINNEFELD: Yes. That would⁵⁵
2 have accounted for the increased activity then
3 prior to Westinghouse taking over. And then
4 once Westinghouse took over, a really
5 different regime took place and a different
6 mindset about how to deal with, you know,
7 uranium plants.

8 MR. BARTON: Looking at the 1983
9 data, it's almost all in the August to October
10 time frame. So you have three months, really.

11 MR. STIVER: Yes, yes. So no
12 question there. Yes. Kind of my sense is
13 that it was weighted towards the tail end of
14 the year. I would say, you know, until we
15 really look into it in a little more detail,
16 it's kind of a first approximation. '53
17 through '83 would be the years to be looking
18 at here for subcontractors.

19 MR. HINNEFELD: And this would be
20 for subcontractors.

21 CHAIRMAN CLAWSON: Non-NLO.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Not NLO. Basically in⁵⁶
2 subcontractors, you remember NLO was a prime
3 contractor.

4 CHAIRMAN CLAWSON: Right.

5 MR. STIVER: So everybody who is a
6 non-NLO employee would be those to be
7 considered.

8 MR. HINNEFELD: Okay. Does that
9 sit with everybody okay? I mean, you can look
10 down the job categories here. I mean, there
11 is an administrative officer who looks like he
12 worked for the Atomic Energy Commission to --

13 MR. STIVER: There is a draftsman
14 and designer who was in --

15 MR. HINNEFELD: I think those were
16 probably NLO.

17 MR. STIVER: Yes. The rest of
18 them, you go down here, millwrights,
19 ironworkers.

20 MR. HINNEFELD: Yes. I think I
21 have very few issues with the names on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 list.

57

2 MR. STIVER: Yes.

3 MR. HINNEFELD: But there are one
4 or two that strike me as odd.

5 MR. STIVER: Spectrographer.

6 MR. HINNEFELD: That's probably an
7 NLO employee.

8 MR. BARTON: In my experience, when
9 I was trying to find a sample of subcontractor
10 claimants, I found that that information was
11 usually readily available in the Department of
12 Labor files that they --

13 MR. HINNEFELD: Yes. You know it
14 was a subcontractor.

15 MR. STIVER: Yes, yes, right.

16 MR. HINNEFELD: Labor can tell who
17 is a subcontractor. They have already told us
18 that.

19 MR. STIVER: Yes. So the issue
20 really isn't that big of a deal. I mean,
21 you've got the people.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Yes. We know who
2 the --

3 MR. STIVER: Yes.

4 MR. HINNEFELD: And DOL has told us
5 they can tell who the subcontractors are. And
6 it's pretty clear in the claim files. The
7 question is if you make it subcontractors, you
8 are going to put in people -- I mean, the
9 physicians were subcontractors.

10 MR. STIVER: Clerks.

11 MR. HINNEFELD: The clerk might be
12 an NLO or might be a subcontractor. There is
13 a person who I'm pretty sure is an AEC
14 employee. There might be another I saw on
15 here that might be an AEC employee who
16 probably worked in the administration
17 building.

18 MR. KATZ: But those wouldn't be
19 subcontractors, right, AEC employees?

20 MR. HINNEFELD: No. Well, I guess
21 AEC would not be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right. 59

2 MR. HINNEFELD: These would not be.

3 MR. KATZ: So you could specify not
4 including physicians, for example. You could
5 actually put that in your definition.

6 MR. STIVER: You have a --

7 MR. KATZ: Right? Is there any
8 reason you couldn't exclude physicians?

9 MS. LIN: Typically we don't do --

10 MR. KATZ: No, we don't, but, I
11 mean, that would be a relatively easy --

12 MR. HINNEFELD: Well, if it will
13 make any easier, just leave them in.

14 CHAIRMAN CLAWSON: I would rather
15 make sure that we capture the people. I
16 understand what you are saying, Ted. But I
17 really don't -- and I apologize. I've got a
18 migraine that's killing me.

19 MR. KATZ: Do you want some
20 medicine?

21 CHAIRMAN CLAWSON: I took some.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's why I apologize if I'm a little bit⁶⁰
2 distant. My head is just throbbing.

3 But, anyway, my thing was looking
4 at this -- and I dove into a lot of this and,
5 Stu, I'm at the same thing with yours.
6 Looking at some of the people, but I am more
7 worried about the questionable ones, the
8 instrument and this and that because we had a
9 lot of instrument people going into the site.
10 I would much rather push it towards a
11 subcontractor.

12 MR. STIVER: To be inclusive.

13 CHAIRMAN CLAWSON: Just to be
14 conclusive. You know, it is going to come
15 down to I think there is still the judgment of
16 it. But myself, I would push for '53 to '83
17 on non-NLO subcontractors is what I would -- I
18 looked at the non-subcontractor construction
19 workers. And still some of the people that I
20 think you would worry about would still fall
21 into it. So I'd rather be more conclusive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 than anything. I just -- 61

2 MR. HINNEFELD: Yes. I think if
3 you try to get too -- you might leave out
4 people you don't want to leave out.

5 CHAIRMAN CLAWSON: Right. And
6 that's my biggest worry.

7 MR. HINNEFELD: You wouldn't want
8 to say, contractors in the construction trade
9 or with construction trade job titles. You
10 wouldn't want to say that.

11 MR. STIVER: You wouldn't want to
12 exclude anyone unless you were absolutely
13 certain that they --

14 MR. HINNEFELD: And, to be honest,
15 maybe the physicians took tours in the
16 facility --

17 MR. STIVER: It could very well be.

18 MR. HINNEFELD: -- spent time out
19 there.

20 MR. STIVER: Or secretaries, for
21 example. They could --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: They could -- 62

2 MR. STIVER: Yes.

3 MR. HINNEFELD: They could have
4 been set up out back if they were
5 subcontractors. I don't know.

6 CHAIRMAN CLAWSON: So I guess my
7 suggestion -- and this comes -- Paul, you are
8 on the line, correct?

9 MEMBER ZIEMER: I am on the line.

10 CHAIRMAN CLAWSON: My suggestion is
11 that we push for '53 to '83 for non-NLO
12 subcontractors for an SEC. Any questions that
13 you have on that?

14 MEMBER ZIEMER: No, not really. I
15 think, you know, we had this problem on many
16 sites. I think of the GE site in Cincinnati.
17 There's just not a way of excluding the
18 people that you know intuitively shouldn't be
19 excluded, but you don't have any way of
20 identifying.

21 CHAIRMAN CLAWSON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: I mean, we know⁶³
2 that there are going to be people -- in a
3 sense, it's sort of unfair -- in the other
4 direction that really shouldn't be there but
5 you don't know how to identify them. So in
6 order to take care of the ones that should be
7 included, you have to err on that side. And
8 you are going to throw in some that are sort
9 of getting a free ride is how I would explain
10 it.

11 But I think unless somebody can
12 come up with a creative way of filtering
13 these, you will have the same old problem that
14 we had in many sites. We just don't have a
15 way of excluding those that should be.

16 CHAIRMAN CLAWSON: Paul, I can
17 personally testify to you I have personally
18 left it. This is the only way that I can see
19 to be able to get the people that really are
20 deserving. Yes, sometimes maybe in what you
21 said it could be a free ride, but I would like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be able to bring this before the Board in ⁶⁴
2 the July meeting if there is no problem with
3 that. So I am looking for you and Phil on
4 this.

5 MEMBER ZIEMER: I would support it.

6 CHAIRMAN CLAWSON: Okay. I just
7 wanted to make sure that we --

8 MEMBER ZIEMER: Well, we still have
9 to define that time frame. Is somebody going
10 to go back and do that and clarify? In other
11 words, is it at the time of the contract
12 change or --

13 CHAIRMAN CLAWSON: Well, it's
14 actually two years before the contract change,
15 but on the paperwork, if you look into it --
16 and Stu kind of -- there was a release on
17 Fernald which started getting them a lot of
18 credit. I believe it was mid '82. And after
19 that started to happen, we see the bioassays
20 start to ramp up.

21 The beginning of '84 time period,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we started to see a lot more of these people⁶⁵
2 having bioassays, speaking of contracting
3 personnel. So what I have --

4 MEMBER ZIEMER: You have to go to
5 the top end of the ramp, I think, when it is
6 fully ramped is where you have to do the
7 cutoff probably.

8 CHAIRMAN CLAWSON: Well, SC&A --
9 and I guess I have tasked them. You have
10 already done the workup on this.

11 MR. STIVER: This is John. As I
12 was saying, we are looking at '83 as kind of a
13 transitional year. And I think Brad and Stu
14 indicated that Plant 9 dust release got a lot
15 of press and probably caused some soul
16 searching or certainly at least an impetus to
17 improve the worker protection and safety
18 program there.

19 And so I think what you're seeing
20 is in '83 a response to those events. You are
21 seeing an increase in the number of workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who were monitored and the number of samples⁶⁶
2 in total, but you don't really see it reach a
3 steady state until about 1984.

4 And also when you look at the data
5 in '83, almost all of it is backloaded to
6 August-December time frame in '83. So you
7 don't really have a good representation for
8 the whole year there. So as kind of a first
9 approximation, what I had recommended to Brad
10 would be '53 to '83 inclusive.

11 CHAIRMAN CLAWSON: Because in '84,
12 we see a broader spectrum of bioassay and it
13 gives it a better result. So my personal
14 feeling, Paul, was this was when we started to
15 see the better bioassay program. So that is
16 what I am proposing.

17 But we can check into it deeper if
18 you'd like, but, for all intents and purposes,
19 I was looking inclusive from '53 to '83.

20 MEMBER ZIEMER: It's through '83.

21 MR. KATZ: Right. Through '83,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 yes.

67

2 MEMBER ZIEMER: Yes. It's through
3 '83. And I guess my only question was I
4 think, John Stiver, you said it was sorted or
5 -- there's never a clear-cut cutoff point, I
6 guess. It could be December. It could be
7 November. But, just to be assured, you're
8 saying, okay, let's use January 1st as the --
9 or December 31st as the cut point. Is that
10 correct?

11 MR. STIVER: I would say it's
12 probably -- without trying to get too detailed
13 and unwarranted levels of detail, I would say
14 that would be a good choice.

15 MEMBER ZIEMER: Yes. We are pretty
16 confident that we have reached the top of the
17 ramp at that point. It may have been somewhat
18 sooner, but that would certainly assure that
19 we are at the point. Is that what you are
20 saying?

21 MR. STIVER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: I guess can we get
2 some feedback at NIOSH? Are you guys
3 comfortable with that to the extent that NIOSH
4 can be comfortable?

5 MR. HINNEFELD: Yes. Dr. Ziemer,
6 it has been a long time. I haven't been
7 comfortable since I took this job, but --

8 MEMBER ZIEMER: Yes. That's why I
9 added that in.

10 MR. HINNEFELD: I believe that
11 given the information, we want to make sure
12 that we're clear. I think we have just a
13 little bit more work to do, which is to state
14 pretty clearly the bases for concluding this.

15 And I believe it is a basis that would not
16 cause too much heartache in some areas of
17 NIOSH. All decisions for this program have
18 caused some heartache somewhere.

19 MEMBER ZIEMER: I understand.

20 MR. HINNEFELD: I think this would
21 not cause too much heartache. And I think if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I can start here, and then people can
2 supplement because I kind of want to talk a
3 little bit about what I believe is being
4 concluded by the Work Group here. And that is
5 that the information that we can obtain on
6 subcontractors -- and we're talking about
7 their internal exposures here -- prior to 1984
8 is not -- a) it is not complete. We are not
9 confident it is complete.

10 Were we confident that we could
11 have every piece of data and all of these
12 subcontractors who came in have been monitored
13 -- well, that's not true.

14 You know, one of the main arguments
15 -- I'm going to start back over my recap here.

16 A big argument is that we have a population
17 of subcontractors who were exposed to work
18 that would not be described by the coworker
19 approach. Their exposures were higher than
20 the coworker approach. Those particular
21 workers, at least the ones in 1969, were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 monitored. 70

2 It doesn't seem to us that we
3 captured all of the data from subcontractors.

4 We had to rely on data capture to get
5 bioassay information from subcontractors. And
6 there seemed to be a pretty decent likelihood
7 that we didn't capture it all.

8 If I understood Joyce correctly a
9 while ago, one of our other populations of
10 workers where we had the high five people,
11 where we found the hard copy, we really only
12 found a little bit of data from a guy who
13 worked there for five years. And so that
14 would lend credence to the fact that our data
15 capture did not capture everything that maybe
16 it should have captured.

17 Given the fact, then, that, at
18 least in some instances, construction workers
19 could have been exposed to having exposure
20 situations that are not described by the
21 coworker and that we don't feel that we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consistently find the monitoring information⁷¹
2 necessarily for those people, we just don't
3 feel we have a technique for reconstructing
4 that we can feel confident we can reconstruct
5 in construction worker subcontractors,
6 subcontractors' exposures, internal exposures
7 based on information at hand.

8 We don't think the coworker model
9 can be counted on because of what we have
10 observed in terms of some construction or
11 subcontractor exposures. And we don't think
12 their own records can be counted on because we
13 don't know that they are complete for people
14 who were exposed. Is that kind of --

15 MR. STIVER: Yes.

16 MR. HINNEFELD: There might be more
17 to it than that.

18 MR. STIVER: I think that is a
19 pretty good recap.

20 MEMBER SCHOFIELD: I agree. That
21 is a good recap there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: This is Ziemer,⁷²
2 Can I ask one more question? Stu, did Fernald
3 use work permits in those days for the
4 subcontractors?

5 MR. HINNEFELD: Well, I wasn't
6 there in those days, but --

7 MEMBER ZIEMER: Yes. But do you
8 know whether they did?

9 MR. HINNEFELD: We don't know a
10 work permit program at that time.

11 MEMBER ZIEMER: Okay.

12 MR. HINNEFELD: Later on, there was
13 a work permit program, but I don't know that
14 there was one. I don't think we have seen any
15 evidence of it during the time period we are
16 talking about.

17 MR. STIVER: Yes. I have seen
18 evidence of it in the late '80s.

19 MR. HINNEFELD: Okay.

20 MEMBER ZIEMER: Okay. But if there
21 had been a work permit program, then you would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a basis for someone making an evaluation;⁷³
2 for example, that bioassay was not needed on
3 this job, number one.

4 Number two, if he completes the
5 job, there would be a verification that, in
6 fact, either based on air sampling or other
7 monitoring that, in fact, it was a good
8 decision, but in reacting to that, you don't
9 have anything to back up the absence of this
10 information.

11 MR. HINNEFELD: Yes, I don't think
12 we have found any evidence of a work permit
13 program during the period we're talking about.

14 MEMBER ZIEMER: Okay. Good.

15 MR. STIVER: Okay. So from here on
16 out, then, Brad, how do you see us proceeding
17 as far as defining any more work than is
18 involved in the time period? Do you want us
19 to take a look at the earlier years a little
20 bit more in depth or are you comfortable with
21 this definition of the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN CLAWSON: Myself, 74
2 wouldn't go into a great depth to it, but when
3 we present this to the Board, I want to be
4 able to explain to them why we have the start
5 date and the end date and be able to give them
6 a good feeling of why and why we picked these
7 because I know that we have had several other
8 things thrown out.

9 And one of the things I want to
10 make sure is because, as you guys said in the
11 earlier years, the pilot plants were going.
12 And we did have uranium there, but I don't
13 think that we had the construction workers
14 like we did after the process was up and
15 running. So I think that we are pretty good
16 from that standpoint.

17 I just would like to be able to
18 make sure that we have done due diligence on
19 it, that we're not starting too early, not
20 start -- myself, I feel good about that '83.
21 I'll be personally honest with that. The '53,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that's where I've got my questions. 75

2 MR. STIVER: Yes. That is what I
3 was thinking, too. Is it '53 or '54? Maybe
4 we could do a little bit more research on that
5 aspect.

6 CHAIRMAN CLAWSON: Let me explain
7 to you my feelings of why because, as I have
8 seen in all of these plants, when they first
9 started getting these pilot plants set up and
10 running, invariably they would find parts in
11 systems that aren't working right and they
12 have to reconstruct them.

13 I just want to make sure that we
14 get the earlier years. The later years, it
15 shows on paper here where we start to see the
16 ramp-ups. My focus, Phil and Paul, is that we
17 just make sure that the start date is good.
18 And I guess I would ask SC&A to look into that
19 a little bit, not a --

20 MR. STIVER: We can do a little
21 more in a search. It doesn't need to be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 White Paper or anything but certainly it is
2 kind of a --

3 CHAIRMAN CLAWSON: Right, to be
4 able to make sure --

5 MR. STIVER: We have done the due
6 diligence.

7 CHAIRMAN CLAWSON: -- that that
8 year is there so that when we bring it before
9 the Board, that we can bring a level of
10 comfort to them that we have checked it out
11 and this is why we have gone with these dates.

12 MR. KATZ: Brad, are you going to
13 have SC&A do a presentation for this?

14 CHAIRMAN CLAWSON: A short
15 presentation.

16 MR. KATZ: Yes. And then just,
17 Phil, you need to speak on the record. You
18 have nodded your head but just --

19 MEMBER SCHOFIELD: No. I am in
20 total agreement --

21 MR. KATZ: Fine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: -- just because
2 the early years, in particular, like Brad
3 says, I have never heard of one of these
4 facilities come online without problems.

5 MR. KATZ: Yes.

6 MEMBER SCHOFIELD: So where were
7 these subcontractors? Were they in there
8 helping make those adjustments? You can't
9 find that data.

10 MR. KATZ: Right. And I only
11 meant, really, speak on the record your
12 support for this motion --

13 MEMBER SCHOFIELD: Yes.

14 MR. KATZ: -- from the Work Group.
15 That's all, in general.

16 MEMBER SCHOFIELD: Yes, I am
17 supportive.

18 MR. KATZ: Thank you. That's all.

19 MR. STIVER: Okay.

20 CHAIRMAN CLAWSON: Okay.

21 MR. HINNEFELD: Perhaps before we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get to the next topic, can we take a comfort
2 break?

3 MR. KATZ: Yes.

4 MR. STIVER: That was my next
5 motion.

6 (Laughter.)

7 MR. KATZ: But staff can't make
8 motions.

9 MR. STIVER: Strongly suggest it.

10 CHAIRMAN CLAWSON: Let's take a
11 comfort break. What? Ten minutes?

12 MR. STIVER: Yes. Recharge the
13 caffeine.

14 MR. KATZ: Okay. All right. So
15 ten minutes. It's about 10:10 right now. So
16 about 10:20, we'll get going again. I'm just
17 going to put the phone on mute.

18 (Whereupon, the above-entitled
19 matter went off the record at 10:09 a.m. and
20 went back on the record at 10:23 a.m.)

21 MR. KATZ: We are back on line,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Fernald Work Group. 79

2 (Roll call.)

3 MR. KATZ: Okay.

4 CHAIRMAN CLAWSON: Okay. Paul, you
5 heard our path forward on this about the
6 earlier years when we left off. Did you hear
7 what we were doing?

8 MEMBER ZIEMER: Yes, I did.

9 CHAIRMAN CLAWSON: Okay. And SC&A
10 will get with this before the Board meeting,
11 but tentatively this is what we are pushing
12 unless we see some more information.

13 MEMBER ZIEMER: Understood.

14 CHAIRMAN CLAWSON: Okay. John,
15 I'll turn it back over to you for the next
16 issue or NIOSH.

17 MR. HINNEFELD: Well, I was just
18 going to ask. At the March meeting, I mean,
19 we had essentially three things we were
20 talking about. We were talking about the
21 subcontractor, the one we just did --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN CLAWSON: Right. 80

2 MR. HINNEFELD: -- talking about
3 the early thorium DWE. And we were also
4 talking about the interpretation of the in
5 vivo from '89 or something --

6 MR. STIVER: '79 to '88.

7 MR. HINNEFELD: '79 to '88. And we
8 provide a lot of information on '79 to '88.
9 Is there more discussion there? That seemed
10 to me to be kind of solid.

11 MR. STIVER: Yes. This is a
12 discussion mainly more from a mechanistic
13 standpoint. I think it's a Site Profile-type
14 thing.

15 MR. HINNEFELD: Okay. So we can
16 leave that until the end.

17 MR. STIVER: Yes. That is what I
18 was planning to do.

19 MR. HINNEFELD: All right.

20 MR. STIVER: We will talk about
21 that towards the end.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But why don't we go ahead now and⁸¹
2 talk about the thorium coworker model in the
3 early years? This is the 1954 or 1953 to
4 1967, when the thorium coworker model is
5 dependent on these daily weighted exposures
6 that were taken throughout the plant by the
7 health and safety laboratory. And this has
8 been the ongoing topic, gosh, for about five
9 years.

10 There are now five different
11 revisions to the coworker model. The latest
12 revision you guys produced in response to some
13 of our concerns at the March meeting resembles
14 very much the previous revision, which was
15 produced in October of 2010. That particular
16 model is the one size fits all-type bounding
17 model.

18 Let me just kind of back-step to
19 revision 3 for a minute. That particular
20 revision basically assigns the highest DWE,
21 daily weighted exposure, for any given

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 building for that particular year to all
2 workers, that building and that year
3 combination, with a geometric standard
4 deviation, the GSD, of five.

5 And the crucial aspects of our
6 acceptance or one of the aspects of it was the
7 fact that the uncertainty in the measurements
8 had been taken into account based on the model
9 relied fairly heavily on an uncertainty
10 analysis produced by Dan Strom at PNL back in
11 2008. And they looked at this very issue,
12 about six different plants, in the earlier
13 years, '48 to '53, I believe, if I'm not
14 mistaken.

15 And they factored in just about
16 every type of uncertainty. And there are five
17 different types of uncertainty they looked at.

18 One thing they didn't look at obviously was
19 the representativeness of a particular DWE to
20 other workers. There is no way you can
21 possibly do that. So they looked at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 uncertainty and the variables that actually⁸³
2 went into developing the DWE.

3 And they came out of that with this
4 recommendation that, you know, if you don't
5 actually do your own uncertainty analysis for
6 a set of data, certainly I think the GSDs
7 range from about 4 to 8, 5 being -- I think
8 the 95th percentile was a little over 4. So
9 they recommended a GSD of 5, and that's what
10 NIOSH did.

11 And so we were okay with that. We
12 said with one caveat that you need to be able
13 to demonstrate that you can indeed place
14 workers in a given plant in a given year. And
15 Bob Barton did a White Paper last fall where
16 he looked at that very issue through all the
17 data. And the long and the short of it, it
18 turns out that no, you can't place workers in
19 a time period in a particular building. There
20 just isn't the data available to do that.

21 And so NIOSH went back to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drawing board and came back with another⁸⁴
2 revision. And we have had some problems with
3 it, mainly related to an unwarranted level of
4 granularity that was implied. So it came back
5 out with revision 5.

6 And revision 5 basically follows
7 revision 3, only with a couple of differences,
8 probably the biggest being that, rather than
9 trying to place workers in a given building,
10 we basically are going to take -- look at all
11 of the DWEs for that year for all the
12 buildings and assign the highest value to all
13 the workers.

14 So this is a one-size-fits-all
15 model with one fewer degree of freedom than in
16 the revision 3 and again with the same caveat
17 that the GSD of 5 would apply.

18 Now, there are two aspects to this
19 that we were kind of concerned with. The
20 first one has to do with data completeness.
21 And this is for the period of 1964 to 1967.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, if you look at the -- Bob⁸⁵
2 Morris from ORAU had put out a thorium
3 timeline back in like 2008, which was
4 incorporated into revision 3 of the coworker
5 model. I don't know if you have revision 3
6 available. It was posted along with all the
7 other papers regarding action item 10, which
8 is the DWE model.

9 But on page 2 of that, you have
10 figure 1, which basically shows which plants
11 were involved in thorium production during the
12 years '54 through '67. And then down on page
13 12, you have a list of the available DWEs for
14 those particular years and those particular
15 plants. And for '54 all the way up to '63,
16 DWEs are indeed available for all of the
17 plants in which thorium was being processed.

18 However, for '64 through '67, we
19 have DWE data for Plant 1, which is the
20 sampling plant. They basically prepared
21 samples, ground them up to a uniform particle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 size, drumming operations, so forth, things
2 like that, to send on to the refinery for
3 subsequent steps.

4 And then Plant 8 in 1966, it was
5 one year Plant 8 was involved in thorium
6 production. And there is DWE data for that
7 plant as well. However, for the pilot plant,
8 where a lot of thorium-related activities were
9 taking place, for '64 through '67, there are
10 no data, no DWE data.

11 And so our concern was, well, you
12 know, you have got an incomplete data set. So
13 how do you know that the exposures in the
14 pilot plant weren't necessarily higher in
15 Plant 1 than Plant 8? And without the data,
16 there is just no way to ascertain that. I
17 mean, you might be able to build a case of,
18 well, you know, based on the process knowledge
19 of what was going on in those plants, we feel
20 that Plant 1 would be bounding.

21 But when you go back to the thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 timeline -- I don't know if you have that
2 available. It's figure 1 on page 2. It's a
3 nice little chart here for each of the -- it's
4 a timeline for all the different plants and
5 all the different activities that were taking
6 place. And plant --

7 MEMBER ZIEMER: Excuse me, John.
8 Hang on. What document are you looking at
9 right now? I want to make sure I'm on the
10 same page.

11 MR. STIVER: I am now looking at a
12 document called "FMPC Thorium Timeline" by
13 Robert Morris in February of 2008.

14 MR. HINNEFELD: Yes. The file
15 title, Paul, is "White Paper on FMPC DWE
16 Reports, Rev. 03" from October --

17 MR. STIVER: Actually, that has
18 summary data, though. I'm trying to --

19 MR. HINNEFELD: You're looking at a
20 different one.

21 MR. STIVER: I'm looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 source data. Really, you don't have to pull
2 this up right now, but the point being the
3 pilot plant -- there are a lot of activities
4 going on during this time period. There was
5 thorium melting and casting. There was
6 purification, solvent extraction, purification
7 of feed, thorium oxide.

8 Let's see what else was going on
9 here. Gel production. The thoria-dense
10 production was going on. So there are quite a
11 few things happening in this time frame in the
12 plant, the pilot plant.

13 And whereas Plant 1, there is a not
14 a lot going on. And basically also based on
15 the interview with a couple of site former
16 employees who were experts in the process that
17 was taking place, it says right here in the
18 annotations that interviews provide a basis
19 for the assumption that relatively small
20 exposure to thorium may have occurred in Plant
21 1 at any time thorium was produced elsewhere

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and had to be safe. And that's borne out by
2 the levels of the DWEs provided in revision 3.

3 So our concern here is that you
4 have got a lot of activities going on in the
5 pilot plant, one of which, this casting and
6 melting, is known to be a very high, real
7 dirty, dust-intensive process based on what we
8 know about Plant 9 in 1955, which is going to
9 be a topic here in a minute.

10 So I guess our concern here is that
11 you've got certainly a potential for much
12 larger exposures in the pilot plant, but we've
13 got no data for it. So what do we do at this
14 point? So I throw that out there for
15 discussion.

16 MR. HINNEFELD: This is Stu. And I
17 have a little trouble keeping straight the
18 various information I have received on this
19 topic, that there is actually some additional
20 data. I was looking at the summary, the
21 Morris, the White Paper, on it. That gives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DWEs by plant. And down toward the bottom of
2 it, it has the DWE by plant from '54 through
3 '67.

4 And for the pilot plant from '64
5 through '67, it describes using the 95th
6 percentile of 18 air samples collected
7 apparently in 19--

8 MR. STIVER: '77.

9 MR. HINNEFELD: -- 1967.

10 MR. STIVER: Yes, that's right.
11 '67.

12 MR. HINNEFELD: Nineteen
13 sixty-seven.

14 MR. STIVER: Yes. '77 --

15 MR. HINNEFELD: Yes, '77 being the
16 DWE or the 97th percentile.

17 MR. STIVER: Yes.

18 MR. HINNEFELD: Now, there is
19 apparently other thorium information
20 air-sampling data that we have found. There
21 is some thorium air-sampling data from 1964

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where there was air sample data taken and
2 there were DWE studies done on two specific
3 jobs which were described in 1964 as being the
4 two jobs that are chronically high-dust jobs.

5 And so there is a DWE study. And I
6 am hoping someone can point us toward that.

7 MR. STIVER: Nineteen sixty-four in
8 the pilot plant?

9 MR. HINNEFELD: Yes. And there
10 were two jobs identified as having chronically
11 high air dust. And there are DWE studies on
12 those two jobs.

13 MR. ROLFES: Yes. Let's see.
14 Stokes furnace operator I think.

15 MR. HINNEFELD: That was one of
16 them.

17 MR. ROLFES: That has been
18 proposed. I'm trying to find the other one.
19 I don't know. Maybe Karin. Karin Jessen, are
20 you on the line and able to help?

21 MS. JESSEN: Yes. There are air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 samples for 1964, '65, and '67 in the pilot⁹²
2 plant but no DWE reports.

3 MR. HINNEFELD: Well, what was
4 described about '64? There were two, like the
5 Stokes furnace operator and something else.
6 There was some sort of study about their
7 exposure, right, from '64?

8 MS. JESSEN: I believe there was --
9 I've got like three documents here. So I'm
10 trying to find which one it was. Give me a
11 few minutes if you don't mind.

12 MR. HINNEFELD: Okay.

13 MR. ROLFES: Okay. I found an
14 email here. This is out of the Fernald
15 thorium worker location issue, consolidated
16 final revision, from February of 2013. There
17 is a little excerpt that I will read, "The
18 second item to note also concerns the pilot
19 plant. The maximum unweighted air
20 concentration from 1967 was used in Morris
21 2010. Since it wasn't feasible to combine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 weighted and unweighted data, the search of
2 the Site Research Database for additional DWE
3 data was conducted. Ross 1964 is not a full
4 DWE study, but it contains 1964 thorium DWE
5 data for the only two jobs in areas that
6 exceeded the National Lead of Ohio
7 concentration guide at the time of 100 dpm per
8 cubic meter."

9 And these two jobs, Stokes furnace
10 operator and briquetting operator, were noted
11 as the only ones that consistently generate
12 high air dust levels and that respiratory
13 protection should be worn during these
14 operations. It was also noted that additional
15 ventilation would be required if these
16 operations became routine.

17 And the Ross 1964 reference is in
18 the Site Research Database. It's Ref ID
19 42862. And then the value for the Stokes
20 furnace operator was 410 dpm per cubic meter,
21 or about 6 max using the 70 dpm per cubic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meter concentration guidelines. And the ⁹⁴
2 briquetting operator was lower value.

3 Our proposal was to use those
4 values, those two high values for the pilot
5 plant for the years of operation that we don't
6 have DWE data specifically.

7 MR. BARTON: So it's the maximum,
8 not the 95th percentile?

9 MR. ROLFES: Well, let's see.
10 These were the two jobs that were sampled that
11 consistently generated high air dust levels.
12 Let's see. It's 1964 thorium DWE data. It
13 doesn't specify whether or not it's the
14 maximum or 95th percentile, but --

15 MR. STIVER: Is it a DWE or are
16 they just air concentrations, unweighted air
17 concentrations?

18 MR. BARTON: It sounds like it is
19 just air concentrations.

20 MR. ROLFES: From what it says
21 here, it says it is not a full DWE study, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it contains 1964 thorium DWE data for 2 jobs
2 that exceeded the concentration guide at the
3 time.

4 MR. STIVER: Okay. I guess my
5 question is, is it an unweighted air
6 concentration -- that would be like raw data
7 -- or is it the actual --

8 MR. ROLFES: Yes. I'll take a look
9 and see if I can pull up the Site Research
10 Database document.

11 MR. BARTON: And it looks like they
12 have breathing zone and general air for a
13 number of casts, but it's not delineated by
14 the time spent. So they are unweighted air
15 samples.

16 MR. STIVER: So they are unweighted
17 air samples.

18 MR. BARTON: Yes.

19 CHAIRMAN CLAWSON: So is this also
20 an average or is this --

21 MR. BARTON: They provide a high,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 low, and average air concentration, then⁹⁶
2 convert that into the control guide at the
3 time, which I guess was 100 dpm per meter
4 cubed.

5 I'm looking at the highest activity
6 here was unloading the Stokes furnace. And
7 that had an average value of 41,000 dpm per
8 meter cubed.

9 MR. STIVER: Did they give you the
10 time allocation or anything?

11 MR. BARTON: No, there's no time.

12 MR. STIVER: Sort of like an
13 average, unweighted air concentration data.

14 MR. BARTON: Right.

15 MR. STIVER: And then the -- hold
16 on just a second here. And what is the value
17 they came up with, then? It was like the --

18 MR. ROLFES: For unloading the
19 Stokes furnace BZ, we had 410 times the NLO
20 concentration guideline. It looks like
21 loading the Stokes furnace was 130 times the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 national concentration guideline. We've got
2 some general areas that are lower, some more
3 BZs that are lower. The GA was 55 times.

4 MR. STIVER: But you have breathing
5 zone data for this particular task.

6 MR. HINNEFELD: Attached at the end
7 of this, there is a DWE worksheet.

8 MR. STIVER: Let me see. What's
9 the name of the SRDB again?

10 MR. HINNEFELD: The SRDB reference
11 is 42862.

12 MR. STIVER: 42862. Let me pull
13 that up.

14 MR. BARTON: You're right. There
15 is some. They do break it out by time at the
16 end.

17 And, just looking at the breakout
18 on some of these, the higher concentration
19 jobs, it looks like they were doing it for a
20 few minutes a day. You have 4.1 times the
21 national concentration guide as the final

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 daily weighted exposure for that worker. 98

2 MR. STIVER: And the concentration
3 guide, is this an equivalent to a MAC or is a
4 different level?

5 MR. BARTON: It's 100 instead of
6 70. So it looks like you do have --

7 MR. STIVER: Okay.

8 MR. HINNEFELD: So if you use the
9 old MAC number --

10 MR. STIVER: Yes. That's 6. Yes.
11 And this is from 1964.

12 CHAIRMAN CLAWSON: Stu, did you say
13 NAC?

14 MR. HINNEFELD: MAC, maximum
15 allowable concentration.

16 CHAIRMAN CLAWSON: Okay. I just
17 thought I heard you say NAC. And I was
18 sitting there.

19 MR. HINNEFELD: No. That's
20 something else.

21 MR. STIVER: Okay. So it looks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like you do have some useable data here, '64,
2 '67, I'm a little more concerned with because
3 you only have those 18 samples. And this is
4 basically the 95th percentile with the
5 unweighted average, a little more concerned
6 with that. It looks like '64, you have got
7 something that you could make a good case for
8 it being a reasonable high-end exposure,
9 certainly higher than what you would have
10 gotten from --

11 MR. BARTON: Based on that paper
12 that was prepared in February, it looks like
13 what the proposed approach is is to use that
14 '64 data for all the way through '67.

15 MR. STIVER: Yes.

16 MR. BARTON: I'm looking at table 1
17 in Fernald thorium worker location issue
18 consolidated draft. And they indicate which
19 years for which plants they would be using.
20 And it's for the pilot plant. It would be
21 that 1964 data. Of course, I mean, now the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 approach is whatever the highest plant wasⁱⁿ₁₀₀
2 that year. So, I mean, that might not be what
3 ends up being used.

4 MR. STIVER: Well, actually, when
5 you look at the only year that it wouldn't be
6 would be '66, where you have a 7.1 MAC from
7 Plant 8.

8 MR. BARTON: Okay.

9 MR. STIVER: So let come back to
10 this one. So basically you would be looking
11 at 4.1 MAC, whatever the -- accounting for the
12 difference in the 170. So you would be a
13 little over 5, close to 5 for the Stokes
14 furnace job.

15 MR. BARTON: I guess one of the
16 things maybe you have some evidence to
17 establish is, you know, you have those
18 high-risk jobs in 1964 and you want some
19 assurance that it can apply that to the years
20 after that, that the versions hadn't noticed
21 to be changed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. I guess thatⁱis₁₀₁
2 true. I was not really comfortable buying off
3 on a plant after all of those years until we
4 had a little bit better understanding of
5 whether that would have applied because we
6 certainly have, you know, the melting and
7 casting. I was thinking the Stokes furnace
8 might be related to that during those years.

9 MR. HINNEFELD: I don't remember
10 what the Stokes furnace was on the plant.

11 MR. STIVER: In looking at the
12 other activities that are going on, I wouldn't
13 be involved in the solvent extraction,
14 obviously, or purifications, all the chemical
15 extraction processes.

16 MR. BARTON: I mean, you always
17 want to use a daily weighted exposure just to
18 --

19 MR. STIVER: Oh, certainly, yes.

20 MR. BARTON: -- sample
21 measurements.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. 102

2 MR. BARTON: But at the same time,
3 if you look at the older drafts, what was
4 assumed before was that 1967, based on the 18
5 samples, --

6 MR. STIVER: Yes, but I've got a
7 problem with that.

8 MR. BARTON: -- was 77 MAC. And
9 now we're talking about like six MAC.

10 MR. STIVER: Well, 77 I always
11 thought was kind of a --

12 MR. BARTON: Yes.

13 MR. STIVER: -- tenuous number to
14 begin with. It's based on such sparse data.
15 That was one of the problems I had with it.

16 I would tend to believe that for
17 '64, you are okay. I am not quite so sure
18 about '65 through '67, though, just
19 extrapolating that across the board without
20 real data.

21 Like I say, you know, for every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other year, you've got an individual map that ¹⁰³
2 would apply. That is the only time, that
3 three-year period where you are hanging it all
4 on one job from 1964. And I guess if you make
5 the assumption that the work environment was
6 essentially unchanged for the subsequent three
7 years, then that would hold, but --

8 MR. ROLFES: I just wanted to point
9 out also it is the highest job that we --

10 MR. STIVER: Right. It is highest,
11 which is inconsistent with your approach.

12 CHAIRMAN CLAWSON: Mark, could you
13 explain to me what the Stokes furnace was in
14 comparison to this encapsulating? I guess
15 this is where I want to understand the
16 process, but what was it? How did it play
17 into these other parts of it?

18 MR. ROLFES: If you're asking what
19 a Stokes furnace is, I don't know what it is.

20 CHAIRMAN CLAWSON: Well, yes. I
21 guess the part that got to me was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 encapsulation process, the casting, and ^{so}~~104~~
2 forth like that. And what I was wondering is
3 where they just call it the Stokes furnace, I
4 was wondering if that is what that went into
5 previously before the castings or --

6 MR. STIVER: This isn't related to
7 the dirtiest job. This isn't what that was
8 concerned with.

9 MR. HINNEFELD: I don't know if
10 anybody on the phone knows the answer to that,
11 the Stokes furnace, what part of the operation
12 the Stokes furnace was used in. I am not
13 hearing anything. I guess not.

14 MS. JESSEN: I don't know what the
15 Stokes furnace is.

16 MR. STIVER: I think we can infer
17 it's related to the melting and casting
18 operation. Apparently what aspect, is there
19 another --

20 MR. HINNEFELD: Yes, furnaces were
21 used for production, the reduction process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They are used for melting and recasting. And
105
2 they are used for oxidation. Usually when you
3 are reclaiming something to oxide it to get in
4 good material, good --

5 MR. STIVER: Right.

6 MR. HINNEFELD: So those are
7 generally the areas where you're going to use
8 a furnace. And I don't know what the Stokes
9 furnace, which -- that's probably a
10 manufacturer. So I don't know what it was.

11 MR. STIVER: We can kind of infer
12 from the job description separating the ingot
13 from the mold, that would certainly involve
14 the melting and recasting.

15 MR. HINNEFELD: Yes.

16 MR. STIVER: I don't believe there
17 was any oxidation going on, at least according
18 -- let's see.

19 MR. ROLFES: Yes. Some of the
20 subsequent operations that were sampled in
21 this paper --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. 106

2 MR. ROLFES: -- refer to removing
3 the mold from the furnace, placing it in a
4 cooling booth, loading crucibles with thorium
5 powder, metal, and brick head.

6 MR. HINNEFELD: That's melt. Yes.
7 That's the melt part.

8 MR. STIVER: Yes. That would be
9 the melting aspect of it.

10 MR. ROLFES: Crucible loading.

11 MR. STIVER: Where are you finding
12 that, Mark?

13 MR. ROLFES: If you look within
14 that Site Research Database document, this is
15 on page 4 of 8. I was just looking at some of
16 the subsequent operations and locations that
17 were sampled following those first two, the
18 high values. It's just further down.

19 MR. STIVER: Okay. I see.

20 MR. BARTON: That's the job there.

21 MEMBER SCHOFIELD: Do we know what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the capacity of these furnaces was? Any idea?¹⁰⁷

2 MR. HINNEFELD: Capacity in what
3 sense?

4 MR. BARTON: When they are loading
5 or unloading. Are we talking about 1 or 2
6 kilograms or are we talking about 10 or 20
7 kilograms loads?

8 MR. HINNEFELD: I don't know how
9 they cast the thorium, if it was uranium
10 casting was, you know, what, several hundred
11 kilograms, I believe, maybe more. I don't
12 know about the thorium.

13 MR. STIVER: According to this
14 thorium timeline, we're looking at about 30
15 tons being processed.

16 MR. KATZ: By the way, I just
17 Googled Stokes furnace and went around that
18 and found Rufus Stokes. It's an air
19 purification system he invented for furnaces.

20 So I don't know if that tells you much about
21 what kind of furnace it was, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. It looks like
2 they're definitely involved in what I was
3 concerned with, which is the dirtiest job,
4 casting. Now, when we look at the Plant 9,
5 that what we will talk about with Ted, but we
6 had the really, really high MACs. And almost
7 every one of the highest five MACs from Plant
8 9 in 1955, the highest values involve some
9 aspect of cleaning crucibles or some aspect
10 regarding this recasting and melting
11 operation.

12 That's why it just kind of jumped
13 off at me for the pilot plant when I saw that.

14 It's like, wait a second. Here is your
15 dirtiest job that you've got. And it looks
16 like the Stokes furnace DWE captures that.
17 Certainly that is my first impression looking
18 over this for 1964.

19 Now, this begs the question, of
20 course, given the fact that the Plant 9 was so
21 much higher for similar operations. Could we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be missing something for '65 through '67? The
109
2 operation was essentially going on that entire
3 time.

4 So is the '64 DWE really
5 representative of the subsequent years or
6 could it have been higher? I guess that's the
7 thing that is kind of nagging at the back of
8 my mind now.

9 MR. ROLFES: For Plant 9, are you
10 referring to like 1954 and '55?

11 MR. STIVER: Nineteen fifty-five is
12 where you had the highest MACs recorded.

13 MR. ROLFES: That was also when
14 they produced like 33 percent of the total
15 thorium --

16 MR. STIVER: Oh, yes.

17 MR. ROLFES: -- produced, too.

18 MR. STIVER: Right. But, you know,
19 the fact that at any given rung, it was going
20 to be comparable, I would think, you know, the
21 potential for high-dose loads in some aspect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of that job for a particular crucible wouldn't
2 be any different. You have a total throughput
3 for that facility unless you were to scale
4 everything down, which I doubt was the case.
5 So it becomes the issue of, can we really hang
6 our hats on 1964 data for the subsequent there
7 years or not? I don't know.

8 MR. HINNEFELD: Well, I can find
9 the '67. I think the '67 data is in
10 SRDB-2280.

11 MR. STIVER: Yes, yes.

12 MR. HINNEFELD: And it is
13 intermixed with uranium --

14 MR. STIVER: Yes.

15 MR. HINNEFELD: -- data set.
16 You've got to be careful if you're looking at
17 thorium when you look through those.

18 MR. STIVER: Yes.

19 MR. HINNEFELD: There is a lot of
20 information on some of these. It almost looks
21 like you can build, do a DWE for some jobs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that were monitored in '67. I mean, they
2 haven't drawn out, they haven't done the DWE
3 calculation themselves, but it looks like they
4 have taken the samples that would allow a DWE
5 calculation.

6 They talk about certain high-dose
7 concentrations in their duration numbers,
8 which I believe it was the sample duration
9 because they took the sample for the duration
10 of that activity.

11 MR. STIVER: I remember looking at
12 that back in 2009 and trying to go back and
13 recall 4 or 5 years ago. But yes. I looked
14 at some of that data when I first started here
15 at SC&A.

16 You know, at this point, I am not
17 comfortable buying off on it. I certainly
18 want to look at that '67 data in a little bit
19 more detail. I realize this would have to be
20 something we could do for a couple of days,
21 you know, in a reasonably short time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 turnaround time. 112

2 MR. HINNEFELD: What we should
3 probably do on our side, Mark, you read an
4 email or a report. You read from a report
5 that said there were data from '64, '65, and
6 '67. Is that right?

7 MR. STIVER: Yes.

8 MR. HINNEFELD: The only DWE study
9 being the sort of informal one that was done
10 in '64 or not really informal. They did the
11 DWE calculation time. So it would seem
12 incumbent that we collect all of that data, if
13 possible the data sheets, as well but collect
14 the data on like a spreadsheet of some sort so
15 we can convey what information we have
16 concisely.

17 You know, the SRDB references are
18 handy to go back and look at, but you would
19 really like to have the information from the
20 data sheet transferred onto the spreadsheet.
21 You can see it all handily in one place, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here was the data taken, this was ~~the~~¹¹³
2 description of the activity, this is what they
3 collected in terms of sample time,
4 particularly on these DWEs because they tended
5 to sample the operations. So the sample time
6 was also the time of the operation.

7 MR. STIVER: Right.

8 MR. HINNEFELD: What you may not
9 get is how many times was the operation done
10 in a day. If you're just looking at air
11 sample sheets, you may not get that.

12 MR. STIVER: Right. You don't get
13 the weighting.

14 MR. HINNEFELD: And you may not
15 know how much time. They have got some GA
16 samples. You would have to deduce what time
17 to apply the GA sample, depending on how many
18 times they did that, the BZ monitored
19 activity. So there might be some things you
20 can put together. And I would think that that
21 can be done in a relatively short period --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. STIVER: We would certainly¹¹⁴
2 like to be able to make some definitive
3 judgments in advance of the Board meeting.

4 MR. HINNEFELD: Yes, yes. We'd
5 need to do something in --

6 MR. STIVER: In the teleconference
7 call or whatever.

8 MR. HINNEFELD: -- or would we need
9 to thrash it out at the Board meeting?

10 MR. KATZ: We can have another. We
11 can have a teleconference meeting of this Work
12 Group. There is time for that. So that is
13 not a problem.

14 MR. BARTON: You said that
15 reference number was 2280?

16 MR. HINNEFELD: I probably said it
17 wrong. 2280. It's an analytical data sheet.

18 MR. BARTON: Yes. Those are
19 actually dated 1977.

20 MR. HINNEFELD: '77? Oh, my god,
21 you're right. We're talking about '67, aren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we? 115

2 MR. BARTON: Yes.

3 MR. HINNEFELD: Oh, my bad. My
4 bad.

5 MR. STIVER: It's only a factor of
6 ten.

7 (Laughter.)

8 MR. STIVER: It's a small fraction.

9 MR. HINNEFELD: Well --

10 MR. STIVER: I know that --

11 MR. HINNEFELD: -- it's 70. That's
12 all that was important. I was going to say
13 ten years is not that important. Between '50
14 and '60, it is. Between '60 and '70, it is.
15 I'm sorry. You are right.

16 CHAIRMAN CLAWSON: Looking at this
17 --

18 MR. HINNEFELD: Either way, we need
19 to compile the data from '67 and --

20 MR. STIVER: Yes, see what you can
21 pull up. It sounds like there may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something for '65. '66 is questionable. And
116
2 then there is some for '67. So if we can see
3 that data.

4 MR. HINNEFELD: It would be handy
5 to be able to refer to the SRDB figures so
6 people can look back.

7 MR. STIVER: Right.

8 MR. HINNEFELD: It feels better to
9 see the SRDB reference. You know, it may not
10 tell you any more information, but it feels
11 better.

12 MR. STIVER: Yes.

13 MR. HINNEFELD: And then -- okay.

14 CHAIRMAN CLAWSON: Because the
15 dates that I was actually worried about, Stu,
16 are like from the '64 to the '67 era, right
17 through there. It wasn't clear. And it
18 looked like, to me, that there were some
19 outstanding questions on how we would do that,
20 especially with that higher data.

21 MR. HINNEFELD: And Morris Rev 3

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposed -- you know, they put in that cable¹¹⁷
2 the 95th percentile. That would be air data,
3 the 18 air samples data in 1967, quite a high
4 number.

5 MR. STIVER: Yes. Where we left
6 that, I have some concerns about that and also
7 about that 686 MAC in '55 from Morris Rev 3.
8 But we kind of tabled that so we could
9 determine whether it was even implementable,
10 you know. So I guess we are kind of picking
11 that up again now.

12 MR. HINNEFELD: Yes. Okay.

13 CHAIRMAN CLAWSON: Help me
14 understand. Because in my short knowledge of
15 this, on this DWE data that we're going
16 through, they're going to use that for
17 everyone.

18 MR. STIVER: If it's a high DWE for
19 that year, everybody gets it because there's
20 no way you can parse people out by where they
21 might have been in the situation. So it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one-size-fits-all model. It's kind of
2 analogous to what we wound up with for the
3 recycled uranium where everybody got 100 parts
4 per billion plutonium, couldn't determine who
5 was doing the jobs.

6 CHAIRMAN CLAWSON: Those heavier --
7 I can't remember what year it is. My mind is
8 not working too well right now. But, anyway,
9 the heavier data, we had some very high
10 set-points that to me seemed very, very high.
11 That was '67, I believe.

12 MR. STIVER: '67 data isn't a DWE.
13 Basically it's a fit to 18 unweighted air
14 samples at the 95th percentile. And this is
15 kind of similar to what is being proposed in
16 Revision 3 for 1955. It's Davis and Strom in
17 their report. And there's a passage in there.
18 I don't remember the exact words. It's to
19 the effect that if you don't have DWE data but
20 you do have air-sampling data, the 95th
21 percentile and the unweighted air-sampling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data, while probably higher than what anybody
2 would have gotten, would be certainly a
3 bounding number. And so that's kind of the
4 basis that underlies that approach here.

5 Back in 2010, I thought that was
6 still kind of a weak number to be applying,
7 you know, past a four-year period because it
8 was based on some pretty sparse data. But now
9 it looks like you certainly have a DWE that's
10 a representative for 1964, the Stokes furnace
11 DWE. '65 and '66 we don't know. There may be
12 something in '65. '67, we need to look at the
13 data, see if there indeed are only 18 samples
14 and what those samples represent.

15 CHAIRMAN CLAWSON: My question on
16 that, too, are they an average or --

17 MR. STIVER: The 1967 data, this is
18 basically a 95th percent of a bunch of
19 unweighted air samples. There's no time-
20 weighting associated with those values. So
21 they're very high. They are very high. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's a big number. 120

2 CHAIRMAN CLAWSON: And I realize --
3 because to me, basically, we're getting into
4 the aspect of could somebody plausibly --

5 MR. STIVER: Well, that's a nice
6 segue to the 1955 issue. Before we get there,
7 though, I guess what I would like to do, I
8 guess, so, you guys, NIOSH's side, you're
9 going to get everything together that you can
10 on the '64 to '67 --

11 MR. HINNEFELD: Right. The data
12 that's described in that, we will get that and
13 --

14 MR. STIVER: Right. If you get
15 that posted, we can look at it.

16 MR. HINNEFELD: -- and also the
17 SRDB references.

18 MR. STIVER: Right, and the
19 references that go with it. And then we can
20 look at that and then have a teleconference
21 call before the Board meeting and decide how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we're going to go on those four years, whether¹²¹
2 we're in agreement or not. And then we'll
3 bring the issue up, discuss it at the Board
4 meeting, but we have all got to be on the same
5 page, or at least have our position staked
6 out.

7 CHAIRMAN CLAWSON: Because '64 to
8 '67 is my issue. I want to discuss this with
9 you in detail before I go on that. So the
10 path forward, we're going to have NIOSH
11 deliver a spreadsheet and everything they've
12 got on that because some of this kind of -- I
13 haven't seen. So I apologize. I didn't know
14 it was out there. That's my fault for not
15 reviewing that. We're going to have to have a
16 Work Group call.

17 MR. KATZ: Teleconference.

18 MR. STIVER: Another teleconference
19 call, I don't know, in a week or so.

20 MR. HINNEFELD: You might want to
21 go more than a week.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: So what have we got?¹²²

2 We have got one month.

3 MR. HINNEFELD: We've got a month
4 before the Board meeting.

5 MR. KATZ: Yes, and the best is two
6 weeks. We'll just have to find a date that
7 works, too. So it may be two weeks. It may
8 be longer than two weeks depending on when
9 people are available.

10 MR. HINNEFELD: Okay.

11 MR. KATZ: Right now, the only time
12 period I'm quite certain about is the week
13 right before the Board meeting, I know there
14 is still quite a bit of availability for a
15 Work Group. In general, it's nicer to do it
16 earlier because then we can get presentations
17 ready and so on earlier. So we'll shoot for,
18 if you want to shoot for, two weeks from now.

19 MR. STIVER: Yes, that would give
20 us plenty of time. In a day or two, we'll
21 have a -- or once we see the data, we'll have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a better handle on where we are. 123

2 MR. KATZ: Right.

3 MR. HINNEFELD: It would be the
4 week of July 4th. We're only off on the 4th.

5 MR. KATZ: And my availability is
6 good that week. It's just --

7 MR. HINNEFELD: The week after
8 there is a civic society meeting, that might
9 affect John and some people. It might affect
10 some ORAU folks.

11 MR. STIVER: Yes, yes.

12 MR. HINNEFELD: It won't affect
13 Mark or me.

14 CHAIRMAN CLAWSON: And July 8th
15 through the 16th or 15th, I'm not available at
16 all.

17 MR. STIVER: That is HPS, though.

18 MR. KATZ: Right.

19 MR. HINNEFELD: Yes. That is the
20 HPS meeting.

21 MR. KATZ: So why don't people look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the calendars now while we're on the topic?¹²⁴

2 The week of July 4th, is that enough time,
3 Mark and Stu, to get a spreadsheet together?

4 MR. ROLFES: I would think so.

5 MR. HINNEFELD: I would think so.

6 MR. KATZ: Okay. And giving SC&A a
7 couple of days to be able to look at it and
8 make sense. So the July 4th is off,
9 obviously, but I have good availability then.
10 It's up to all of you and all on the phone,
11 too.

12 MR. STIVER: I could be there any
13 day. It doesn't much matter to me.

14 CHAIRMAN CLAWSON: With the holiday
15 falling on the weekend, I don't want to get
16 into that. I'd prefer to do it the first of
17 the week.

18 MR. STIVER: How about Tuesday, the
19 2nd?

20 MR. KATZ: So Paul, how is July 2nd
21 for you for a teleconference? It wouldn't be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a very long teleconference. This is just ~~one~~¹²⁵
2 issue, I think, unless we end up having --

3 MR. HINNEFELD: Well, unless we
4 have other --

5 MR. KATZ: Right.

6 MR. HINNEFELD: We're not done
7 today. We may be able to wrap up the rest of
8 them.

9 MEMBER ZIEMER: July 2nd, did you
10 say?

11 MR. KATZ: Yes. Paul, how is that
12 for you?

13 MEMBER ZIEMER: I think that will
14 be all right. I don't know for sure because
15 -- well, let's just say at the moment it looks
16 okay.

17 MR. KATZ: Okay. Well, I guess let
18 me ask you this.

19 MEMBER ZIEMER: It's a little crazy
20 right now.

21 MR. KATZ: Yes. I know. Is July

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 3rd better or same difference? 126

2 MEMBER ZIEMER: No, no. The 2nd
3 will be better, I think.

4 MR. KATZ: Okay. And p.m., a.m.?
5 Do people have a preference.

6 CHAIRMAN CLAWSON: Earlier in the
7 morning. Actually, what is July 1st? That's
8 a Monday.

9 MR. KATZ: That's a Monday.

10 CHAIRMAN CLAWSON: Yes. I'm trying
11 to schedule this around my days off.

12 MR. KATZ: Right.

13 CHAIRMAN CLAWSON: And that would
14 -- July 1st would actually work better for me,
15 because July 2nd, I'm actually supposed to be
16 back for work. But --

17 MR. STIVER: I was going to say it
18 doesn't matter to me. Whatever you guys --

19 MR. KATZ: Does that still work for
20 you guys?

21 MR. HINNEFELD: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: July 1st? Okay. ^{So} ~~127~~
2 what about July 1st, Paul? Is the better or
3 worse than the 2nd?

4 MEMBER ZIEMER: July 1st works for
5 me.

6 MR. KATZ: July 1?

7 CHAIRMAN CLAWSON: That's a Monday,
8 Paul.

9 MEMBER ZIEMER: That's okay for me.

10 MR. KATZ: Okay. So let me just
11 see what I have on my schedule. Yes. I have
12 something I can move. I can do away with
13 things in the way. So July 1st a.m., you're
14 saying is better?

15 CHAIRMAN CLAWSON: A.m., in the
16 morning would be better.

17 MR. KATZ: So you're out West.
18 What time your time is --

19 CHAIRMAN CLAWSON: Whatever time
20 you guys -- I'm used to getting up at 5:00 to
21 go to work. So --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay. 128

2 CHAIRMAN CLAWSON: -- we're good on
3 that.

4 MR. KATZ: Nine a.m.? Does that
5 work for everybody? Nine a.m. Eastern time on
6 July 1st?

7 CHAIRMAN CLAWSON: Let's do it.

8 MEMBER ZIEMER: Okay.

9 MR. KATZ: Okay. Nine a.m. July 1
10 teleconference. And it probably won't last
11 that long unless we have a lot of other
12 issues. Okay.

13 CHAIRMAN CLAWSON: I want to be
14 clear on this. Are we looking at the '64 to
15 '67 data or actually more --

16 MR. STIVER: This is the '64 to '67
17 data for the pilot plant. This is pretty
18 focused.

19 CHAIRMAN CLAWSON: Okay. Good.

20 MR. KATZ: Paul?

21 MEMBER ZIEMER: The question raised

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about what a Stokes furnace was, did somebody
2 answer that?

3 MR. KATZ: Well, I just looked up
4 Stokes and saw that he had invented an air
5 pollution device that works pretty well for
6 furnaces. That was one of the things.

7 MEMBER ZIEMER: The Stokes
8 Corporation came up with a furnace for
9 plutonium and uranium melting. It was a
10 vacuum furnace.

11 MR. STIVER: Induction furnace.

12 MEMBER ZIEMER: Yes. And that was
13 used, I think, for casting and vacuum melting
14 and those kinds of things.

15 MR. STIVER: That's exactly what we
16 --

17 MR. KATZ: So that is really
18 helpful.

19 MR. STIVER: Yes.

20 MR. KATZ: That answers the
21 question, then.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: That's consistent¹³⁰
2 with the job description this morning.

3 MR. KATZ: Yes.

4 MEMBER ZIEMER: Yes, I think it was
5 initially proposed for plutonium work.

6 MS. JESSEN: Just so you know,
7 there is a used vacuum furnace for sale on the
8 internet that uses Stokes roughing pumps.

9 MEMBER ZIEMER: Okay.

10 (Laughter.)

11 (Simultaneous speaking.)

12 CHAIRMAN CLAWSON: Okay. So we
13 will look more into that in detail, then.

14 MR. STIVER: Okay. So, again,
15 we'll kind of keep this in abeyance until we
16 can sort out the data issue.

17 The next aspect of the DWEs was
18 this 1955 Plant 9 issue. And I had sent
19 around a spreadsheet last night that I hope
20 everybody got.

21 Let me see if I can pull this up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here. Okay. I am not used to working with¹³¹
2 the 2010 version of Excel here.

3 MR. ROLFES: John, is this on the
4 K: drive by chance?

5 MR. STIVER: No. This is something
6 I had done years ago when I was working on the
7 DWE problem called "Plant 9: 1955-1306-12A."
8 I sent it to Stu last night.

9 Basically, this is my re-creation
10 of the DWEs, which I did for basically all of
11 the plants that we were tasked to look at
12 based on the raw data that Mark had provided
13 back in 2009. And the important thing to see,
14 we talked about this last week in the
15 technical call, that Mark had indicated that
16 Bob Morris, who was the author of the model,
17 thought that maybe this, which is the
18 secondary welder's helper that had the highest
19 DWE, 686 MAC, but there might have been some
20 transcription errors. It just seemed like too
21 high of a value for it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I went through all of the data¹³²
2 for all of these workers in Plant 9. And it
3 turns out, like I said, the highest DWEs,
4 there are five of them. And the top four
5 ranged from 215, 233, 473, and 685 a pack.

6 So there's quite a few jobs here
7 that all have very high values. And in every
8 case, they tend to be driven by one or two
9 very high breathing zone samples. Now, these
10 are probably obviously transients that are
11 captured, you know.

12 Of course, with the measurements,
13 you wouldn't necessarily expect to sustain a
14 cloud of thorium at 900,000 dpm per cubic
15 meter for any length of time. But it looks to
16 me that the pattern here -- I mean, once
17 again, every one of these tasks that has the
18 real high DWE are the types of tasks you would
19 expect. And for all those four positions, the
20 highest are over 500,000 dpm per cubic meter.

21 So I don't think what we're dealing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with here is a situation where we have¹³³
2 transcription errors. It's just that you've
3 got a very high concentration, a transient
4 concentration, during a particular task.

5 Now, you ask yourself, is it
6 reasonable to think that this worker is going
7 to be breathing this stuff while he is in
8 there? And the answer is no. You go to the
9 HASL reports. For this particular one, it's
10 Stefanec in 1955. And they actually say that
11 for the high-dust operations, the respiratory
12 potential is one.

13 Now, of course, the question is,
14 what is high-dust operations? Is it ten
15 percent? How do they define it? But the
16 problem being is that we're kind of in a
17 unique situation in using air data to provide
18 intakes.

19 Here we have got a situation where
20 we have real exposures. The dirtiest job in
21 the entire Fernald plant is, in 1955, thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 metal production. And these are the real DWEs
134
2 that were gathered there.

3 The wrinkle is that they didn't
4 account for respiratory protection in doing
5 these studies. So you have a few high samples
6 in each one of these that you know the guy is
7 probably wearing a respirator during that
8 time. And if you don't consider that fact,
9 you end up with a value. You take 686 MAC.
10 And then you consider the specific activity of
11 natural thorium is 2.2 times 10 to the minus
12 seven curies per gram, I believe, which is
13 very low specific activity. And that
14 translates to about 100 milligrams per cubic
15 meter for that value.

16 Now, that's just about the
17 physiological tolerance limit that anybody can
18 stand for any length of time. So do you then
19 give everybody 100 milligrams per cubic meter,
20 8 hours a day, for the entire year or does
21 that just seem unreasonable?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, you know, NIOSH became -- ^{the} ~~135~~
2 thing you guys posted was an alternative way
3 of looking at the intake for that particular
4 year. And correct me if I'm wrong. Some of
5 the people on the phone are involved in this.

6 But it looks like what you did is you took
7 all of the air-sampling data for 1955. You
8 fit it to a log-normal and did repeated
9 sampling to generate some theoretical
10 distribution of air concentration data, and
11 then took off the 95th percentile of that,
12 which was, I believe -- well, that was one
13 aspect. It was one way of doing it. You got
14 a value that's about 100 MAC, give or take.

15 The other aspect was to look at --
16 I think it was like 785 actual samples. It
17 wasn't a complete set. And you just did a
18 normal, you know, a log-normal fit to that and
19 did the same. That came out to about 75 or 80
20 MAC.

21 And so it's an alternate way. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of concerns me because here we're¹³⁶
2 throwing out real -- if you go that route,
3 you're throwing out real data because it seems
4 too high.

5 Now, this is real data for real
6 workers and real jobs. And it's got some
7 limitations because it doesn't consider
8 respiratory protection. We don't really worry
9 about that when we're dealing with one or two
10 MAC, but when you start getting up to the
11 physiological tolerance limit, it starts
12 making a bigger difference. Is it plausible
13 that somebody could breathe that much?

14 And so I guess the question, the
15 place I am at right now is that, you know,
16 you've got an alternate approach where you can
17 go through and model an intake based on a
18 bootstrap approach, or you can take the real
19 data and then possibly account for respiratory
20 protections.

21 We did a couple of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 back-of-the-envelope calculations using
2 protection factors of 10 and 100. And, as you
3 expect, you apply it to the highest MAC. It's
4 that one task that's 900,000 dpm per cubic
5 meter for 70 minutes that's driving the train.

6 And you apply a protection factor to that.
7 You can knock it down to about 10 to 70
8 depending on whether you use a protection
9 factor of 10 or 100. I mean, you can do that.

10 You can figure out what is a reasonable value
11 for the respirators that were used at the
12 time.

13 And so you end up with a number
14 that is pretty close to what the bootstrap
15 analysis gave, but in our opinion, it seems to
16 be more reasonable because you're using the
17 real data. You're not throwing it out.

18 And so that is kind of where we are
19 on that particular number. You know, I think
20 I am speaking for the SC&A team. And I think
21 686 MAC is not a reasonable value to give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 somebody on a continuous basis. 138

2 MR. BARTON: And, John, when you
3 talk about converting 686 MAC to the
4 equivalent in milligrams of dust, that is the
5 daily weight of exposure for that entire day.

6 If you actually look at that, just that
7 75-minute activity where he's up around around
8 600,000 dpm.

9 MR. STIVER: Oh, yes.

10 MR. BARTON: I mean, it's like even
11 out of the realm of unreasonable. It's
12 unrealistic.

13 MR. STIVER: Oh, yes. Yes.

14 MR. BARTON: You wouldn't be able
15 to breathe. You would be choking on it.

16 MR. STIVER: And not only that, if
17 you take 686 MAC and put a GSD of 5 on it, you
18 are looking at one and a half grams per cubic
19 meter. You know, he can't even sustain a
20 cloud --

21 MR. BARTON: I think you put it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very succinctly, John. I think our main¹³⁹
2 concern here is not the number that is being
3 proposed. I mean, it is right in the realm of
4 the number if you apply respiratory
5 protection. I think our main concern is how
6 we are getting there and that if we are going
7 to start throwing out these numbers because
8 they are very high, to me, that is a very
9 dangerous precedent to set and could be a
10 Pandora's box. Whereas -- and I know it is
11 policy not to ever really account for
12 respiratory protection because you are not
13 sure if they're wearing it, but I think in
14 cases where it is physically impossible that
15 he wasn't wearing it, then maybe that is a
16 reasonable consideration to take when you are
17 trying to arrive at a reasonable number to
18 apply at a coworker model.

19 Like I said, our problem is not the
20 number you came up with. Our problem is
21 really just sort of the philosophy behind the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 process of -- we have these daily weighted¹⁴⁰
2 exposure reports, which are great tools to try
3 to get an idea of the exposure potential these
4 workers faced. And to kind of deconstruct
5 them and start using the raw data I think sets
6 a rather dangerous precedent.

7 MEMBER SCHOFIELD: I have a
8 question. You're talking about you're going
9 to assume that they're using some type of face
10 mask. Do we know what kind it is?

11 MR. BARTON: No. Airline
12 respirator is what they --

13 MEMBER SCHOFIELD: Just airline
14 respirator?

15 MR. BARTON: -- actually talk about
16 in the daily weighted exposure report. They
17 specifically say these don't take into account
18 the fact that workers wear respirators in
19 high-dust environments. Again, we don't know
20 what that high-dust environment is.

21 MEMBER SCHOFIELD: It's just one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the little cardboard-type ones, one of the 141

2 (Simultaneous speaking.)

3 MEMBER SCHOFIELD: Yes, but I'm
4 simply saying, really, when you start
5 interjecting that, you need to really know
6 what they're using because otherwise there are
7 huge factor differences of personal protection
8 equipment they could have had available to
9 them.

10 MR. STIVER: I think you'd have to
11 do some forensic research. You know, back in
12 1955, for an airline respirator in this type
13 of operation, what was the protection factor,
14 what type of cartridges and so forth if they
15 use cartridges -- they didn't use cartridges
16 then -- but what kind of value would be
17 reasonable?

18 So, you know, the question is, it
19 would have to be implemented in a TIB of some
20 kind, but it would take some work. It's
21 something that, in theory, could be done. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, in practice, you know, first of all,
2 what do you decide a high-dust value is? And
3 what is the appropriate protection factor you
4 would apply to it?

5 CHAIRMAN CLAWSON: So, John, if I
6 am following you right on this -- and, Mark,
7 correct me if I'm wrong on yours. What NIOSH
8 did was took these high doses and it didn't
9 use them and did a log-normal distribution.
10 And what your issue is, is that you don't want
11 to throw these out but to put the respiratory
12 protection limit, use it. Is that what --

13 MR. STIVER: Yes. Both approaches,
14 you get a number that is within the same range
15 given the uncertainties we are dealing with.
16 The difference is our number -- not our
17 number; we don't necessarily own it -- but
18 using the DWE as a starting point, you've got
19 a more solid basis. You've got real
20 measurements that are actually documented. We
21 know that the highest, dirtiest tasks were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 done with respiratory protections. You know¹⁴³
2 they even tell you the type of respirator they
3 used. Without that, it would be physically
4 impossible to do the job, situation where it
5 had to have happened.

6 So we are that point where, really,
7 if you're going to consider the fact that
8 these people were exposed, that this is the
9 dirtiest job you could possibly do, and if you
10 make all the claimant-favorable assumptions
11 you normally would when you are dealing with
12 doses and intakes that are down in the lower
13 range, you wind up with a number that is just
14 implausible to how you could possibly survive
15 that.

16 So our approach is to say, okay,
17 how are we going to take this data that we
18 have and generate a reasonable intake knowing
19 what we know? And applying respiratory
20 factors to the real data we believe is
21 probably preferable to throwing that data out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and generating a theoretical statistical¹⁴⁴
2 construct to replace it with.

3 CHAIRMAN CLAWSON: Mark, did I
4 represent NIOSH's side of it? You guys are
5 not using this data correctly -- or, correct?

6 MR. HINNEFELD: Well, I think why
7 our most recent proposal is called the
8 bootstrap analysis is that we don't have the
9 entirety of the air samples because when you
10 get a DWE report, it will say there were six
11 samples taken, you have the min, max, and
12 average. And so you don't have the entirety
13 of it. And the bootstrap program is intended
14 to -- well, let's assume that they're
15 log-normally distributed. Knowing the min,
16 max, and average, we can build -- we know what
17 a log-normal distribution would look like.
18 And we will populate that, essentially
19 randomly generate numbers in there. That
20 gives us then a complete data set and allows
21 us to use, I think, the 95th percentile of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 complete data set, which was what Strom also
2 kind of endorsed. And so that's the point.

3 Now, SC&A has expressed discomfort
4 with that approach over an actual measured,
5 collected set of data that were taken for the
6 purposes of measuring exposure. I mean,
7 that's what these were. These were exposure
8 studies. And the technique was developed at
9 HASL. I think, actually, Fernald did them
10 themselves because I think these people that
11 ran health and safety early on at Fernald came
12 from HASL.

13 And so their position is you've got
14 all of this good data, you've just got this
15 problematic 1955 year. And there is other
16 evidence that '55 was the worst exposure year
17 for thorium. We've got a memo that I can put
18 on -- I probably should have done it before
19 today -- it was a memo between two people at
20 HASL, one relating to his boss the
21 conversation that he had had with his former

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 colleague who was the medical director ^{at} ~~146~~
2 Fernald. And he said he essentially called
3 him up and said he wanted to deal with the
4 thorium exposures you had last year, last year
5 being 1955. And he describes numbers as high
6 as -- exposures as high as, 50,000 micrograms
7 per cubic meter, with individual samples as
8 high as half a gram per cubic meter.

9 And the description that he
10 pretends that was given to him by the medical
11 director was, "well, they were very high. We
12 got this rush order from DOE. They were
13 trying to make it. Since the numbers were so
14 high, we told them they had to slow down the
15 production rate. And we got the exposures
16 down, the maximum exposures down to 15,000
17 micrograms per cubic meter." And so there is
18 some other kind of information.

19 Now, I think 50,000 micrograms
20 relates to, what, 170-some MAC or something.
21 So, you know, all of these things indicate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that somewhere in that neighborhood of 100, to
2 150 or 100, somewhere close to 100, is
3 probably a decent number for the exposure for
4 that year. You know, the DWE with respiratory
5 protection, you can only count 10, a
6 protection factor of 10, which is pretty low
7 for an airline, but you can only do that.

8 All of these things kind of
9 indicate that there is a number. It seems
10 like there is a number that can be worked out.

11 The DWE is probably a sufficient method for
12 doing this with some modification.

13 So I think on the face of it here,
14 we have some discomfort from SC&A. I would
15 guess maybe the Work Group would share that
16 discomfort with the bootstrap program and
17 would share the preference for the DWE, or the
18 DWE with some consideration, because of that
19 one implausible, that 686 number, which just
20 doesn't seem realistic.

21 CHAIRMAN CLAWSON: Well, in my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at it, because I never want to put
148
2 NIOSH into a situation where -- a lot of times
3 you don't take into consideration the
4 respiratory part of this, and I don't want to
5 put NIOSH into a situation where it creates
6 problems for them in other areas. But, on the
7 other hand, I'd like to be able to say that we
8 used all of the data that we had and we used
9 it.

10 MR. HINNEFELD: Well, if the
11 preference is for actual measured data --

12 CHAIRMAN CLAWSON: Right.

13 MR. HINNEFELD: -- then I would say
14 you share SC&A's discomfort with the bootstrap
15 program, which essentially generates
16 distributions with essentially a random number
17 generator in the distribution. And you
18 generate the results.

19 So then you would share SC&A's
20 discomfort in that and prefer some utilization
21 of measured data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, the letter between the ~~two~~¹⁴⁹
2 HASL employees is a sort of a -- he says
3 50,000 micrograms per cubic meter. It's a
4 throw-away. You know, it's sort of like it
5 was as high as this, and they used a nice,
6 round number. So I don't know that you want
7 to attach a lot of precision to that for that
8 number. But he was giving the ballpark of the
9 kind of thing it would be.

10 So, to me, it sounds like it's
11 something that can be worked out in
12 conversation to arrive at a number. It is
13 going to be really high.

14 And, in fact, the thorium exposures
15 in general, if you go through these DWE
16 numbers, I mean, I don't know that there is a
17 year where the DWE is less than the MAC. Is
18 there?

19 MR. STIVER: There are some. The
20 Plant 1 numbers are down.

21 MR. HINNEFELD: Yes. But when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're going to choose the highest one -- 150

2 MR. STIVER: Yes. Well, the
3 highest one is --

4 MR. HINNEFELD: -- in any given
5 year and then you're going to apply a GSD of
6 5, I mean, these are going to be some high --

7 MR. STIVER: Some high intakes.
8 You know, we understand that, but, like I
9 said, you put it exactly as I would state it,
10 Stu. That is really our concern, that we
11 don't want to start getting away from the
12 actual exposure measurements if we don't have
13 to.

14 I've used the bootstrap technique
15 before to verify or to kind of, you know, do a
16 validation of distributions. You know, if we
17 were to go through and get a good sample, you
18 know, exactly the same thing that Tom LaBone
19 and your guys did, it is a useful tool. I
20 would feel discomfort -- that's a good way to
21 put it -- in replacing the actual data with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that kind of bootstrap -- 151

2 MR. KATZ: Just another alternative
3 way to think about it, is you could, though,
4 flip that around, what you just said.
5 Normally you used a bootstrap to validate
6 whatever. You could turn it around and use
7 that to validate. Since you are saying the
8 numbers come out about the same, you could use
9 that to provide reassurance in terms that the
10 bootstrap's coming out at the right place, as
11 opposed to replacing the bootstraps --

12 MR. STIVER: You could take the
13 inverse. I guess the problem there is you are
14 losing the pedigree of the data by doing that.

15 MR. KATZ: Well, yes.

16 MS. LIN: It's validating.

17 MR. KATZ: It's validating your
18 model, basically, and you're using in its
19 place.

20 MR. STIVER: Yes.

21 MR. KATZ: That is still relying on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data. It is not like it's being pulled out^{of}₁₅₂
2 the blue.

3 MR. STIVER: Yes, but instead of
4 using the actual data, you're using the model,
5 using the data to validate the model, which is
6 kind of backwards.

7 MR. KATZ: So the issue there is
8 just whether there are advantages to using the
9 model over going the approach that you are
10 talking about. I don't know whether there are
11 or aren't, but whether developing your
12 approach is --

13 MR. STIVER: The other aspect of it
14 is if you're using the model, you're using the
15 time weighting aspect, because essentially
16 what they're generating is a whole series of
17 unweighted air concentrations.

18 This is sort of the fallback
19 position in Davis and Strom. If don't have
20 DWEs, the high percentile of the unweighted
21 air concentration distribution would be the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 next step. 153

2 CHAIRMAN CLAWSON: And I guess,
3 from my standpoint, my issue is if we have the
4 data, we should be using the data. But as you
5 have already pointed out to me on some other
6 occasions, you are going to have to use the
7 respiratory or it's --

8 MR. STIVER: You would have to
9 apply some respiratory factor to those high
10 exposures. Otherwise, you would wind up with
11 an air concentration that would not be
12 physiologically --

13 MS. LIN: So there is actually
14 information for NIOSH to develop a protection
15 factor?

16 MR. STIVER: I think that kind of
17 information is available in health physics.

18 MS. LIN: But you would basically
19 be developing a model.

20 MR. STIVER: It wouldn't
21 necessarily be a model. It would just be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at the airline respirators and the
2 protection factors that they normally have.
3 There is a lot of data out there for that kind
4 of thing.

5 MS. LIN: Okay.

6 MR. STIVER: It wouldn't be a
7 theoretical construct.

8 CHAIRMAN CLAWSON: Bob, when you
9 guys used this data and used a protection
10 factor, what did you use, a protection factor
11 of ten?

12 MR. BARTON: We did two runs two
13 runs. And, again, the 686 MAC job had one
14 75-minute task that was really just --
15 basically what we did is we said, all right,
16 what if we take the data that went into the
17 DWE and say for that one specific task, we're
18 going to assume that he had some sort of
19 respiratory protection, and we calculated for
20 a factor of 10 and 100 and we came up with
21 numbers that are in the same ballpark as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bootstrap. And, actually, they were a little¹⁵⁵
2 bit lower.

3 And I'm perfectly fine with that
4 because I honestly feel like making
5 adjustments to daily weighted exposures like
6 that, where it is just physiologically
7 impossible that anybody could inhale that and
8 not completely choke on it, I think that is
9 one instance where it is realistic and okay to
10 apply a protection factor because, I mean, we
11 were just dealing with situations that you
12 have to have it. I mean, you just can't have
13 a worker in that environment breathing that in
14 because she wouldn't be able to breathe.

15 MS. LIN: So these respiratory
16 protection equipment that was used during this
17 time period at Fernald is also used at other
18 sites. Whether a worker was actually choking
19 on the actual environment is --

20 MR. STIVER: Well, it would be used
21 in any kind of high-dust environment --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. LIN: Right. 156

2 MR. STIVER: -- whether it be
3 mining, manufacturing, wherever you are --

4 MS. LIN: Okay.

5 MR. STIVER: -- generating large
6 quantities of dust.

7 MS. LIN: So for any other site, if
8 something like this happens and we have
9 evidence showing that there is actually
10 respiratory protection equipment used, would
11 SC&A be proposing the protection factors if
12 the value isn't high but they just --

13 MR. STIVER: Well, I would say that
14 it is a matter to be considered. I mean, up
15 until now, this has never come up because we
16 have never had real measurements that are that
17 high.

18 MS. LIN: But you were --

19 MR. HINNEFELD: So, if I could
20 offer something, Jenny. As a general rule, we
21 don't provide credit for respiratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protection because if you go back, really, 137
2 even as recently as early in my career, sites
3 would not have quantitative fit-test programs
4 and the kind of training and proving proof of
5 fit that you have today in order to claim the
6 protection factors NIOSH recommends on various
7 kinds of equipment today.

8 And so because those things, you
9 know, those programs just -- you know, not
10 only were they not documented. They probably
11 didn't exist. We have not claimed that.

12 The special circumstance here,
13 though, is that the measured data is
14 essentially not breathable.

15 MS. LIN: Right. So then we still
16 don't have validation and we need it to say
17 that the respiratory equipment actually passes
18 the test of what we were talking about.

19 MR. HINNEFELD: Yes. You are
20 right. There is no --

21 MS. LIN: That would be --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: The only reason¹⁵⁸
2 that this is different from our other position
3 where people didn't have, you know, programs,
4 is that in this case, you just can't breathe
5 that concentration that was measured in this
6 DWE.

7 MS. LIN: Right. But then we're
8 using the measurement to drive the respiratory
9 protection test and whether that will be
10 applicable. So I am not entirely sure that
11 will be --

12 MR. STIVER: I see where you are
13 coming from. You are saying, yes, if you are
14 going to apply it here, you should probably --

15 MS. LIN: Right.

16 MR. STIVER: -- apply it in all of
17 the others as well.

18 MS. LIN: Yes.

19 MR. STIVER: And then you don't
20 have a real --

21 MR. KATZ: You have to have --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: -- valid basis for
2 using those values at that time.

3 MR. KATZ: What you have here that
4 is distinct is the certainty that it was used;
5 whereas, you don't in a lot of other
6 circumstances.

7 MS. LIN: But how effective was it
8 implemented?

9 MR. KATZ: So to get to that
10 question, the one thing I am just wondering
11 about is -- so it's airline, it's
12 air-supplied, basically, respirator. And
13 generally with air-supplied, you have less of
14 a fit factor issue than you do with
15 respirators where you were actually drawing
16 the air through a filter, because the air is
17 being, in effect, blown into your mouth.

18 So you have less of a fit issue
19 with air-supplied respirators. The only thing
20 I am just wondering about is we're talking
21 about 1955, which is a long way back. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 predates NIOSH being involved -- 160

2 (Laughter.)

3 MR. KATZ: For example, NIOSH has
4 done respirator research since the '70s, '71.
5 And there is a lot known about the
6 performance effectiveness of different types
7 of respirators now. I just have no idea what
8 that literature is like when you go back to
9 '55. But the one thing you have, again, in
10 favor is that this is air-supplied. It's not
11 a filter, it's a respirator.

12 So there may be good enough
13 evidence that you could be certain of a
14 certain fit factor. I don't know. I just
15 don't know. That is my question.

16 CHAIRMAN CLAWSON: You know, Jenny
17 hit on exactly what I was trying to get to a
18 little earlier when I was talking about NIOSH,
19 because I hate to -- this is one situation
20 where I am trying to use the actual data, but
21 the actual data drives us to such a high point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that it is physically impossible. 161

2 You know, do we use the bootstrap
3 method and do we use the actual data to verify
4 it using a respiratory factor versus the
5 other? Because we are going to be in other
6 situations in other sites where the
7 possibility is that NIOSH has to -- you know,
8 they have held pretty firm on we can't take
9 credit for this.

10 And this is what was creating
11 somewhat of a conundrum for me, because I
12 didn't want NIOSH to -- Jenny, I'm glad you
13 brought that up. So I guess we've got two
14 ways that we can look at this. We can use the
15 actual data to verify NIOSH's model. Doing
16 that, we have actually used it, but we are
17 still in the situation where we are not using
18 the respiratory protection --

19 MR. STIVER: You have a consistent
20 application of policy.

21 CHAIRMAN CLAWSON: Right. That is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 my issue. And that is what I was trying to
2 bring up to you in a way, Stu, without coming
3 out and questioning it, because we are going
4 to get into situations in other sites and
5 stuff like that. We have through the whole
6 process. And we can't take credit for some of
7 the respiratory issues.

8 I guess this comes down to a
9 judgment call on us of how to proceed forward
10 with this. My question is, between the two,
11 from the bootstrap to the SC&A's approach, how
12 much of a difference are we looking at? I
13 guess, Bob, that --

14 MR. BARTON: I don't have NIOSH's
15 number in front of me, but I believe it is
16 somewhere around 100 MAC or something like
17 that.

18 MR. STIVER: Eighty-five and 130 or
19 something.

20 MR. BARTON: Eighty-five? Yes.

21 MR. HINNEFELD: The bootstrap

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document has two techniques. One is using ¹⁶³
2 95th percentile, unweighted 95th percentile,
3 of the sampling data. And the 95th percentile
4 of that in 1955 was 135 MAC.

5 MR. STIVER: Yes. That's the
6 number that --

7 MR. HINNEFELD: The bootstrap area
8 result, which was done only for 1955 in that
9 paper, the 95th percentile is 81 MAC.

10 MR. BARTON: And the
11 back-of-the-envelope calculation for that one,
12 686 MAC, brought us in a little bit lower than
13 that. To get a protection factor of 10, it
14 was somewhere in the 70s. A protection factor
15 of 100 would bring it down to the teens.

16 And I think this idea is that --
17 it's kind of like we could be setting a bad
18 precedent both ways. In one way, we could
19 open the door to applying respirator
20 protection. In the other way, we open the
21 door to throwing out data because we feel it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is too high. 164

2 I think the one facet that is most
3 important about this particular situation is
4 that we -- the data shows us that there is
5 going to be too much dust to breathe in. I
6 mean, it's not an issue of, well, they weren't
7 wearing the respirator or anything like this.

8 They had to be because otherwise they
9 wouldn't be able to breathe in that
10 environment. And I think that is the
11 important point.

12 So if we are going to talk about
13 policy and how this might apply to other
14 sites, I think that if you encountered
15 situations where, again, we're seeing, you
16 know, 600,000 dpm. And it's just an
17 intolerable dust loading. Then maybe it would
18 be reasonable to take a similar approach and
19 adjust those daily weighted exposures, because
20 I think, honestly, I think it is a more
21 realistic and scientifically defensible way to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconstruct doses because the daily weighted¹⁶⁵
2 exposure reports are individual workers and
3 defining their exposure potential; whereas,
4 you know, the bootstraps were kind of just
5 reconstructing and taking all of the raw
6 measurements and doing some sampling and then
7 note the 95th percentile.

8 And while they come up with
9 reasonably similar numbers in the same
10 ballpark, I am more comfortable with the
11 respirator approach, even though it actually
12 will give you a lower number than the
13 bootstrap did, because I think it has a better
14 base in the actual science.

15 MR. STIVER: And the question, of
16 course, was how is that going to be applied in
17 the --

18 MR. BARTON: It will be a very
19 tricky implementation. I agree.

20 MR. STIVER: Okay. We know the
21 respirators were being used, but given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fact that we still don't feel comfortable that
2 we can really get a handle on what the
3 protection factors might have been, we,
4 nonetheless, need to invoke that with more of
5 a claimant-favorable allowance for potential
6 for higher exposure. So where do you draw the
7 line on it? I guess that's maybe the policy
8 aspect of it.

9 MR. KATZ: Can I just check in with
10 -- I know Paul has to go before noon. Paul,
11 are you still with us?

12 MEMBER ZIEMER: I am still on the
13 line. I am en route to another location.
14 But, anyway, yes, I think NIOSH has to tell us
15 what they would do specifically in this case.

16 Obviously, we use the real numbers, but if
17 the result is implausible, which it would be
18 in this case, then you have to do something
19 about that. So I guess we need sort of a
20 specific proposal. I think the point that was
21 raised by John is a good one. And you need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specify how to handle these kinds of
2 situations.

3 MS. LIN: Except but, to clarify,
4 that bootstrap approach that is used
5 specifically for Fernald in 1955, it is still
6 based on the site-specific information.

7 MR. ROLFES: Yes, correct.

8 MS. LIN: And that comes off
9 bootstrap.

10 MR. ROLFES: Yes. We basically
11 just filled in some missing samples,
12 essentially what we did to re-create a
13 distribution of the air samples if it is still
14 the real data that --

15 MS. LIN: Right.

16 MR. STIVER: But the thing is you
17 have high, low, and average, though.

18 MS. LIN: Yes. So both the
19 bootstrap and the proposal that SC&A is
20 suggesting, those are used in the industry.
21 They're not like just something you pulled out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of thin air. So Strom used the bootstrap as a
2 fall-back, right? And the DWE approach used
3 by you guys is also scientifically valid. And
4 both approaches come out with a sufficient,
5 accurate dose reconstruction value.

6 MR. STIVER: Well, that is a
7 judgment call as to what is sufficiently
8 accurate. The problem I have with the
9 bootstrap is you are taking -- you don't have
10 the real source data. You are inferring what
11 it would have been given the assumption that
12 it's a tight distribution. And so it is one
13 step removed from the actual data that was
14 generated presumably for a worker in a
15 particular job on a particular day.

16 MS. LIN: Right. And validated by
17 the real data.

18 MR. KATZ: So is this something
19 that needs to be settled before the -- I mean,
20 is this a TBD issue ultimately or does this
21 need to be --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. STIVER: It is almost like ¹⁶⁹
2 we're kind of getting into an over-arching
3 issue in some ways. You know, the whole idea
4 of respiratory protection --

5 CHAIRMAN CLAWSON: When we first
6 got into this, Ted, it was looking somewhat as
7 an SEC issue, but the more that I have looked
8 into it, we have been able to be able to put
9 it together. So my personal opinion is this is
10 coming closer to a TBD issue.

11 MR. KATZ: Yes, so my only question
12 is whether if this needs to be an agenda item
13 for the teleconference or, really, this is
14 just something that has more time to be worked
15 out. Does it need more time to be worked out
16 than when we have the teleconference? Because
17 it seems like you have talked it out already
18 as far as it can be talked out here at this
19 point.

20 MR. HINNEFELD: Are we looking for
21 what Paul suggested, that in light of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion today, for us to come back and
2 propose what we believe would be --

3 MR. KATZ: That's a --

4 MR. HINNEFELD: -- a good approach?

5 Okay. It will take us some time because we
6 have several people on the phone listening who
7 are smarter than I am. So we will need to
8 have some conversations on our side about why
9 do we think -- you know, what is our approach
10 and why do we think it is the best approach,
11 having the benefit of the discussion today.

12 And so it will take us a little
13 while to develop. It may take us more than
14 one discussion.

15 MR. KATZ: So we have a July 1
16 teleconference. Do you think that is
17 something that you are likely to get settled
18 before --

19 MR. HINNEFELD: You know, it is
20 hard for me to predict.

21 MR. KATZ: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: We need to get the ~~171~~
2 other item done for July 1st. From this, it
3 is a little hard for me to predict because,
4 frankly, our contractors' availability is
5 different than it used to be because of the
6 money situation.

7 MR. STIVER: Yes. My personal deal
8 on this is that this is not something we
9 necessarily have to resolve before the Board
10 meeting.

11 MR. KATZ: Okay.

12 MR. STIVER: It is an
13 implementation issue.

14 MR. KATZ: Okay. So then at the
15 Board meeting, you can update them on the
16 situation and let them know that this is
17 something that the Work Group will continue
18 on?

19 MR. STIVER: Right.

20 CHAIRMAN CLAWSON: Correct.

21 MR. KATZ: Right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Yes. 172

2 MR. KATZ: Does that sound like a
3 good resolution there?

4 CHAIRMAN CLAWSON: Yes, because I
5 think after we have gotten into this a little
6 bit deeper, I don't see it as an SEC issue
7 because both demonstrated that, yes, we can do
8 it. It's just what is the best process to be
9 able to do it, because I say this in all
10 sincerity, Stu, when I say that I don't want
11 to push NIOSH into a situation that, well, you
12 did it here, so you need to be able to do it
13 here," but we were in a situation. Throw out
14 the data or whatever.

15 So I don't think that we need to be
16 able to do that. They could give us an update
17 if they had something come up at the
18 teleconference, but myself I think this falls
19 more into the TBD issue.

20 MR. KATZ: Okay.

21 MR. HINNEFELD: Just to make sure,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 686 MAC is a little shy of what, 50,000 ~~cpm~~
173
2 per cubic meter?

3 MR. STIVER: It basically
4 translates --

5 MR. HINNEFELD: Seventy? It would
6 be 70 times 686, right? So 70 times 700 is
7 49,000, right, or did I slip a decimal?

8 MR. STIVER: I was looking at it in
9 terms of dose loading, about 100 milligrams
10 per cubic meter.

11 MR. HINNEFELD: So that's about 100
12 milligrams per cubic meter. And how does that
13 fit in to what is tolerable?

14 MR. STIVER: There are a couple of
15 papers we looked at. Actually, when we did
16 Chapman Valve, this guy, Wes Van Pelt, who is
17 an expert in this area, indicated that --
18 well, he actually did a couple of different
19 studies. One was what's respiratory --
20 whether it was respirable in terms of
21 tolerance and also what kind of air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concentration could be sustained just from the ¹⁷⁴
2 physics of cloud formation, of particle
3 respiratory size. And that aspect, I think it
4 was a paper by a fellow by the name of Craig
5 in the '70s, who does tell you that he
6 indicated that the highest concentration that
7 could be sustained was about 500 milligrams
8 per cubic meter. And so we are about a factor
9 of five lower than that.

10 But there are a couple of other
11 studies. I know there is a paper by Stewart
12 that John Mauro found in reviewing some of the
13 work for TBD-6000 that indicated about 100
14 milligrams per cubic meter is about the upper
15 limit of physiologic tolerance. We have a
16 couple of different references converging on
17 that number. It felt pretty solid. That
18 number is probably about where we would be
19 drawing the lines to what you couldn't really
20 expect anybody to be able to tolerate it for
21 any length of time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. HINNEFELD: Okay. And 100,000¹⁷⁵
2 micrograms per cubic meter?

3 MR. STIVER: Depending on the
4 specific activity.

5 MR. HINNEFELD: And then the 686
6 translates into what?

7 MR. STIVER: Six eighty-six
8 translates into, I think it was, 98 milligrams
9 per cubic meter. So roughly --

10 MR. HINNEFELD: Right around the
11 same --

12 MR. STIVER: Roughly around 100.

13 MR. HINNEFELD: Well, what about --
14 just before we break for lunch, something else
15 to think about.

16 MR. STIVER: This is without
17 respiratory protection.

18 MR. HINNEFELD: Yes. What about if
19 we use the DWE value as constant?

20 MR. STIVER: That was the other
21 thing I was thinking was a possibility, would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be --

176

2 MR. HINNEFELD: As opposed to
3 planning a GSD of 5.

4 MR. STIVER: Yes. Well, it could
5 be used as a constant, but then you still have
6 the issue, is it really feasible for somebody
7 to be breathing at that tolerance limit on a
8 daily basis for a period of --

9 MR. HINNEFELD: Yes. They would be
10 doing that all year long --

11 MR. STIVER: Every day and all year
12 long.

13 MR. HINNEFELD: -- the same. Yes.
14 I see.

15 CHAIRMAN CLAWSON: Well, let me
16 see.

17 MS. LIN: One last question,
18 though. Bob?

19 MR. BARTON: Yes.

20 MS. LIN: Okay. So you were
21 talking about respiratory protection factors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that could be applied to the value. So you ~~177~~
2 said it was a factor of 5 and 10 and 100?

3 MR. BARTON: I did 10 and 100 as
4 sort of a sample conduit.

5 MR. STIVER: This was kind of a
6 scoping calculation. Those aren't real values.

7 MS. LIN: Oh, okay.

8 MR. BARTON: It wouldn't actually
9 effect --

10 MS. LIN: But how would you then
11 take a factor?

12 MR. STIVER: There are studies
13 NIOSH has done in recent times --

14 MS. LIN: Okay.

15 MR. STIVER: -- that actually look
16 at concentrations, you know, outside air
17 versus, you know, the inside of a respirator
18 and picking different types of configurations.

19 MR. KATZ: There is lots of
20 research in that area since the '70s, but I
21 just --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: You are trying ^{to} ~~178~~
2 back-extrapolate, saying, what we have now has
3 always been what they were --

4 MR. KATZ: But the technology
5 probably in the early '70s versus the mid-'50s
6 is probably not that different. I don't know.

7 MR. STIVER: Eighteen years is
8 probably not all that --

9 MR. KATZ: For that because that
10 industry doesn't evolve that quickly, I
11 noticed.

12 (Laughter.)

13 MR. STIVER: There have been a lot
14 of redevelopments in respirator technology.

15 CHAIRMAN CLAWSON: Well, but I have
16 to fall back on my personal thing. Jenny,
17 when we go into a certain area, depending on
18 what the DAC is in there, they tell us what
19 type of respiratory that we use. All of our
20 stuff was qualified. And part of what I have
21 heard from these earlier years, they used to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just leave the airline respirators hanging¹⁷⁹
2 the wall. And this is why I am in such an
3 issue of not using it or using it, because the
4 processes that we use now are much different.

5 I mean, I have heard people talking
6 about blowing the dust out of the mask before
7 they can put them back on. And I am sitting
8 there, "holy cow."

9 MR. HINNEFELD: Early in my career,
10 respirators were reused. Absolutely.

11 CHAIRMAN CLAWSON: And reused.

12 MR. HINNEFELD: They were reused
13 early in my career.

14 CHAIRMAN CLAWSON: Yes. And I'll
15 be honest. This is where we're into a
16 situation here. But my number one concern is,
17 number one, that we give the claimant the
18 benefit of the doubt, but then also, if we
19 have the data, that we actually use the data
20 when the data is actually telling us it is
21 almost physically impossible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 collected you are going to start getting^{18F}
2 higher and higher intake if you have an
3 exposure. And then the further away the
4 bioassay sample is collected, the larger the
5 intake is going to be.

6 And then when we make assumptions
7 about the type of plutonium, for example, that
8 a person is exposed to, and basically knowing
9 about the biokinetics of plutonium, if you
10 assume that it is Type S material, these are
11 some of the assumptions that we make in a dose
12 reconstruction that if you would look at the
13 actual air concentration of plutonium that the
14 person had to have been exposed to, you can
15 get some very high numbers in a similar
16 situation. The only difference is what we're
17 talking about is a low specific activity
18 material.

19 So the mass of the material in the
20 air is what sort of sets this apart from other
21 approaches that we use in dose reconstruction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's something that we handle in dose
182
2 reconstructions. And it's almost a
3 routine-type thing. You can get some pretty
4 high air concentrations when you interpret and
5 make claimant-favorable assumptions about a
6 bioassay sample.

7 MR. HINNEFELD: You wouldn't
8 encounter this kind of airborne limit. You
9 know, the air just can't hold that much to
10 where people can't tolerate with a lower
11 specific activity, short of half-life
12 material.

13 MR. STIVER: Mark's point is
14 well-taken. I mean, you make a lot of
15 claimant-favorable assumptions that are
16 probably not realistic. But, yet, it doesn't
17 result in a situation where it's clearly, you
18 know, it's not possible.

19 MR. HINNEFELD: Physically
20 impossible, yes.

21 CHAIRMAN CLAWSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Should we take a break¹⁸³
2 for lunch?

3 CHAIRMAN CLAWSON: Yes.

4 MR. KATZ: And rejoin about 1:00
5 o'clock? It's almost noon right now.

6 CHAIRMAN CLAWSON: Sounds good.

7 MR. KATZ: Okay. Thank you,
8 everyone on the line. And we'll start back up
9 again at 1:00 p.m.

10 (Whereupon, the above-entitled
11 matter went off the record at 11:55 a.m. and
12 resumed at 1:03 p.m.)

13

14

15

16

17

18

19

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1
2
3
4
5
6
7
8

1 agreement with DCAS that it is possible to
2 bound the doses for the intakes of thorium
3 based on the in vivo accounts that are
4 reported in units of nanocuries, actinium-228
5 and lead-212. And there is also the
6 claimant-favorable assumption of triple
7 separation for the thorium, which then results
8 in a disequilibrium factor of about five for
9 lead-212 in relation to thorium-232. We agree
10 that that is a claimant-favorable approach.

11 One thing that was kind of
12 outstanding, though, is that a lot of the
13 results, the positive results, kind of
14 indicate the higher levels of actinium-228
15 than would be expected. And oftentimes or
16 maybe not oftentimes because there are not
17 that many positive results to begin with, but
18 there are several instances where there is a
19 positive actinium measurement and there's a
20 sub-MDA lead-212 measurement.

21 Then the question becomes, okay,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what do you do in a situation where you have a
2 positive actinium and no lead-212? And how do
3 you ever get back to thorium from a situation
4 like this?

5 And I have put together a response
6 in action item 7, where they looked at the
7 data. If I did this wrong, you guys be sure
8 to correct me. It looked at all the different
9 data and used a report 44 technique to
10 characterize the background distribution as a
11 normal distribution centered around zero and
12 then a log-normal fit to the values greater
13 than the MDA, which would then allow you to
14 separate out the sub-MDA data, then reboot the
15 noise and really look at the positive data.
16 And in a situation where there was an offset
17 of a mean from the zero -- they were pretty
18 small offsets, as I recall, like about a tenth
19 of the MDA value in most cases. And there
20 would just be a correction that currently is
21 the bias in the data. There might be bias one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 way or the other because of the -- you know, ¹⁸⁸
2 in theory at least, the background
3 distribution should be centered around zero
4 that's a true noise.

5 And so they went ahead and they
6 adjusted the actinium and lead data and, based
7 on the 95th percentile, I believe, of the
8 background distribution, came up with kind of
9 an average detection limit, about .12
10 nanocuries. And using a kind of a rule of
11 thumb of twice that for the MDA of 1.96, you
12 are looking at about .24 nanocuries for
13 detection limit. And this comports well with
14 the actual measurements that were generated
15 for the in vivo system.

16 So we found that at least it seems
17 to be -- as far as looking at the actual data
18 generated from the system, you should be able
19 to re-create. The detection limit and the
20 background and all seem to be correct using
21 two different approaches, the actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 calibrations. You are using the actual data¹⁸⁹
2 to get back to that.

3 However, there are a few situations
4 where there is a high actinium value. I think
5 the highest is like 18 times the lead value.
6 And, rather than try to use that actinium and
7 assume some level of disequilibrium to get
8 back to thorium, you guys have kind of invoked
9 the possibility of unsupported radium-226 as
10 the cause for these high values.

11 And so I guess we were kind of
12 curious about that because it sort of opens up
13 an awful realm of radium exposure and
14 raffinate exposure for the thorium. Granted,
15 there are very few of these values, but I
16 haven't really looked at the source data in
17 detail. But it seems to me if you have a
18 ratio of 18, that would be indicative of maybe
19 a contaminated sample or a bad sample that
20 maybe really isn't indicative of workplace
21 exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 You know, you also use the triple
2 separation to get a kind of upper bound on
3 what the ratio of actinium to lead would be.
4 I think it was about 1.5 or so. So you sort
5 of use that as a cutoff. And above that would
6 be presumed to be a radium exposure.

7 I know Joyce had had some questions
8 about that. She is probably closer to this
9 than I am. I am kind of giving the
10 broad-brush stroke overview. But, Joyce, are
11 there some particular issues that you would
12 like --

13 DR. LIPSZTEIN: Yes. I say it is
14 okay. Maybe there is a radium source, but
15 there are other scenarios that are also
16 possible. I would say that things like that
17 are complicated. And maybe there are other
18 scenarios that are bounding and that could
19 explain the actinium being higher than the lab
20 activity.

21 We know all NIOSH papers and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 TBD on internal doses assumes that the
2 production years went up to 1979. And the
3 reason for the positive results after '79 was
4 that workers were assigned to some maintenance
5 duty for thorium or repackaging of thorium for
6 shipping, et cetera.

7 What happens is that lead-212 is
8 very sensitive to the number of separations
9 that is assumed. So one bounding approach,
10 the lead-212 result is to assume actually full
11 separation. But that is just a --

12 MR. KATZ: Joyce, we just lost you.

13 DR. LIPSZTEIN: I'm sorry?

14 MR. KATZ: I'm sorry, Joyce. Just
15 a moment ago, we lost you, whatever you were
16 saying. You went quiet there.

17 DR. LIPSZTEIN: I'm saying, did you
18 get up to '79 with the production years of
19 thorium?

20 MR. HINNEFELD: Yes. We did get
21 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. STIVER: We got that. 192

2 DR. LIPSZTEIN: Okay. So after the
3 production years, then we have measurements of
4 lead and actinium. While lead-212 is very
5 sensitive to the number of separations that
6 the source had, immediately after the
7 exposure, after the source is separated while
8 actinium, it's not a fact that by the number
9 of separations because it comes just after
10 thorium-232 and radium, but it is very
11 sensitive to the lag of time between
12 measurement and separations.

13 So one other plausible scenario for
14 actinium-228 results being higher than the
15 lead-212 results is that the time between
16 separation and thorium exposures or thorium
17 measurements is long. So if you have more
18 than a year after the separation, you find
19 that actinium-228 might be higher than
20 lead-212 depending, of course, on the number
21 of separation. Let's assume the three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 separation like NIOSH assumed. 193

2 So I think it's not -- you know, I
3 would not be surprised to have actinium-228
4 measurements higher than lead-212 if the
5 separations stopped in '79 because it will
6 come -- like measurements would be one, two,
7 three years after the separation. So the
8 actinium-228 will rise. And you will end up
9 having high activity of actinium-228 in the
10 lungs.

11 So I think this discussion is not
12 an SEC issue but is a TBD issue, while we have
13 to take into account the value scenarios that
14 actinium-228 would be higher than lead-212 and
15 see which scenario is more bounding to
16 interpret the data.

17 I think it's -- you know, I'm not
18 saying that exposure to additional radium-228
19 is not possible. Of course, it is. But then
20 we would have to go into what source of
21 radium-228, how much. And you can get the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 same results if you just know that ~~the~~¹⁹⁴
2 separations ended in '79, measurements were
3 done after '79. So we would expect actinium
4 to build.

5 MR. HINNEFELD: Okay. This is Stu.

6 So, Joyce, what you are proposing, then, is,
7 rather than just make the blanket statement
8 that if the actinium-228 is more than 1.5
9 times the lead-212, then we consider this
10 radium intake. That is what we are proposing.

11 What you are saying is, as an alternative,
12 look at the date of the measurement compared
13 to 1979, which would have been the last
14 separation.

15 DR. LIPSZTEIN: Yes.

16 MR. HINNEFELD: And then, based on
17 that, perhaps draw some -- you know, see what
18 your expected actinium ratio would be. Okay.

19 I can --

20 DR. LIPSZTEIN: Exactly.

21 MR. HINNEFELD: I think I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like to see sort of something in writing, to
2 complete it because I am struggling with how
3 we are not bounding by doing what we propose
4 and it --

5 DR. LIPSZTEIN: No, no. You aren't
6 bounding what you propose when you have
7 lead-212, but then sometimes you don't have
8 the lead-212 results. You just have actinium.

9 MR. HINNEFELD: Right.

10 DR. LIPSZTEIN: So if you consider
11 the time after separation, you can use the
12 actinium results also. So you have more data.

13 MR. HINNEFELD: Okay. So you would
14 say use the actinium monitoring result to
15 determine your thorium-232?

16 DR. LIPSZTEIN: Yes, yes, yes.

17 MR. HINNEFELD: Okay.

18 DR. LIPSZTEIN: Knowing that the
19 separation ended in '79 and before '79.

20 MR. ROLFES: So are you proposing,
21 then, instead of using like the MDA value for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lead-212 like we would sign the waste intake
2 based upon the minimum detectable amount of
3 lead-212, we should use the actinium-228 or
4 whichever is more favorable? Is that --

5 DR. LIPSZTEIN: Yes, yes, whichever
6 has positive results.

7 MR. HINNEFELD: So you feel like
8 it's more desirable to use a detectable
9 actinium-228 result with an estimate of the
10 time since separation --

11 DR. LIPSZTEIN: Yes.

12 MR. HINNEFELD: -- to predict --

13 DR. LIPSZTEIN: Like, for example,
14 with my monitoring work in Brazil, we in
15 general use actinium-228 because lead-212 has
16 a problem with what rate. So sometimes
17 lead-212 is high because of radium.

18 MR. HINNEFELD: Yes.

19 DR. LIPSZTEIN: But we don't have
20 the same with actinium. The problem with
21 actinium is that it is very sensitive to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time after separation. But once you know when ¹⁹⁷
2 separation ended, then the actinium result is
3 okay. And you have a lot of positive actinium
4 results. So you have more data that are
5 useable that you have positive results.

6 MR. HINNEFELD: Okay. I am going
7 to ask the ORAU people on the phone if they
8 see any particular issue with that approach.

9 MS. JESSEN: Tom, do you want to
10 answer that?

11 MR. LaBONE: This is Tom. The one
12 statement I would make is that in a universe
13 where you can have triple separated thorium,
14 you have to have radium-228 by itself. And so
15 I understand. I don't know all the ins and
16 outs about how this material is handled, but
17 if you have these separations going on,
18 somewhere in that facility, there has got to
19 be radium-228 by itself because it has a long
20 enough half-life.

21 The practical problem I see with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what Joyce is saying is that I don't know ^{if}₁₉₈
2 separation ends in '78 or '79, and I have a
3 chest count in '81. Do I assume there have
4 been two years of in-growth from the
5 thorium-232? Is that what you --

6 DR. LIPSZTEIN: Yes, Tom, because
7 after a certain time, it is very sensitive
8 until the first of the year. And then the
9 actinium-228 becomes almost stable after this
10 bypass, for example. So you can rely on the
11 actinium-228 measurements. And then, you
12 know, you don't have to make any hypothesis
13 about some radium that you don't know how much
14 radium it is. And then the actinium doesn't
15 have -- you know, because you have to first
16 see where actinium is very sensitive to the
17 time after separation.

18 Then after one year, it becomes a
19 little bit more stable. And what you see is
20 really with the workers that have a lot of
21 actinium-positive results. If you plot the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actinium with the years, it is relatively¹⁹⁹
2 constant. So you will have positive results,
3 and you will know how to interpret it. And
4 you don't have to go into how much radium was
5 there and how much was formed and how much the
6 person was really exposed when he was in the
7 packaging or when he was doing some
8 maintenance duty.

9 MR. LaBONE: Okay. I think it
10 would be good to look at what you are
11 proposing and then see how that compares with
12 this default separated thorium. I think a lot
13 of it comes down to and how was this material
14 being handled during the time frame of the
15 late '70s and up to the '80s.

16 DR. LIPSZTEIN: Yes.

17 MR. LaBONE: You know, was there a
18 possibility of free radium-228 and things like
19 that? We can compare it and see which one
20 looks more appropriate.

21 DR. LIPSZTEIN: Okay. Sounds good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: This is Stu. Is
2 that something we can do from this
3 conversation or do we need some sort of
4 product from Joyce or SC&A to proceed or do we
5 know enough from this conversation to go ahead
6 and do that comparison?

7 MR. LaBONE: Probably the fastest
8 way is for me to go ahead and do it and then
9 send it to Joyce and say, "Hey, is this what
10 you're talking about?" because I think I know
11 what she is talking about. And I can just go
12 ahead and work it up.

13 MR. HINNEFELD: Excellent.
14 Excellent.

15 MR. KATZ: Thank you, Joyce.

16 DR. LIPSZTEIN: Okay.

17 MR. STIVER: Thanks, Joyce.

18 CHAIRMAN CLAWSON: But I want to
19 make sure that all of us understand that this
20 is basically coming down to a TBD and --

21 MR. STIVER: Yes. It's a TBD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: -- it's not, you
2 know, both sides being able to prove we can
3 bound this. So this stuff that we don't need
4 before the --

5 MR. STIVER: Right.

6 CHAIRMAN CLAWSON: Okay. Well,
7 that was --

8 MR. KATZ: Yes, wonderful.

9 MR. STIVER: That was really all we
10 had on the table, were those three big issues.

11 MR. HINNEFELD: Nineteen
12 fifty-three.

13 MR. STIVER: Yes.

14 MR. HINNEFELD: Nineteen
15 fifty-three, thorium.

16 MR. STIVER: That's right. Yes.
17 Thanks for reminding me. For the DWE model,
18 there was a placeholder for '53. And I would
19 note that it was to be determined sometime in
20 June.

21 MR. HINNEFELD: Yes. Our thorium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 timeline starts in 1954 because that's when ²⁰²
2 the bulk of the thorium work happened, but we
3 did have some thorium air samples from 1953.
4 And so you guys said, "Well, what do you do in
5 about '53?"

6 So in going back and looking at the
7 origin of those 1953 air samples, the ones
8 that I have seen are from what was called the
9 Experimental Machine Shop. And they were
10 machining thorium, which had been -- thorium
11 out of the lead had been made elsewhere. I
12 think it was Simonds Saw and Steel, but one of
13 the AWEs.

14 And so they received this thorium
15 metal. And they were in the "Experimental
16 Machine Shop" apparently figuring out how to
17 machine this stuff that they were going to
18 have to machine, kind of a pilot plant-type
19 activity.

20 In fact, the Experimental Machine
21 Shop was just kind of right there by the pilot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plant. That's where it existed, is building²⁰³
2 3045. I knew it was building 3045. I didn't
3 know it was Experimental Machine Shop when I
4 was there.

5 So it appears that we do have some
6 air-sampling data. It looks like since it was
7 an Experimental Machine Shop, you know, they
8 would do thorium sometimes and they would do
9 uranium sometimes. And so there is going to
10 be a limited amount of probably work that was
11 done. And we do have some air-sampling data
12 that we have compiled. We don't have a
13 compilation that shows things like duration
14 that would give you the amount of information
15 you need to build a DWE kind of information.

16 But since we have to do something
17 about '64 through '67 anyway, right, we have
18 to do something about that anyway, I think the
19 same kind of information about getting the
20 total amount of air data we have down in some
21 sort of spreadsheet or something where you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 see all of the data we have with those data²⁰⁴
2 points and coming up with a proposal for this
3 is what we think might bound that work, again,
4 this looks like it is probably sort of
5 intermittent, like they would machine with
6 thorium and for a while and then --

7 MR. STIVER: They're not involved
8 in a production operation here. It's just a
9 matter of you've got some air concentrations
10 during machining activities. And so if we
11 could go ahead and compile that data along
12 with the '64 to '67 and we can all look at it
13 at one time, it would --

14 MR. HINNEFELD: Yes. I think that
15 is what we will have to do. We started a
16 compilation. I want to make sure we get that.
17 I think I would like a little more expanse
18 because the compilation we have doesn't
19 include like all of the information you would
20 see on an air-sampling data sheet, which to me
21 sometimes that is really informative.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Right. 205

2 MR. HINNEFELD: It tells you how
3 long an operation ran and --

4 MR. STIVER: It sounds like you've
5 got some SRDBs to go along with that.

6 MR. HINNEFELD: Yes. We found some
7 SRDB air sample data, air sample data sheets
8 from '53, in building 3045 while they were
9 machining thorium and we found some while they
10 were machining uranium as well.

11 MR. STIVER: We'll just roll that
12 in as one task, I guess, go ahead and look at
13 all of the thorium put together. So I guess
14 at this point.

15 MR. BARTON: Actually, John, I have
16 one more. And it kind of relates to both the
17 DWE and this --

18 MR. STIVER: Okay.

19 MR. BARTON: -- in vivo thorium.
20 And it kind of has to do with the
21 implementation of the model. Basically what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have said is, you know, aside from ~~the~~²⁰⁶
2 triple separation, such that, you know, if you
3 are a thorium worker or it is suspected that
4 you could have been handling thorium in the in
5 vivo period, that you would be assigned in the
6 95th percentile. And obviously with a DWE, if
7 you are suspected of handling thorium, then
8 you are going to get the highest DWE value
9 with maybe some different ones in there for
10 the pilot plant in the late '60s and whatnot.

11 I guess what I would feel to be
12 beneficial to both of these is if we give a
13 little bit more specific information as to who
14 these are being applied to. Based on the
15 write-ups for this meeting, it kind of appears
16 that they will leave it up to the dose
17 reconstructor.

18 And if the dose reconstructor feels
19 they could have handled thorium and will be
20 assigned the 95th percentile or, you know, the
21 maximum MAC value -- I will use Simonds as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sort of a precedent example. In that case, ²⁰⁷
2 the coworker model was actually delineated.
3 And we're still kind of fleshing this out,
4 but, I mean, basically where DCAS and SC&A
5 agreed was that if you were a plant worker at
6 Simonds, then you are going to get the 95th
7 percentile where if you were just an office
8 worker and you really had very limited
9 exposure potential, then you would get the 50.

10 And it is my personal opinion that
11 I think both coworker models in this case for
12 thorium would benefit from that type of
13 classification.

14 And I know we can't micromanage
15 everything. We can't say every single job
16 type will be fit into whichever bin, but I
17 think some guidance should be put there if,
18 for nothing else, transparency in what the
19 policy of how you are going to assign sort of
20 these different -- in the case of the in vivo
21 thorium, in different strata, you know, 95th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for some; 50th for others, but some guidance²⁰⁸
2 as to how that is going to work, whether that
3 is the operators or the plant-wide workers or
4 just some sort of further specific guidance as
5 to how we're going to implement these models,
6 which we basically mostly in principle agreed
7 upon, but it's really kind of ambiguous as to
8 how you are going to assign it and to who.

9 MR. HINNEFELD: And so this would
10 then be Site Profile kinds of questions.

11 MR. BARTON: Yes, absolutely.

12 MR. HINNEFELD: Okay. For a little
13 more specificity about how we'll apply --
14 okay.

15 MR. BARTON: At some point you need
16 some professional judgment by the dose
17 reconstructor as to what to assign who, but, I
18 mean, as of now, the entire decision is kind
19 of left up in the air without any specific
20 guidance. And whether that's -- like I said,
21 you know, all plant workers are going to be in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one bin and office workers in another or some
2 other strata.

3 MR. HINNEFELD: Until we have the
4 two models, the DWE, for lack of a better
5 term, the thorium air data model, those years
6 up through '67, and then from --

7 MR. BARTON: '79.

8 MR. HINNEFELD: -- '79 through '88,
9 roughly, '89 for --

10 MR. BARTON: '89. '89 uses the '88
11 data.

12 MR. HINNEFELD: Okay. For that
13 period, then, the in vivo model.

14 MR. BARTON: Right, right.

15 MR. HINNEFELD: So we've got the
16 two models.

17 MR. BARTON: Right, two models.

18 MR. HINNEFELD: What specific
19 direction in terms of full distribution, 95th
20 percentiles, you know, who gets what?

21 MR. BARTON: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Okay. So that²¹⁰
2 would be a task for us for Site Profile. We
3 haven't worked that out anyway to give you
4 those reconstructions. So it's a task we've
5 got to do anyway.

6 MR. STIVER: Yes. It seems to me
7 that some kind of a guidance as to these jobs
8 would fall into this bin for the in vivo, 95th
9 percentile and dose reconstruction, we would
10 have to do the due diligence to determine what
11 this person was doing and if they were that
12 particular job, to have to go into that depth,
13 but to have them make all the decisions as to
14 whether they feel that this guy was exposed to
15 -- in a certain level, I think is a little too
16 much to put on the dose reconstruction. You
17 might wind up with some big inconsistencies.

18 MR. HINNEFELD: Yes. Well, we
19 generally try to provide a lot more
20 instruction to the dose reconstructors so that
21 we get -- you know, we want to do these things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consistently. And then there's a dose
2 reconstructor. There's a peer reviewer and
3 then an HQ reviewer on our side. There are a
4 couple of layers of review. But the key is to
5 get some guidance out there that can be
6 interpreted consistently by various people --

7 MR. STIVER: Right.

8 MR. HINNEFELD: -- because that is
9 what you are talking about.

10 MR. STIVER: The DWE model the way
11 I understand it, they are buying off on
12 basically a one-size-fits-all model. You get
13 the number.

14 MR. HINNEFELD: Yes. You get the
15 DWE --

16 MR. STIVER: Everybody in the --

17 MR. HINNEFELD: Everyone who is
18 potentially exposed. I mean, there could be
19 some -- yes. I don't know whether it was --
20 there could be administrative people where
21 you've got strong evidence to believe they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were never out in the process area. They ~~may~~²¹²
2 get some sort of environmental thing, but if
3 you're going to be --

4 MR. STIVER: Right.

5 MR. HINNEFELD: -- someone who is
6 potentially exposed, you get that thorium
7 model.

8 MR. STIVER: Right. Yes. So that
9 type of guidance is --

10 MR. BARTON: Yes. Some discussion
11 along those lines to kind of buttress up these
12 coworker models I think would be beneficial,
13 but like it is a --

14 CHAIRMAN CLAWSON: Well, and also,
15 Stu, especially being -- maybe this is my
16 standpoint being on the Dose Reconstruction
17 Work Group. We see these sometimes
18 inadequacies. So we're just kind of figuring
19 if we culled this out at the beginning so we
20 had a better idea of how it was going to be
21 out. We wouldn't be seeing these up here in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 any kind of dose reconstruction issues. 213

2 MR. HINNEFELD: Yes, sure.

3 MR. STIVER: Okay. So we are
4 looking at a teleconference on the 1st.

5 MR. HINNEFELD: Right.

6 MR. STIVER: And for that, if DCAS
7 could provide us with the spreadsheet data for
8 the DWE years for which there is still some
9 uncertainty?

10 MR. HINNEFELD: Right.

11 MR. STIVER: I guess we can
12 postpone the Plant 9, 1955. We don't have to
13 resolve that before the meeting. So I think
14 we should be in pretty good shape for that.
15 Tom LaBone is going to provide us with a
16 discussion, kind of a comparison about the
17 radium versus Joyce's approach for the in
18 vivo. And so I think overall we are in pretty
19 good shape, then, going into the next meeting.

20 MR. HINNEFELD: Now, are you going
21 to do something about the start year for --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. We are going^{to}₁₄

2 take a look at the --

3 MR. HINNEFELD: -- sometime?

4 MR. STIVER: Yes, the bookend years
5 on the early side, '53 to '54 --

6 MR. HINNEFELD: Okay.

7 MR. STIVER: -- to get an idea of
8 where there really is exposure potential.

9 MR. HINNEFELD: Okay.

10 MR. KATZ: So we'll catch up
11 everyone on that at the Work Group
12 teleconference.

13 MR. STIVER: Right, right.

14 CHAIRMAN CLAWSON: So we are only
15 addressing one issue that is an SEC issue.
16 And that is, what, '63 to '67 time period or
17 '64?

18 MR. STIVER: That is still up in
19 the air regarding what they came up with as
20 far as DWE data. Then we have the other SEC
21 definition for the period of time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Okay. 215

2 MR. STIVER: So we're going to be
3 at the low end on that.

4 CHAIRMAN CLAWSON: And we're in
5 agreement that the other ones are TBD issues
6 and can be addressed --

7 MR. STIVER: Correct.

8 CHAIRMAN CLAWSON: -- at this time.

9 MS. LIN: I think it might be
10 helpful to have like some or maybe even just
11 one slide showing exactly what the Work Group
12 is recommending to the Board, not just the SEC
13 Class but what dose and what year could be
14 constructed and that --

15 MR. STIVER: For what aspect are
16 you talking about now?

17 MS. LIN: So your confirmation is
18 not just focusing on the SEC --

19 MR. STIVER: Well, there are still
20 outstanding TBD issues.

21 MS. LIN: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Actually, there are ~~216~~
2 quite a few of them.

3 MS. LIN: But I think it would be
4 really good to list out the years and the
5 radionuclide that happened to determine. It
6 could be found what are some of the remaining
7 --

8 MR. STIVER: Okay. Yes. Sure.

9 MR. HINNEFELD: The most recent
10 discussions.

11 MR. STIVER: Yes, the most recent
12 discussions. Yes. I have some slides that I
13 can modify pretty quickly.

14 MR. KATZ: Yes. Just so that the
15 Board is up to date on what SEC issues are
16 closed out as no longer --

17 MR. STIVER: Okay.

18 MR. KATZ: -- being SEC issues as
19 well as --

20 MR. STIVER: We follow onto the
21 last presentation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right. 217

2 MR. STIVER: I did give an update.

3 Okay.

4 MR. KATZ: That would be great.

5 Right.

6 MR. HINNEFELD: Hang on a minute.

7 I'm trying to take notes here. Our

8 spreadsheets on the air data, '64 to '67 and

9 '53 and what we conclude from that as an

10 approach for that.

11 MR. STIVER: Okay.

12 MR. HINNEFELD: A comparison of the

13 in vivo unsupported thorium that we propose

14 versus what Joyce proposed. We owe you one

15 other thing, don't we?

16 MR. STIVER: Yes. What else have

17 we got?

18 MR. HINNEFELD: They're not.

19 MR. KATZ: I think there is one

20 other.

21 MR. ROLFES: We were talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether -- well, if we wanted to apply²¹⁸
2 protection factor for air --

3 MR. STIVER: Oh, yes. The
4 protection factor, yes.

5 MR. HINNEFELD: Well, okay. Yes.

6 MR. ROLFES: Yes. There was an
7 approach, the bootstrap versus protection
8 factor.

9 MR. KATZ: Right, right. That was
10 the third item.

11 MR. HINNEFELD: And we were going
12 to give some specificity about how the models
13 would be applied.

14 MR. STIVER: Yes.

15 MR. HINNEFELD: That's what I was
16 thinking.

17 MR. ROLFES: Yes.

18 MR. KATZ: Oh, implementation,
19 right. So, then, for the Fernald session, I
20 think it's a question, not an assertion, but I
21 think we are probably okay if John presents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the update for all the Work Group's done. ^{We} 219
2 don't really need a NIOSH presentation per se,
3 just preparation --

4 MR. HINNEFELD: I endorse that
5 wholeheartedly.

6 MR. KATZ: Yes.

7 (Laughter.)

8 MR. KATZ: I just wanted to make
9 sure that --

10 MR. STIVER: I could do it together
11 as a team, Stu.

12 MR. HINNEFELD: No. I suffer from
13 overexposure to these meetings already.

14 MR. STIVER: I know. I told --

15 MR. HINNEFELD: If you can --

16 MR. STIVER: The last time your
17 voice was gone about halfway through.

18 MR. HINNEFELD: Okay. Don't make
19 me. I really badly planned one of them. I
20 had like three presentations at one of them.
21 And I'm the boss. I shouldn't have to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that.

220

2 (Laughter.)

3 MR. KATZ: Brad, do you want to do
4 an introduction or just --

5 CHAIRMAN CLAWSON: Well, actually,
6 what I was going to do was let John give a
7 brief overview on that. And then I was going
8 to just give a short presentation as to what
9 the Work Group is presenting to the Board.

10 MR. KATZ: So I'll have John first.
11 And then you will --

12 CHAIRMAN CLAWSON: Right.

13 MR. KATZ: -- be the clean-up
14 batter.

15 CHAIRMAN CLAWSON: Right. So it
16 will give them a background of where we are at
17 and this is what the Work Group is bringing
18 before them.

19 MR. KATZ: Great. So it sounds
20 good.

21 MR. STIVER: That's good. Set the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stage. How much time do we have allocated for
2 Fernald?

3 MR. KATZ: Quite a bit. I think I
4 allocated an hour and a half.

5 MR. STIVER: We don't need quite
6 that much.

7 MR. KATZ: You may not need all of
8 that.

9 MR. STIVER: Yes. We can rejigger
10 things.

11 MR. KATZ: But I know I did. So
12 put it on the side of --

13 CHAIRMAN CLAWSON: But as far as
14 this goes -- and I took a little of it for
15 presumption from Paul when he said that he was
16 endorsing on this construction.

17 MR. KATZ: Yes.

18 CHAIRMAN CLAWSON: Except for the
19 earlier years, that I was going to have a vote
20 with him gone. But he had already said he had
21 supported that, that I was going to present it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the Board that this recommendation -- 222

2 MR. KATZ: It is, right. No.

3 That's why I asked Bill to speak on the

4 record.

5 CHAIRMAN CLAWSON: Right, yes. And

6 I just wanted to clarify that. And that's why

7 I pushed Paul a little bit on that, was so

8 that this can be -- because I knew he was

9 going to be gone.

10 MR. KATZ: Right.

11 CHAIRMAN CLAWSON: So this will be

12 a recommendation, the Board and --

13 MR. KATZ: Okay. The other thing,

14 just to give some thought to John and Stu, is

15 what sort of background materials would be

16 useful for the Board in hearing these

17 presentations for those Board Members that

18 like to know a little deeper than what gets

19 presented.

20 MR. HINNEFELD: So background

21 information we would provide on the O: drive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for them? 223

2 MR. KATZ: Yes, yes, meaning just
3 White Papers, whatever, but I know there is
4 way too much on Fernald in general. So just
5 trying to limit it to a few that would inform
6 them a little more on what they're going to
7 hear.

8 MR. HINNEFELD: Yes. We've got
9 plenty for Fernald. It was recently. I think
10 Mark is still compliant on that.

11 MR. KATZ: I heard a little bit
12 about that.

13 CHAIRMAN CLAWSON: Well, and we
14 have tried for the last year and a half, if
15 I'm not mistaken, Mark, to make sure that
16 Board Members are up to date on the papers
17 that we have processed and what they have got
18 in there. So they should have most of them.
19 It's just --

20 MR. KATZ: Yes. I mean, a lot of
21 these messages just go to the Work Group on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Fernald, these White Papers. 224

2 CHAIRMAN CLAWSON: Right.

3 MR. KATZ: They generally just go.

4 And I will make those available, but if there
5 are some in particular that are useful, that's
6 what I want to know so I can point the Board
7 Members to them.

8 MR. STIVER: Yes. I have a listing
9 that I put out there in 2010. So I'll update
10 that. It's kind of an overview.

11 MR. KATZ: Yes. That is going to
12 get too extensive because that -- I don't want
13 to throw 40 documents --

14 MR. STIVER: No. I mean, sort of
15 like a guide, "These are the issues. These
16 are the pertinent documents that relate to
17 it."

18 MR. KATZ: Okay.

19 CHAIRMAN CLAWSON: But I would like
20 to separate off the previous and what we have
21 addressed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. STIVER: Yes. We're trying^{to}₂₂₅
2 keep the focus directed on the most recent
3 developments. I mean, otherwise we run the
4 risk of --

5 MR. KATZ: Right.

6 MR. STIVER: You have seen in the
7 past.

8 MR. HINNEFELD: Do you guys write
9 to the O: drive? Do you save things on the O:
10 drive?

11 MR. BARTON: Yes.

12 MR. STIVER: Oh, yes.

13 MR. HINNEFELD: Okay. So I think
14 we could compile a folder on the O: drive for
15 Fernald, just say "July 2013 Board meeting."
16 And so it is easy for them to find.

17 MR. STIVER: Right.

18 MR. HINNEFELD: And they'll have to
19 look in that --

20 MR. KATZ: The only issue is I need
21 to send documents to folks because they don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all access the O: drive. So I need ^{the}₂₂₆
2 PA-cleared versions of documents. So keep
3 that in mind, but --

4 CHAIRMAN CLAWSON: And I also want
5 to make sure that -- because Fernald -- let's
6 be honest. There are a lot of papers out
7 there. And this has been a long process to
8 get to where we are at now. And I just wanted
9 to make sure I didn't overwhelm especially
10 some of the new Board Members with -- they
11 have already had access to previous ones. I
12 want to focus on why we're at where we're at.

13 MR. KATZ: Right. Good.

14 CHAIRMAN CLAWSON: Okay.

15 MR. KATZ: So it sounds like we're
16 --

17 CHAIRMAN CLAWSON: It sounds like
18 we can adjourn, without any other questions.
19 There are no more questions out there.

20 MR. KATZ: So thank you, everybody.

21 This was very productive.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15

CHAIRMAN CLAWSON: Okay. 227

MR. KATZ: Have a good rest of your day.

(Whereupon, the foregoing matter was concluded at 1:41 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701