

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON PROCEDURES REVIEW

+ + + + +

MONDAY  
MAY 16, 2016

+ + + + +

The Subcommittee convened telephonically, at  
11:00 a.m., Wanda I. Munn, Chair, presiding.

PRESENT:

WANDA I. MUNN, Chair  
JOSIE BEACH, Member  
PAUL L. ZIEMER, Member

## ALSO PRESENT:

TED KATZ, Designated Federal Official  
BOB BARTON, SC&A  
HANS BEHLING, SC&A  
KATHY BEHLING, SC&A  
LIZ BRACKETT, ORAU Team  
RON BUCHANAN, SC&A  
DOUG FARVER, SC&A  
ROSE GOGLIOTTI, SC&A  
STU HINNEFELD, DCAS  
JENNY LIN, HHS  
JOYCE LIPSZTEIN, SC&A  
LORI MARION-MOSS, DCAS  
JOHN MAURO, SC&A  
DAN MCKEEL  
JIM NETON, DCAS  
MUTTY SHARFI, ORAU Team  
MATT SMITH, ORAU Team  
ELYSE THOMAS, ORAU Team

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## Contents

WELCOME AND ROLL CALL .....	4
REVIEW BRS STATUS .....	9
Y-12 ACTIVE ISSUES .....	13
OTIB-0013 - ASSIGN OTIB-0044 .....	13
OTIB-0029 ASSIGN TBD .....	16
OTIB-0026 .....	26
OTIB-0032 .....	34
OTIB-0014 .....	39
OTIB-0039 .....	54
OTIB-0050 .....	70
OTIB-0060 .....	77
PROC-0042 - OTIB-0064 STATUS .....	95
RPRT-0044 .....	107
PER 003 .....	118
PER 005 .....	120
PER 008 .....	123
PER 011 .....	126
PER 0057 .....	140
PER 029 .....	163
PER 0031 .....	181
PER 0042 .....	181
PER 0045 .....	183
PER 0047 .....	188
PER 0053 .....	197
PER-0055 .....	202
ADMINISTRATIVE DETAIL - ROUTINE NOTE OF ABEYANCE ITEMS READY FOR CLOSING .....	215
ADMINISTRATIVE DETAIL -STATUS OF CASE SELECTION RECOMMENDATIONS .....	215
NEED FOR NEW TASKING - PROC-0006 .....	232
NEXT MEETING .....	232

### NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (11:00 a.m.)

3 **WELCOME AND ROLL CALL**

4 MR. KATZ: Let me begin with first  
5 matter of the agenda for the Board meeting for this  
6 teleconference. Subcommittee conferences is on  
7 the NIOSH website under the Board section,  
8 scheduled meetings, today's date.

9 If someone wants to follow along with  
10 the agenda, they can follow along with it there.  
11 I don't know if we'll --- we may have some  
12 deviations in the agenda. We always do. But  
13 that's a basic plan.

14 Next thing, about roll call, so we have  
15 all three of our Board Members, which means we have  
16 a quorum, which is great. And let me just note,  
17 take care of it for everybody, myself. Wait,  
18 Josie, we have you on the line, right.

19 MEMBER BEACH: Yes, you do.

20 MR. KATZ: Yes. Okay. So conflict of  
21 interest, if there are, and I don't know that there  
22 are any, but if there were any Hanford items today,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for those, Wanda and Josie, would recuse  
2 themselves.

3 And if there were any X-10 or LANL in  
4 the late years' items, Dr. Ziemer, Paul would  
5 recuse himself from those. I don't believe there  
6 are, but just in case. So let's be aware of those.  
7 And let's do roll call for everyone else. So let's  
8 go to the NIOSH/ORAU team.

9 (Roll Call)

10 MR. KATZ: And, Wanda, it's your  
11 agenda.

12 (Off the record comments about  
13 telephonic interference)

14 CHAIR MUNN: Let me assure you, that  
15 wasn't Wanda.

16 MR. KATZ: No, no. I know. I don't  
17 know. It came of first when Joyce --- but I don't  
18 know that it was Joyce's phone or just coincidence.

19 CHAIR MUNN: Well, we'll just have to  
20 say for the moment, Joyce, she's going to have to  
21 bite the bullet. We think it might be that phone.  
22 But for the time being, we're good to go.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And our first item on our agenda, I  
2           assume everyone has the agenda. If not, please say  
3           so. Because I do intend to follow it unless we have  
4           requests to change, which I have had none, and have  
5           had no additions since this agenda was put together  
6           for our February meeting.

7           So although some things have changed  
8           since them, and I trust that everybody has received  
9           the note from Kathy Behling indicating the items  
10          that were specifically placed on the O: drive for  
11          us to --

12                   MEMBER BEACH:       Wanda, sorry for  
13          cutting in, but you're fading a little bit.

14                   CHAIR MUNN:    I hope it's not my phone.  
15          I hope it's just me.

16                   MR. KATZ:    Yes. I think it's just you,  
17          Wanda.

18                   CHAIR MUNN:    Well, that seems to be the  
19          story of my life. She's fading fast. But we'll  
20          try to do better. I'm assuming that we all  
21          received ---

22                   MEMBER ZIEMER:   Wanda, could I also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interrupt just very briefly?

2 CHAIR MUNN: Yes ---

3 MEMBER ZIEMER: In terms of the  
4 documents that were put online, I just want you to  
5 know that I currently don't have access to the NIOSH  
6 website.

7 I've been working with ITSO for the past  
8 week trying to get this laptop back up. There's  
9 some problem with the Citrix entry gateway that is  
10 being updated or has been updated. And I've not  
11 been able to get it updated on my computer. So I  
12 can't get into the website on my NIOSH laptop. So  
13 I don't have access to those documents.

14 CHAIR MUNN: Thank you for letting us  
15 know. I'm know that Kathy stays on top of this  
16 pretty well. And my guess is that when we come to  
17 address those, they'll probably, although you  
18 won't be able to see the screen, they'll be ---  
19 we'll describe it for you, I trust. Let's hope.  
20 We'll try to keep that in mind, Paul. Thank you  
21 for letting me know.

22 MEMBER ZIEMER: I don't know if I can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 get the online screen on the regular web. If I have  
2 the address, if Ted or if you or Zaida can send me  
3 the login information, maybe I can get the regular  
4 web.

5 MR. KATZ: No, you can't, Paul.

6 MEMBER ZIEMER: I can't? Okay.

7 MR. KATZ: That's all in the Intranet.

8 And if you go to that ---

9 MEMBER ZIEMER: Okay, got you. Okay.

10 MR. KATZ: Yes.

11 MEMBER ZIEMER: Good enough.

12 CHAIR MUNN: Yes. But --

13 MR. KATZ: I'm sorry about that, Paul.

14 CHAIR MUNN: We'll try to do the best  
15 we can. We'll try to be sensitive to the fact that  
16 ---

17 MEMBER ZIEMER: Yes. I'll just  
18 operate in the dark here.

19 CHAIR MUNN: Yes, well, okay. But  
20 we'll do the best we can. And good luck with that  
21 ---

22 MEMBER ZIEMER: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       **REVIEW BRS STATUS**

2                   CHAIR MUNN:   That's a tough one.  If  
3       anyone else has had any trouble with it, please let  
4       me know.  Otherwise, I'm assuming that most  
5       everyone's had an opportunity to take a look at  
6       that.

7                   If not, then we'll move --- I think that  
8       what we need to do next is move directly to the first  
9       item of business, which is reviewing the BRS  
10      status.  To the best of my knowledge, it's up to  
11     date.  If that's not the case, please let me know.

12                  I note that we're up with the agenda on  
13      the screen here, so I'm assuming that we're going  
14      to have full access to all of the updates to the  
15      BRS which have occurred in the interim since our  
16      last meeting.

17                  If that's not true, then will someone  
18      who is charged routinely with keeping it at least  
19      let us know where we have holes still remaining that  
20      are expected?  And otherwise we'll just address  
21      these one at a time as we come along.  Did anyone  
22      have any specific updates for BRS that we're not  
23      going to likely see today?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Wanda, this is Kathy  
2 Behling. I was just going to ask a question with  
3 regard to who -- is it Lori Marion-Moss that updates  
4 the BRS with new PERs, and OTIBs, and that type of  
5 thing? I was attempting to add PER-55 to the BRS.  
6 And I was not able to do it. I wasn't sure who is  
7 responsible for updating.

8 CHAIR MUNN: I'm assuming you're still  
9 doing that, Lori, right?

10 (No audible response)

11 CHAIR MUNN: Lori?

12 MR. HINNEFELD: Yes. Lori does that.  
13 I'll get to her. I'll get that word to her.

14 CHAIR MUNN: Oh, okay. She was with us  
15 just a minute ago.

16 MS. K. BEHLING: So in other words, I  
17 should just be sending a note to Lori when I need  
18 something updated into the BRS. Is that correct?

19 MR. HINNEFELD: Yes. As I understand  
20 it, this is the BRS that, or this the PER that was  
21 reviewed. And it needs to appear on the, and be  
22 assigned to the Subcommittee so you can actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 enter the findings. Isn't that where we're at?

2 MS. K. BEHLING: Correct, yes.

3 MR. HINNEFELD: Okay. Yes. I'll get  
4 with Lori. Because I noticed that when we were  
5 prepping for the meeting, that there are a couple  
6 that --- one that was on there that didn't have  
7 findings in it. And there was one that didn't even  
8 appear on the BRS.

9 MS. K. BEHLING: Okay. Thank you.

10 MR. KATZ: Yes, Kathy, from here  
11 forward just always email Lori, and you can copy  
12 me too so I can follow-up if I need to. But that'll  
13 work.

14 MR. HINNEFELD: And copy me as well on  
15 that.

16 MR. KATZ: Yes.

17 MR. HINNEFELD: If you would.

18 MS. K. BEHLING: Okay.

19 CHAIR MUNN: Yes, Wanda likes to know  
20 when that happens, okay, so that I can have a copy  
21 of the memo to remind me when we get to agenda time.

22 MR. KATZ: Yes. And, Wanda, it may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gratifying. It's not really this Subcommittee's  
2 business, except that was the pioneer here. But  
3 the other Work Groups are now, with SC&A's help,  
4 getting online with using the BRS. So that's a  
5 good thing too. So we've done that for quite a  
6 number of Work Groups now, so they'll be following  
7 the BRS model for issue resolution.

8 CHAIR MUNN: I'm delighted to hear  
9 that. We've done an awful lot of work on this  
10 Subcommittee to try to get it to that point. So  
11 it is gratifying to know that it's underway and  
12 actually beginning to spread the way we had hoped  
13 it would. That's good. I hope everyone else has  
14 as a salubrious ---

15 MS. MARION-MOSS: Excuse me, Wanda.

16 CHAIR MUNN: Yes?

17 MS. MARION-MOSS: This is Lori. I was  
18 disconnected somehow.

19 CHAIR MUNN: Oh, we do that sometimes,  
20 you know.

21 MS. MARION-MOSS: The last I heard, I  
22 believe Kathy was asking a question about updating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a PER to the BRS.

2 CHAIR MUNN: Yes, 55.

3 MS. MARION-MOSS: Okay. I can get  
4 that done.

5 CHAIR MUNN: She said she had tried to  
6 get it on, and wasn't able to do it.

7 MS. MARION-MOSS: Okay. I'll load  
8 that document here shortly.

9 CHAIR MUNN: Thank you. Any other  
10 comments about the BRS?

11 (No audible response)

12 **Y-12 ACTIVE ISSUES**

13 CHAIR MUNN: If not, then let's move  
14 directly to the couple of things that we had  
15 discussed at our last meeting that have not been  
16 completed.

17 **OTIB-0013 - ASSIGN OTIB-0044**

18 The next item I have on the agenda is  
19 two active issues outstanding from Y-12, OTIB-13  
20 with an assignment for review and OTIB-29, another  
21 assignment for review. Has anyone had any new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information with respect to that? Or are we ready  
2 to assign those?

3 MS. K. BEHLING: I believe that Ron  
4 Buchanan is on the line and can speak to OTIB-13.

5 CHAIR MUNN: Okay, Ron?

6 DR. BUCHANAN: Yes, this is Ron  
7 Buchanan with SC&A. These are very old OTIBs we  
8 reviewed about, I think, about seven or eight years  
9 ago. And our findings at that time, we had five  
10 findings. One of them had previously been closed,  
11 Number 4.

12 However, OTIB-13 has been superseded by  
13 OTIB-44 for workers and OTIB-64 for co-worker  
14 model. And so the concerns with OTIB-13 for Y-12  
15 external dose was related to scaling factors, a  
16 group of workers they used to create some co-worker  
17 dose, and the use of scaling factors in the work.  
18 But it all had to do mainly with scaling factors.

19 And the new OTIB 44 and 64 do not use  
20 scaling factors. And so essentially all of these  
21 findings are a moot question at this time. Because  
22 OTIB-44 and 64 superseded OTIB-13 and do not use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the scaling factors, which we had the concern with,  
2 and the same way with the workbook.

3 CHAIR MUNN: Okay.

4 DR. BUCHANAN: So we recommend, you  
5 know, I guess, closing them. Because they're not  
6 related to what they're using today.

7 CHAIR MUNN: Anyone have any problem  
8 with that?

9 MEMBER BEACH: I don't, Wanda.

10 MEMBER ZIEMER: No, let's close them.

11 CHAIR MUNN: All right, very good.  
12 Thanks, will do. And 0013 has been superseded, and  
13 the Board agrees with the recommendation of SC&A  
14 that it be closed.

15 MS. K. BEHLING: And, Wanda, would you  
16 like for me to do that offline?

17 CHAIR MUNN: If you would please,  
18 Kathy, that'll be fine.

19 MS. K. BEHLING: Okay.

20 CHAIR MUNN: I don't think there's any  
21 reason for us to try to do it real time here unless  
22 someone else feels that's appropriate. As long as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it gets done, and you notify me so that I can verify  
2 it on my list, that'll be great.

3 MS. K. BEHLING: Will do.

4 **OTIB-0029 ASSIGN TBD**

5 CHAIR MUNN: We have a similar  
6 situation with OTIB-29, I believe. Ron, are you  
7 doing that one as well?

8 DR. BUCHANAN: No.

9 DR. LIPSZTEIN: Hi.

10 CHAIR MUNN: That's Joyce.

11 DR. LIPSZTEIN: I did. That's Joyce  
12 Lipsztein.

13 CHAIR MUNN: Oh, good. Hi, Joyce.  
14 Would you like to bring us up to date and make a  
15 recommendation?

16 DR. LIPSZTEIN: Yes. As well, OTIB-29  
17 was transferred to the TBD-45. And I've been --  
18 Finding Number 1 was already closed.

19 Finding Number 2, I think SC&A accepts  
20 NIOSH arguments for Finding Number 2, that the  
21 database is considered official of records for the  
22 site. And it's used to supply claimant results.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So we recommend it should be closed.

2 For Finding Number 3 attachment, we had  
3 some problems with situations where the 95th  
4 percentiles of the co-worker distribution should  
5 be applied.

6 And now in the TBD-14, there is some  
7 situations that described where the 95th  
8 percentile is more appropriate. So SC&A  
9 recommends this finding to be closed also.

10 And then Finding Number 4, we had some  
11 problems, because some routine urine samples were  
12 collected after a minimum of 48 hours absence from  
13 work hours. And we had asked NIOSH to demonstrate  
14 the impact of this 48 hours absence from work.

15 In one of the answers, NIOSH said that  
16 40 percent of the samples were not collected on  
17 Monday mornings. But this was not demonstrated.  
18 So we recommend that this finding of the 48 hours  
19 absence from work hours should be further analyzed  
20 by NIOSH.

21 And Finding Number 5, which would be the  
22 inclusion of solubility Type F, this was done. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we recommend that this finding should be closed.

2 So the only one that remains open should  
3 be Finding Number 4, the problem of collecting  
4 urine sample is a minimum of 48 hours absence from  
5 the work.

6 CHAIR MUNN: We're going to close all  
7 except Item 4.

8 MR. KATZ: Well, Wanda, this is Ted.  
9 Can I just recommend that you go through each of  
10 these though so that we have a clear understanding  
11 of the whys for closing those?

12 CHAIR MUNN: Oh, yes. You didn't feel  
13 that Joyce was ---

14 MR. KATZ: Well, Joyce explained. But  
15 the Subcommittee didn't take up these at all. Some  
16 of them, it seems like a perfunctory discussion,  
17 but I'm not sure that all of them were ---

18 CHAIR MUNN: Well, straightforward.  
19 I did have a question myself with respect to the  
20 -- was it Number 3 that had the 95th percentile?

21 MR. KATZ: Well, Finding 2, I mean,  
22 Joyce just said that they accept NIOSH arguments.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But that's not, there's nothing on the record as  
2 to what the arguments were that they're accepting,  
3 and the why, and wherefore.

4 CHAIR MUNN: No. I guess we went past  
5 the document on the screen pretty quickly. I had  
6 assumed that we had a response from NIOSH, but  
7 nothing on the ---

8 MR. KATZ: Well, I mean, the  
9 Subcommittee hasn't said anything.

10 CHAIR MUNN: Yes. Then ---

11 DR. LIPSZTEIN: Okay. Do you want me  
12 to go through each one of them?

13 CHAIR MUNN: Yes, I think, except for  
14 Number 4. That's clearly remaining open. And  
15 it's going back, you're asking for additional  
16 information from NIOSH. That's clear enough.  
17 But the other three, yes please.

18 DR. LIPSZTEIN: Okay. So Finding  
19 Number ---

20 MR. KATZ: Two.

21 DR. LIPSZTEIN: Two. The first  
22 problem with it is that the ORISE CER database of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 uranium records for IPSUM 12 from 1950 through 1988  
2 was used without questioning the accuracy of these  
3 records.

4 So there were some problems with these  
5 records that were pointed out in OTIB-29. And then  
6 we had some questions about if the CER database  
7 should be considered. Because there were -- 20  
8 percent of the results were labeled as do not use.  
9 And a lot of results were zero.

10 So this would give a bias to the  
11 database. And then when it was transferred to TBD  
12 for TM-5, NIOSH put that the -- explain how was the  
13 derivation of each formula that was applied and  
14 also said that the PER is the official database for  
15 it.

16 And so we analyzed it again. And with  
17 all those discussions that we had, we came out to  
18 accepting the database for the calculation of  
19 intake doses for unmonitored workers. Is that  
20 okay now?

21 MR. KATZ: Thanks, Joyce, yes. I  
22 mean, and then it's just for the Subcommittee to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concur or ask questions?

2 CHAIR MUNN: Any questions?

3 MEMBER ZIEMER: This is Ziemer. I'm  
4 okay on that one.

5 MEMBER BEACH: Yes, this is Josie.  
6 I'm okay on that one, as well.

7 CHAIR MUNN: I was trying to follow  
8 that screen as we were going along, because I don't  
9 remember that clearly. But, fine. All right,  
10 very good. The recommendation of the contractor  
11 to close this issue has been accepted by the Board.  
12 And we'll move on to the next. Was it Number 3,  
13 in this ---

14 DR. LIPSZTEIN: Number 3. Number 3,  
15 yes. When the co-worker data was calculated, we  
16 said that, well, NIOSH used the 50th percentile for  
17 the intake rates. And we considered that some of  
18 the workers could be exposed to higher levels of  
19 contamination which was one of the characteristics  
20 of Y-12.

21 So there was no explanation why there  
22 was the choice of the 50th percentile. Then what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NIOSH did is that it specified that the 50<sup>th</sup>  
2 percentile would not be used all the way through,  
3 that there were some sites and locations.

4 So this was a new addition that for some  
5 sites, and locations, and job types, certain  
6 workers would be assigned to the 95th percentile.

7 So this was incorporated into the TBD.  
8 And so now there is an Attachment B on the internal  
9 dosimeter co-worker data for Y-12, in the TBD-45,  
10 where it's considered that there are situations  
11 where the 95th percentile of the co-worker  
12 distribution should be more accurate than the 50th  
13 percentile. So our recommendations were  
14 followed. So we thought that this finding should  
15 be closed.

16 CHAIR MUNN: All right. Let's say,  
17 when we do our response, that SC&A's  
18 recommendations for observed deficiencies have  
19 been covered by the issuance of new documents. And  
20 therefore, SC&A has recommended this item be  
21 closed. The Committee agrees. The item is closed  
22 unless there's any discussion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Does anyone else have any comments to  
2                   make about that?

3                   MEMBER ZIEMER:   No, I agree.   That's  
4                   consistent with other uses, yes.

5                   CHAIR MUNN:    Okay.

6                   MEMBER BEACH:   And I agree as well.  
7                   Thanks, Wanda.

8                   CHAIR MUNN:    Thanks, Josie.    Very  
9                   good.    On that, did we have one other, other than  
10                  Item 4 which is open expecting a request, a response  
11                  from NIOSH?

12                  DR. LIPSZTEIN:   It's Finding 5.

13                  CHAIR MUNN:    It's five, not four.

14                  DR. LIPSZTEIN:   Yes.

15                  CHAIR MUNN:    But five is the other one  
16                  that we were going to close, right?

17                  DR. LIPSZTEIN:   Yes.

18                  CHAIR MUNN:    Yes, okay.    And ---

19                  DR. LIPSZTEIN:   Yes.

20                  CHAIR MUNN:    Go ahead.

21                  DR. LIPSZTEIN:   At first, NIOSH only  
22                  considered uranium compounds of solubility Types

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 M and S without considering Type F compounds. But  
2 for many cancer sites, SC&A thought that Type F  
3 should be used also.

4 So within the new document in  
5 Attachment B, NIOSH now includes solubility Type  
6 F, and recommends selection of this material type  
7 when it's more favorable to claimants.

8 So SC&A recommends this finding to be  
9 closed. Because Type F is now incorporated into  
10 the document when it's more claimant favorable for  
11 the ---

12 CHAIR MUNN: All right. Any comments  
13 about that? It seems to me this is exactly the same  
14 response that we would have given to Item 2.  
15 And therefore, we could use the same wording. Does  
16 anyone have any discussion or concern with that  
17 finding?

18 (No audible response)

19 CHAIR MUNN: If not, then we'll ---

20 MEMBER ZIEMER: No concerns.

21 CHAIR MUNN: No concern, we'll ---

22 MEMBER BEACH: No. It seems pretty

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 straightforward to me.

2 CHAIR MUNN: -- follow the  
3 recommendation of the contractor and close the  
4 item. I believe that cleans up OTIB-29 for us,  
5 with the exception of the outstanding Finding 4.  
6 Am I correct?

7 DR. LIPSZTEIN: Yes.

8 CHAIR MUNN: Good. Very good. Any  
9 other questions or comments with respect to that  
10 Y-12 issue?

11 MR. KATZ: So what is the path forward  
12 for Finding 4? I know NIOSH is going to respond.  
13 Do we have a sense of when?

14 DR. NETON: This is Jim. I need to  
15 look at this a little closer. Joyce, you said  
16 something about the fact that we said 40 percent  
17 of the samples were not collected on Monday.

18 DR. LIPSZTEIN: Yes. But you said,  
19 but never put it on the document or ---

20 DR. NETON: Yes. I have a note here  
21 that we responded on January 20th, 2009. Is that  
22 the response that you're talking about?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSTEIN: Yes.

2 DR. NETON: Okay. I can't find that  
3 right now. But we can clear that up. I think we  
4 should be able to do that fairly quickly, I would  
5 think.

6 **OTIB-0026**

7 CHAIR MUNN: So we'll carry it on the  
8 next agenda. Can we move on to OTIB-26? SC&A is  
9 going to talk to us about dosimetry at what, K-25,  
10 isn't it?

11 DR. BUCHANAN: Yes. This is Ron  
12 Buchanan with SC&A. And this was the OTIB-26.  
13 And it's the co-worker issue for K-25. And it was  
14 Finding 1 was closed previously. And Finding 2 is  
15 in progress. And Finding 3 was closed previously.  
16 So we'll look at Finding 2 which was in progress.

17 And, Kathy, if you could pull up that  
18 attachment, that PDF file, I think, that shows the  
19 graph. That should be attached to that. And what  
20 this consisted of was that K-25 went to -- they were  
21 badging just the most exposed people, radiation  
22 workers, up until about '75, 1980, in that area.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And then they went to badging everyone.

2 And so the question came up was how do  
3 we know, of course, the old question, how do we know  
4 that the most exposed were being monitored  
5 previously to everybody being monitored.

6 And so NIOSH had used a maximum  
7 likelihood analysis to show that previously, in  
8 2008, about eight years ago, and the Board had  
9 requested that SC&A look at that in a little more  
10 detail.

11 And so we show, on the screen there,  
12 what I did is I went back, and I took the yearly  
13 doses. Now, we did not have access to the  
14 individual dosimetry, but we looked at the yearly  
15 doses both before and after the switching in  
16 dosimetry.

17 And can you pull that up just a little  
18 bit, up the page just a little bit? There, okay,  
19 whoa. Back a little bit. I just wanted to see the  
20 years there. Okay, that's fine. Thank you.

21 Okay. And so I plotted the yearly  
22 average dose, as they were recorded, to be used for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       coworker doses. And you can see there that, in  
2       about '75, they switched to monitoring everyone.  
3       So the average dose went down.

4               And so looked at the 50th percentile.  
5       Now, we sent -- the data we had was in OTIB-26, Table  
6       2, Page 9, which lists the gamma 95th and 50th  
7       percentile for each year.

8               And so what I did, I tried to determine  
9       some information out of this. So I went back and  
10      then plotted it, and looked at it. And the 50th  
11      percentile, you can see, is based right around 800  
12      millirem a year, pretty close, from '46 forward  
13      until about '75. And then it drops lower.  
14      However, it stays very much the same in those years.

15              And then the 95th percentile stays  
16      within, plus or minus, about 20 percent of around  
17      one rem per year. And then it drops down in the  
18      '75 period.

19              And so I looked at this data, and  
20      essentially it looked as if there was no years where  
21      we've seen a lot of spikes in the years that just  
22      the select, the workers were monitored. And there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was fairly consistent results during those years.

2 And so, in our opinion, it looks like  
3 that, you know, there would probably not be a large  
4 chance for outliers of individuals that are being  
5 exposed that weren't monitored. And those that  
6 were monitored, it was fairly steady exposure  
7 rates.

8 And so that's where we're at at this  
9 point. We have a little text there, a paragraph  
10 explaining our findings. So at this point, we were  
11 asked to go back and look at this a little further  
12 by the Subcommittee. And this is what we had come  
13 up with.

14 Again, the only thing we can do is go  
15 back and look at the --- we don't have access to  
16 the individual data. But I don't know I that would  
17 really tell us the people that weren't monitored  
18 who were exposed. And so we, at this point, have  
19 arrived at this point and feel that there's  
20 probably not a likelihood that there was people  
21 exposed that weren't monitored, to a great extent.  
22 And so that's where we're at.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: Ron, I wonder if you'd  
2 mind reading your statement for us?

3                   DR. BUCHANAN: Okay. I will. "SC&A  
4 analyzed the co-worker data in OTIB-26 to evaluate  
5 whether the dose data reported during the period  
6 when most of the employees' dosimeters were  
7 processed and recorded, beginning around 1975 to  
8 '80, was significantly different from that of the  
9 earlier period, around 1945 to 1975, when only  
10 select employees were monitored and the results  
11 recorded.

12                   "The following Exhibit A summarizes the  
13 results of the data as it appears in Table 2 of  
14 OTIB-26. The monitoring results for the latter  
15 period beginning around 1975 to '80 show a marked  
16 decrease in co-worker dose for both 50th percentile  
17 and 95th percentile values.

18                   "This indicates, during the previous  
19 monitoring period, 1945 to 1975, workers with above  
20 average potential for exposure were monitored and  
21 their dosimeters processed and recorded.

22                   "During most of the early period, 1947

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to 1975, the 50th percentile values were closely  
2 centered around 0.8 rem per year. And the 95th  
3 percentile fluctuated around 1 rem per year within  
4 approximately plus or minus 20 percent.

5 "This would indicate it is unlikely  
6 that there are significant outliers for workers  
7 that were not monitored during some years for the  
8 period 1947 to 1975.

9 "Therefore, the co-worker data  
10 recommended in Table 2 of OTIB-26 would provide for  
11 reasonable and likely claimant favorable external  
12 doses. It should be noted, however, that SC&A does  
13 not have access to and could not locate the detailed  
14 co-worker data used by NIOSH to generate Exhibit  
15 A, above, and Table 2 of OTIB-26."

16 MEMBER ZIEMER: Thank you.

17 DR. BUCHANAN: Okay.

18 CHAIR MUNN: Certainly a stark  
19 difference obvious from the graph. It's nicely  
20 presented.

21 MEMBER BEACH: I guess, this is Josie,  
22 my question would be how important would it be to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have a look at that co-worker, the source of the  
2 co-worker data that NIOSH used.

3 DR. BUCHANAN: Well, on one hand, it  
4 would show us the individual rather than the  
5 cumulative. And so we could maybe look for, if  
6 there was large, you know, outliers, because this  
7 is obviously an average, to see about that.

8 Now, the other thing is that's really  
9 not going to tell us if people weren't monitored  
10 that were exposed. And so it would kind of verify  
11 what we see here.

12 MEMBER BEACH: Right.

13 DR. BUCHANAN: And, you know, we could  
14 do that if you'd like for us to, if NIOSH can provide  
15 us with that individual exposure data.

16 MEMBER BEACH: Yes. I don't  
17 necessarily think it was needed. I just wanted to  
18 know what your thoughts were on it. Thank you.

19 CHAIR MUNN: I can't imagine we'd get  
20 any meaningful new information from that kind of  
21 examination. But from my perspective, what we  
22 have is adequate. Paul?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:     Yes.     Well, the  
2     difficulty, of course, is that it doesn't really  
3     answer the question of were there workers  
4     monitored, not monitored but should have been.

5                   But I think we're operating on a policy  
6     basis here that, in advance, a determination is  
7     made as to whether workers should be monitored  
8     based on whatever criterion were used at that time.

9                   I think what would happen in practice  
10    is that if an individual, through the interview  
11    process, was identified that had somehow been  
12    involved in operations and could show they weren't  
13    monitored, I think you would end up assigning them  
14    the doses of the monitored group anyway, would you  
15    not?   Maybe Jim could answer that.

16                  DR. NETON:     Well, that's true.    I  
17    mean, any unmonitored worker is either going to be  
18    assigned a 50th percentile or the 95th percentile.  
19    What the conclusion demonstrates in environmental  
20    would be applicable.

21                  But I'd also say that it looks like the  
22    distribution's pretty tight if you look at the, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think, I can't see on the screen what the 95th  
2 percentile versus the 50th was, but they seem to  
3 be pretty close. So they weren't, like,  
4 enormously large variations is the doses between  
5 the upper tail and the 50th percentile.

6 MEMBER ZIEMER: Right. But I don't  
7 see it as an issue. I'm comfortable with closing  
8 it as recommended by SC&A.

9 CHAIR MUNN: Can't your list just say  
10 that the Committee has, the Subcommittee has  
11 considered the information presented by the  
12 contractor, accepts their recommendation to close  
13 this item. And we'll move on if there's no further  
14 question about OTIB-26. Thank you, Ron.

15 DR. BUCHANAN: Okay, thank you.

16 **OTIB-0032**

17 CHAIR MUNN: We'll go on to OTIB-32,  
18 Savannah River, I believe.

19 MS. K. BEHLING: Yes. This is Kathy  
20 Behling. And I'll take this one. Actually,  
21 OTIB-32 is the Savannah River external co-worker  
22 model that we reviewed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I went back to the transcripts.  
2           And during the discussion of this finding, and I  
3           think it's Number 2 here, yes, Finding Number 2,  
4           we actually got sidetracked to some extent. And  
5           there was a great deal of discussion about --- the  
6           finding had to do with -- we actually had, in our  
7           initial procedure, a table that identified certain  
8           review objectives.

9           And we were questioning the review  
10          objective that had to do with the clarity of the  
11          document and whether it was sufficiently  
12          prescriptive in order to minimize, you know,  
13          subjective decisions. That was the gist of the  
14          initial finding.

15          And the reason that it remained open or  
16          in progress is because the Subcommittee was ---  
17          NIOSH, first of all, questioned the finding.  
18          Because they said all of our documents -- it's a  
19          dynamic system. There has to be room for  
20          professional judgement. There are going to be  
21          changes. One document's going to impact another  
22          document.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then this led the Subcommittee to  
2       recommend that SC&A go back and review our  
3       protocols for actually reviewing technical  
4       guidance documents. So we got sidetracked.

5           And our mission was that we were going  
6       to go back into the procedure, our initial  
7       procedure that, in fact, I just put that out under  
8       the O: drive on the Procedures Subcommittee section  
9       this morning under the SC&A documents. Our  
10      original procedure was written back in 2004.

11          And quite honestly, if you go through  
12      that, you'll see at the end our table and our review  
13      object is in the criteria we used to use. We really  
14      are not following, to the letter, that protocol  
15      anymore. We look at each --- we actually focus on  
16      each review and the elements associated with that  
17      review. And I think that has become the accepted  
18      approach that we've been using, except the approach  
19      by the Subcommittee.

20          So I'm really not sure if this finding  
21      can't be closed. Because I don't know that there's  
22      a lot of meaning in going back to a protocol that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we're really not even following anymore.

2 CHAIR MUNN: You're right. When we  
3 get sidetracked from the original goal it ---

4 MEMBER ZIEMER: But what you're saying  
5 is that, if you were to review that now under your  
6 present protocols, you would not have had this  
7 finding. Is that what I'm understanding?

8 MS. K. BEHLING: Well ---

9 MEMBER ZIEMER: Or what?

10 MS. K. BEHLING: No. I'm not saying  
11 that we would not have necessarily had this finding  
12 but the fact that we were questioning the clarity  
13 and the fact that procedure wasn't prescriptive  
14 enough.

15 I know in the transcripts Stu was  
16 questioning saying, you know, this is a review  
17 objective that maybe should be, we should rethink  
18 that as a review objective. Because we do need to  
19 look.

20 And, yes, perhaps you're correct. I  
21 don't know that we would have that as a finding  
22 today. But the reason that this particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 finding stayed open was not because we didn't give  
2 in to the fact that, okay, we understand. And we  
3 do feel there's enough clarity now or that there  
4 is enough other documents. And the people that are  
5 using these documents are familiar enough that we  
6 don't have to be as prescriptive as we initially  
7 thought.

8 But what kept this particular finding  
9 in progress is because you would ask us to go back  
10 into that protocol and make changes or at least  
11 suggest changes.

12 And what I'm saying is that we're really  
13 not even using that protocol anymore. We are doing  
14 these documents, we're looking at each PER or OTIB  
15 individually. And we look specifically at all of  
16 the elements associated with that document. And  
17 we don't follow that, we don't generate that table  
18 anymore.

19 CHAIR MUNN: So essentially, I think,  
20 what I think I'm hearing is that we can say that  
21 the protocol that raised the question is  
22 essentially outdated and not being used at this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time. So the original finding, which is very, very  
2 old, no longer applies. Is that roughly a correct  
3 statement?

4 MS. K. BEHLING: Then we can  
5 essentially say something to that effect, I think,  
6 and say the Subcommittee has considered the  
7 recommendation of the contractor and agrees that  
8 this finding can be closed.

9 MEMBER ZIEMER: That sounds good.

10 CHAIR MUNN: Paul, Josie?

11 MEMBER BEACH: That sounds good to me  
12 also.

13 **OTIB-0014**

14 CHAIR MUNN: Okay. Finding 2 of  
15 OTIB-32 has been closed. That brings us to  
16 OTIB-14, needed to be assigned to review it close  
17 it. What this is ---

18 DR. LIPSZTEIN: This is Joyce.

19 CHAIR MUNN: Yes, Joyce.

20 DR. LIPSZTEIN: I reviewed the TIB-14,  
21 and I don't think it should be closed.

22 CHAIR MUNN: All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: First of all, there is  
2 a little bit of confusion, which is just --- and  
3 I'll diminish that is confusion, because there  
4 isn't information that TIB-14 was cancelled. And  
5 it was incorporated into the TBD-11-5.

6 And on one of NIOSH sites, if you go to  
7 Rocky Flats, TIB-14 has been cancelled. But if you  
8 go by the number, TIB-14 on the same NIOSH site,  
9 it doesn't inform that the document was cancelled.

10 So this is just something that you could  
11 do it very fast, just say that TIB-14 was cancelled  
12 on the TIB list.

13 The second thing is that on Finding  
14 Number 1 --- May I proceed? Hello?

15 CHAIR MUNN: Hello, yes.

16 DR. LIPSZTEIN: Yes, okay. Now, going  
17 to finding, we said that, on Finding 1, that the  
18 document was not complete.

19 Now, the data from TIB-14 is the data  
20 covering 1989 to 2005 period. In TBD-11-5, the  
21 data on Attachment D, when the intakes for uranium  
22 are calculated for the period of '89 to 2005, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was covered by TIB-14, this data was not  
2 transferred to the TBD.

3 So as it is now on Attachment D,  
4 Attachment D is still referencing TIB-14 and using  
5 that data to calculate intake. But it didn't  
6 transfer the data. So you cannot see, on the TBD,  
7 the data from TIB-14. And it referenced TIB-14 as  
8 TIB-14 still exists. Do you understand what I'm  
9 trying to say?

10 CHAIR MUNN: I think so. I think what  
11 I'm hearing is that the attachment to the, the  
12 addendum to the current document does not  
13 appropriately transfer the actual information from  
14 ---

15 DR. LIPSZTEIN: Yes.

16 CHAIR MUNN: -- 2014 that needs to be  
17 included in that document in order for us to be able  
18 to close TIB-14 and not have it on the books  
19 anymore.

20 DR. LIPSZTEIN: Yes.

21 CHAIR MUNN: Okay. So what we need to  
22 do is to make sure that the new, the addition to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the new document doesn't just reference TIB-14,  
2 that it doesn't reference it at all, but transfers  
3 the appropriate information and incorporates it in  
4 the attachment, right?

5 DR. LIPSZTEIN: Right.

6 CHAIR MUNN: Okay. So what we ---

7 DR. LIPSZTEIN: Now, Finding Number 2  
8 ---

9 MEMBER ZIEMER: Well, excuse me. Can  
10 we just hear from NIOSH on that OTIB?

11 DR. NETON: This is Jim. Joyce, I'm a  
12 little confused. I just went out on our website.  
13 And it clearly indicates that TIB-14 has been  
14 cancelled. So I'm not even going to ---

15 (Simultaneous speaking)

16 DR. LIPSZTEIN: Jim, that's one, you  
17 look at Rocky Flats. But then when you look at the  
18 TIBs numbers ---

19 DR. NETON: Yes, I did. And it's not  
20 in the list ---

21 (Simultaneous speaking)

22 DR. NETON: I'm sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: I just looked at it --

2 DR. NETON: Well, I just looked at it  
3 just now.

4 DR. LIPSZTEIN: -- five minutes ago.

5 DR. NETON: Well, I looked at it.  
6 There was no TIB-14 listed. Are you looking now  
7 at the DCAS TIBs or the ORAU TIBs? Because this  
8 is a DCAS TIB, an OCAS TIB.

9 DR. LIPSZTEIN: I ---

10 DR. NETON: If you go to the control bar  
11 ---

12 DR. LIPSZTEIN: No, no, no, no. No,  
13 no. It's exactly what you are ---

14 DR. NETON: No. I'm under Technical  
15 Information Bulletin, and there is no TIB-14 listed  
16 under Technical Information Bulletins. And if you  
17 go back and look at historical revisions on that  
18 same thing, and you go to TIBs ---

19 DR. LIPSZTEIN: No.

20 DR. NETON: TIB-14 is listed there.

21 DR. LIPSZTEIN: I just looked at it,  
22 Technical Information Bulletin TIBs. It's in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 front of me. But it's in front of me.

2 DR. NETON: No. I'm looking right now

3 ---

4 DR. LIPSZTEIN: Me too.

5 DR. NETON: Okay. Well, I'm looking  
6 at the cancelled ones. And it clearly says it was  
7 cancelled under the historical revisions.

8 DR. LIPSZTEIN: Now go to, if you go to  
9 NIOSH radiation dose reconstruction programs,  
10 Technical Information Bulletin ---

11 DR. NETON: Yes, yes, yes.

12 DR. LIPSZTEIN: -- numerical listing.  
13 So you press on TIB-14, it will come.

14 DR. NETON: Well, I'm looking on our K:  
15 drive. Okay, that's an issue with the website, I  
16 think, versus what's on our ---

17 DR. LIPSZTEIN: Okay, on this.

18 DR. NETON: Yes.

19 DR. LIPSZTEIN: And the most important  
20 problem is that the information there was not  
21 transferred to the new TBD.

22 DR. NETON: Yes. And that's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 separate issue. But what I'm saying right now is  
2 if you try to go out to our K: drive and use TIB-14,  
3 it's not there. I mean, it's listed ---

4 DR. LIPSZTEIN: Oh, okay, but --

5 DR. NETON: -- but you could not use it.  
6 The website may have an inappropriate listing.  
7 And that's something we need to check.

8 DR. LIPSZTEIN: Yes.

9 DR. NETON: Anyway, okay. I just want  
10 to make sure that we're on the same page here.

11 DR. LIPSZTEIN: Okay.

12 DR. NETON: Okay, fine.

13 CHAIR MUNN: And who has the action to  
14 check the listing, Jim?

15 DR. NETON: Well, our listing is fine.  
16 We'll look at the website listing and make sure that  
17 that's corrected. Because sometimes those don't  
18 coordinate maybe as well as they should. So we'll  
19 take a look at that.

20 MS. K. BEHLING: Yes. This is Kathy  
21 Behling. And Joyce is correct. On the website,  
22 there's a CDC website and a NIOSH, it does still

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 show the OTIB-14.

2 DR. NETON: Right. And see, but if I  
3 go out to our site, and I go, it's not there. And  
4 if I go to historical revisions ---

5 MS. K. BEHLING: Right.

6 DR. NETON: -- by TIBs, it's says  
7 TIB-14, what's it say here? It said it was  
8 cancelled, basically, or not in use.

9 MS. K. BEHLING: Yes. Okay.

10 DR. NETON: Okay. We'll look at that.  
11 Okay.

12 CHAIR MUNN: What's the designation of  
13 the TBD we're looking at?

14 DR. NETON: It's TIB-14.

15 CHAIR MUNN: TIB-14.

16 DR. NETON: Yes. I guess, from a dose  
17 reconstruction perspective, it's okay that what  
18 we're doing internally. But the world is seeing  
19 outside of NIOSH, outside of DCAS, is misleading  
20 for sure.

21 CHAIR MUNN: Okay, something else.  
22 And if I -- if what I understood to begin with is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the new TBD references OTIB-14 but does not  
2 correctly -- it incorporates the information. But  
3 instead of incorporating the information in the new  
4 OTIB, I mean, in the new TBD, it references TBD-14.

5 DR. LIPSZTEIN: Yes.

6 CHAIR MUNN: That leaves you kind of  
7 out in left field with the referencing being to a  
8 now closed TIB.

9 DR. LIPSZTEIN: Yes. And all the  
10 information to be transferred there.

11 CHAIR MUNN: You follow what I'm  
12 saying, Jim?

13 DR. NETON: Yes, yes. Yes, that part  
14 I understand. I'm obviously confused about which  
15 document had indicated it having been cancelled,  
16 that's all.

17 CHAIR MUNN: Okay, so I'm saying NIOSH  
18 has the action then to check this out and make sure  
19 that the information that was previously  
20 referenced in OTIB-14 is incorporated into the new  
21 TBD and that our electronic records show that,  
22 okay?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes.

2 CHAIR MUNN: We're going to leave it in  
3 progress right now, I believe, unless other people  
4 have stronger feelings one way or the other. And  
5 we'll come back to this next time, right? Action,  
6 NIOSH. Thank you.

7 DR. LIPSZTEIN: Now --

8 CHAIR MUNN: Yes, go ahead.

9 DR. LIPSZTEIN: For Finding Number 2,  
10 again, SC&A found that use of a model based on the  
11 50th percentile of the excretion rate would  
12 misrepresent the high exposure experienced by  
13 unmonitored subcontractors at the Rocky Flats.

14 And now on the new TBD, the 95th  
15 percentile was used in the derivation of intakes  
16 for '89 to 2005. So we think this finding should  
17 be closed.

18 CHAIR MUNN: Okay. Any discussion,  
19 any concern? We will ---

20 MEMBER ZIEMER: Are we talking about,  
21 in essence, closing the finding on TIB-14 or is it  
22 applied to the new TIB? I mean, 14 doesn't, it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           isn't in operation anymore.

2                     DR. LIPSZTEIN:   Oh, no.

3                     MEMBER ZIEMER:   So what is the finding  
4           applying to?

5                     DR. LIPSZTEIN:   What was transferred  
6           to the TBD.

7                     MEMBER   ZIEMER:   The finding still  
8           carries over, is what you're saying.  Is that  
9           right?

10                    DR. LIPSZTEIN:   No.  We looked at  
11           TBD-11-5 to see if our suggestion of using the 95th  
12           percentile was followed.  And it was.

13                    MEMBER ZIEMER:   Well, yes.  So what we  
14           would be doing is closing it on the new one, is what  
15           you're saying.

16                    DR. LIPSZTEIN:   Yes, yes.

17                    MEMBER ZIEMER:   Because I just want to  
18           make sure that that's what the action would  
19           reflect.

20                    MR. KATZ:       Right.  Paul, I mean,  
21           closing it on the basis of having issued the new  
22           one, so it's as if this were in abeyance until the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 new document came out.

2 MEMBER ZIEMER: Got you.

3 MR. KATZ: Yes.

4 MEMBER ZIEMER: Okay.

5 CHAIR MUNN: And it's out, right?

6 MR. KATZ: Right.

7 CHAIR MUNN: Yes. And therefore, the  
8 subcontractor is, I mean, our contractor is telling  
9 us that the action from Finding 2 has been  
10 appropriately transferred to the superseding TBD,  
11 I mean TIB. And we followed their recommendation  
12 to close. Okay.

13 DR. LIPSZTEIN: Now ---

14 CHAIR MUNN: Go ahead.

15 DR. LIPSZTEIN: Finding Number 3,  
16 Finding Number 3 was that there was no, that NIOSH  
17 did not address in vivo counting results. And  
18 there was no information on americium lung data for  
19 calculating potential unmonitored worker doses to  
20 the lung.

21 So now I'm going to talk about what was  
22 transferred and is on TBD-11-5 that should have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information from TIB-14, okay.

2 There is now an --- now, in Attachment  
3 D of TBD-11-5, there is no mention of americium lung  
4 count in data for use in the intake rates for  
5 plutonium for 1989 to 2005.

6 In the same document, in Attachment B,  
7 it was shown that germanium detectors were used  
8 from '85 to '95 period and even that the detector  
9 software and hardware were upgraded in the period  
10 of '95 to 2005.

11 So we think that if lung counting is not  
12 being used to calculate intake rate for plutonium  
13 at that time where the installation had germanium  
14 detectors, then either the lung counting should be  
15 incorporated or there should be a discussion on why  
16 the lung counting results were not used to  
17 calculate plutonium intakes.

18 CHAIR MUNN: So we need that  
19 information in the attachment to TIB-115?

20 DR. LIPSZTEIN: Yes, 115. I just used  
21 the information on americium lung counting to  
22 calculate intake rates of plutonium during '89 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 2005 or present an argument why they were not used  
2 when, at that time, there were germanium detectors  
3 at the place where americium measurements were  
4 done.

5 CHAIR MUNN: Okay. So we need a  
6 response from NIOSH to your concern, right?

7 DR. LIPSZTEIN: Yes.

8 CHAIR MUNN: Jim, any thoughts, any  
9 comments?

10 DR. NETON: Let me just make other  
11 stuff, see if I understand this. You're asking why  
12 we don't, we have to explain why we don't have an  
13 americium lung counting coworker model, why we  
14 don't use that.

15 CHAIR MUNN: For that period, I think.

16 DR. LIPSZTEIN: Yes.

17 CHAIR MUNN: When the germanium  
18 detectors were actually in.

19 DR. NETON: Yes. I'm sure there's a  
20 good reason for it. But we'll have to get back to  
21 you on it. I haven't thought about this for a  
22 while.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Okay.

2 MS. K. BEHLING: Wanda, this is Kathy  
3 Behling. This is currently in progress. And I  
4 assume we will just continue to keep it in progress.

5 CHAIR MUNN: It appears to me that  
6 that's the appropriate designation right now. Any  
7 thoughts from other Board Members?

8 MEMBER ZIEMER: No. That seems  
9 appropriate.

10 CHAIR MUNN: Okay. So it will just say  
11 the issue was reported to the Subcommittee. NIOSH  
12 will respond. And we'll not change the status and  
13 move on.

14 Does that cover the entire TIB now,  
15 Joyce?

16 DR. LIPSZTEIN: Yes.

17 CHAIR MUNN: Those are all of your  
18 problems?

19 DR. LIPSZTEIN: Yes.

20 CHAIR MUNN: I think we have a  
21 situation where Number 1 is going to be looked at  
22 to see that the appropriate information has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transferred to the appropriate documentation to  
2 make sure that we're squared away on our electronic  
3 databases appropriately.

4 We've closed Number 2, and Number 3 will  
5 have a response from NIOSH but continue in the same  
6 category. Any comment from anyone else?

7 (No response.)

8 If not, then thank you, Joyce,  
9 appreciate it. We're moving on to ---

10 DR. LIPSZTEIN: To me again.

11 **OTIB-0039**

12 CHAIR MUNN: Oh, you lucky thing. All  
13 right. Joyce, TIB-39, coworker data at Hanford.  
14 And so your Chair and one of our Board Members won't  
15 be able to make much of a comment about this. Paul,  
16 would you, are you able to follow that and  
17 essentially chair us through that, OTIB-39?

18 (No response.)

19 CHAIR MUNN: Go ahead, Joyce.

20 DR. LIPSZTEIN: Okay. OTIB-39, which  
21 is Hanford, was cancelled. And the information  
22 was incorporated into TBD-65, Revision 6, in 2015,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in Attachment C.

2 The first finding is closed because we  
3 accept reasoning that, because we were comparing  
4 the documents from TIB-39 with recommendations  
5 from TIB-002, and NIOSH said that, and it's clear  
6 that TIB-2 was a very early document and pre-dates  
7 all of coworker studies in many Site Profiles. And  
8 so, barring no more subjective decisions from dose  
9 reconstruction for Type S plutonium, so I think  
10 that, we think that this finding should be closed.  
11 And then ---

12 CHAIR MUNN: Paul, can you ask for any  
13 comments or questions with respect to this  
14 particular finding?

15 MR. KATZ: Right. Well, Paul would be  
16 the only one to have questions.

17 CHAIR MUNN: Yes --

18 MEMBER ZIEMER: No comments.

19 MR. KATZ: So should that be closed?

20 MEMBER ZIEMER: Yes.

21 DR. LIPSZTEIN: Then on Finding Number  
22 2, on Page 39, SC&A has asked NIOSH why it has not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 considered Type Super S plutonium for intake  
2 estimation.

3 And the information from TIB-39 was  
4 transferred to TBD-65. And on TBD-65, it is noted  
5 that plutonium at Hanford would have existed as  
6 absorption types M, S and the highly insoluble form  
7 Super S.

8 And also there are some mentions that  
9 Type Super S was present in several buildings, same  
10 activities. That's all information on TBD-65.  
11 But this information was not incorporated into the  
12 coworker model, Type Super S. So we recommend that  
13 this should be done. So this finding should remain  
14 open.

15 DR. NETON: Joyce, this is Jim. I have  
16 a question. I don't know how you would develop  
17 your coworker model for Super S. As you know, it  
18 relies on using the bioassay data and making  
19 certain assumptions.

20 I don't think it's possible to do what  
21 you're saying. I could see using one of the  
22 excretion values that we have in the existing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       coworker models and assuming it's an S, maybe, and  
2       converting it. But I don't see how we would do what  
3       you're suggesting.

4               MS. BRACKETT: This is Liz Brackett.  
5       If I understand the question, OTIB-49, which is  
6       Super S, has a section that gives ---

7               DR. LIPSZTEIN: Yes.

8               MS. BRACKETT: -- directions on how to  
9       apply Super S to coworker intake.

10              DR. NETON: Right. That's what I was  
11       thinking.

12              MS. BRACKETT: So they need to take the  
13       values. They start with the S values that are in  
14       the coworker OTIB and then would apply the  
15       corrections to that for the specific case.

16              DR. LIPSZTEIN: Exactly.

17              DR. NETON: So, in fact, we're doing  
18       what you were suggesting. It's just not, it's in  
19       49. I mean, we're always, you know, if Super S is  
20       considered to be existing in a site, we'll always  
21       use that as an option.

22              DR. LIPSZTEIN: Yes. But it's not in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this one.

2 DR. NETON: Well, you can't really  
3 develop a Super S coworker model. You have to  
4 interpret the data, like Liz said, from the  
5 existing coworker data and then apply the Type S,  
6 Super S correction values for an approach --

7 DR. LIPSZTEIN: Yes, right.

8 DR. NETON: Yes. So I think we're okay  
9 on this one. I don't see that anything ---

10 MEMBER ZIEMER: That's done on a case  
11 by case basis, right?

12 DR. NETON: Correct. I mean, if Super  
13 S is a possible solubility class, it will be dealt  
14 with at a site, such as Hanford. But we'll use the  
15 existing coworker excretion values and apply the  
16 TIB-49 approach.

17 DR. LIPSZTEIN: Yes, right.

18 DR. NETON: So I would suggest that  
19 this is closed.

20 DR. LIPSZTEIN: No, but it's not there.  
21 It's not on the TBD-65. It should say what should  
22 be done on the coworker.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. BRACKETT: But that's the purpose  
2 of OTIB-49; it tells them what to do. That's how  
3 it's done for all of the sites.

4 DR. LIPSZTEIN: Yes, but it's not, you  
5 know, there's not a word about Super S on the  
6 coworker model on TBD-65.

7 DR. NETON: Well, there is no Super S  
8 coworker model. That's correct. You can't  
9 develop a Super S coworker model.

10 DR. LIPSZTEIN: Yes, but the intakes  
11 should be applied.

12 DR. NETON: Well, they are. That's in  
13 TIB-49.

14 DR. LIPSZTEIN: Yes, but then it should  
15 refer to TIB-49. But something has to be said on  
16 the coworker for Hanford, for ---

17 MEMBER ZIEMER: So it sounds like Joyce  
18 is just saying that there perhaps needs to be  
19 something in this document that gives a heads up  
20 on what to do if you find that it's a Super S  
21 individual.

22 And I think Liz was saying that that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 practice -- well, let me ask it a different way.  
2 Do other documents where you would have this  
3 situation specifically call attention to the Super  
4 S issue?

5 DR. NETON: To my knowledge, not in the  
6 coworker model itself, no, not in the coworker  
7 model.

8 MEMBER ZIEMER: Yes.

9 DR. NETON: I think, I would suggest  
10 that, you know, we're trying to fix something  
11 that's not broken. And, you know, if SC&A can  
12 identify cases where this has slipped through the  
13 cracks because of inconsistent or incomplete  
14 guidance, I'd be happy to do that.

15 But I don't know that we're going to fix  
16 anything by doing this. I think we're doing it  
17 very consistently across these Super S sites.

18 DR. LIPSZTEIN: If you have an  
19 unmonitored worker at Hanford, and you go to the  
20 TBD-65, there is no information of what to do with  
21 unmonitored workers that could be exposed to Super  
22 S plutonium, who have intake rates for S and M but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not to Super S.

2 DR. NETON: Well, we don't have that at  
3 any of the other sites that have Super S either.  
4 I mean, like Liz said, it's in TIB-49. I'm  
5 assuming the Hanford site acknowledges that Super  
6 S exists, or the Hanford TBD. And if it does ---

7 DR. LIPSZTEIN: It's acknowledged that  
8 Super S exists but it doesn't say what to do with  
9 the unmonitored worker.

10 DR. NETON: Well, right, but we always  
11 apply all the possible solubility classes to  
12 determine the most claimant-favorable dose.  
13 That's just the standard practice we've adopted  
14 since Day 1. If Super S is among the solubility  
15 classes, it will be analyzed as such.

16 DR. LIPSZTEIN: So it should be said  
17 that on --

18 MR. KATZ: So, Joyce, this is Ted. So  
19 Jim is just trying to tell you this is already  
20 standard practice. It doesn't need to be  
21 referenced in a particular document, because it's  
22 not referenced in any of the particular documents,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the coworker models. In all cases, this is  
2 standard protocol, so it doesn't need to be there.  
3 So, I mean, I think that settles the matter.

4 DR. LIPSZTEIN: Okay.

5 MR. KATZ: Yes.

6 MEMBER ZIEMER: Yes. It's not an  
7 issue that it's not being done or it's going to be  
8 overlooked. If it's standard practice that's  
9 always applied, then I think we're taken care of.

10 Are you okay, Joyce, the rest of SC&A?  
11 Stiver, are you okay on that?

12 DR. LIPSZTEIN: Okay.

13 MR. STIVER: My only concern is that,  
14 you know, the dose reconstructor needs to be aware  
15 that, you know, TIB-49 might apply. And I don't  
16 know. It sounds to me like it's part of their basic  
17 training, even though it may not actually be  
18 referenced or called out in the Technical Basis  
19 Document.

20 But as long as, you know, the  
21 reconstructors know it, and they know to use that  
22 protocol where it's appropriate, then I guess it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doesn't really need to be in the TBD. That's kind  
2 of what I'm getting from it.

3 MS. K. BEHLING: This is Kathy Behling.  
4 I believe that OTIB-49 actually discusses the  
5 Hanford site. I'm not sure if -- there used to be  
6 a list of sites that should be considered for the  
7 Type Super S. But I'm sure that TIB-49 actually  
8 calls out Hanford.

9 MS. BRACKETT: Yes, because that was  
10 used for developing some of the factors in there.  
11 So it is definitely mentioned. And the Hanford TBD  
12 does mention that Super S is applicable. It may  
13 not be specifically in the coworker appendix, but  
14 in the main body, it does talk about Super S needing  
15 to be considered.

16 DR. LIPSZTEIN: Yes. It's not  
17 mentioned only on the part of unmonitored worker.

18 MEMBER ZIEMER: Well, I think we have  
19 reached the point where we have agreed that this  
20 issue is covered by the process. So, Ted, I need  
21 some advice, we can close it on that basis, right?

22 MR. KATZ: Yes. Paul, I think this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fine to close. I mean, I think it was right for  
2 Joyce to raise the issue, to understand this. But  
3 it certainly, it's covered in standard procedure.  
4 So we don't have to worry about dose reconstructors  
5 not addressing it. I mean, this whole --- the  
6 solubility is such a fundamental part of standard  
7 practice.

8 MEMBER ZIEMER: Yes. Well, from my  
9 point of view it can be closed. This is one of  
10 those ones that it's very awkward to have a  
11 Subcommittee where one person makes the decision  
12 because of the conflict of interest.

13 MR. KATZ: Right. No, I understand  
14 that.

15 MEMBER ZIEMER: This may be an issue  
16 that we need to think about for not only this  
17 Subcommittee but others where, well, maybe if they  
18 knew what occurred in this, because it's one of  
19 those groups that covers multiple sites rather than  
20 one site.

21 MR. KATZ: Right. Well, we're also  
22 going to add, I mean, we're going to add a Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to this Subcommittee too, because it's difficult  
2 to have just your quorum as a membership.

3 MEMBER ZIEMER: Right.

4 MR. KATZ: Yes.

5 MEMBER ZIEMER: Okay, let's move on.

6 Are there any other findings that we need to address  
7 on this one?

8 DR. LIPSZTEIN: Yes. Finding Number 3  
9 was, again, the problem of collecting Monday  
10 morning samples.

11 There was an answer from NIOSH in 2009  
12 saying that the majority of the samples were not  
13 collected on Monday. But on the TBD-65, on Page  
14 39, it states that Monday morning only samples were  
15 collected after the early '80s.

16 So if, in reality, as NIOSH answered,  
17 the majority of the samples were not collected on  
18 Monday, then this statement on the TBD should be  
19 changed. Or if this was not true, then Monday  
20 morning samples should be analyzed.

21 But I think NIOSH response was correct,  
22 that the majority of the samples were not collected

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on Monday, although the TBD says that Monday  
2 morning only samples were collected after the early  
3 '80s.

4 MEMBER ZIEMER: Jim, can you respond to  
5 that? Can we clarify that?

6 DR. NETON: Yes. I think this seems to  
7 be a pretty straightforward fix. I mean, we can  
8 add some language to that effect. It shouldn't be  
9 a problem.

10 MEMBER ZIEMER: Okay. So then if it's  
11 agreeable, Joyce, we'll just make that change.  
12 This will be in abeyance until it occurs. And  
13 we'll put it in that category. And, Ted, that  
14 would take care of that, would it not?

15 MR. KATZ: That would.

16 MEMBER ZIEMER: Yes. Okay.

17 DR. LIPSZTEIN: Okay. Then Finding  
18 Numbers 4, 5, 6, 7 and 8, they refer to information  
19 to be incorporated for intake calculation of  
20 strontium-90, Pm-147, zinc-65, sodium-24 and  
21 cesium-137. All the information that was required  
22 is on TBD-65 now. So we think that those findings

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be closed: 4, 5, 6, 7 and 8.

2 MEMBER ZIEMER: So just repeat your  
3 last statement, I ---

4 DR. LIPSZTEIN: Our information  
5 related to those nuclides on Findings 4, 5, 6 and  
6 7 which relate to strontium, zinc, sodium and  
7 cesium. They are now in the new TBD. So we  
8 recommend that they all should be closed.

9 MEMBER ZIEMER: And that makes sense to  
10 me as well. So I agree, we can close that or those.  
11 Thanks. Okay, any others?

12 MS. K. BEHLING: I believe that's the  
13 last finding, those are the last findings, Paul.

14 MEMBER ZIEMER: Say it again.

15 MS. K. BEHLING: That was the last of  
16 the findings.

17 MEMBER ZIEMER: Yes.

18 MS. K. BEHLING: You know, up to eight,  
19 yes. So if you are in agreement, we can move on  
20 to 50?

21 MEMBER ZIEMER: Yes, and bring the  
22 others back, right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right, right. Hopefully,  
2 they haven't gone far.

3 MEMBER BEACH: No. Still here.

4 CHAIR MUNN: Still here. So clarify  
5 for me, is all of OTIB-39 now closed, or did we have  
6 one that was ---

7 MR. KATZ: We have one in abeyance.

8 CHAIR MUNN: Which is number ---

9 MR. KATZ: That's Finding Number 3.

10 CHAIR MUNN: Number 3, yes. Still in  
11 abeyance. Very good. That we'll carry for next  
12 time?

13 MR. KATZ: Right. Well, I don't know  
14 how quickly the sort of language, it depends on when  
15 a document is updated. It usually doesn't happen  
16 that quickly, because it usually gets tied in with  
17 other updates.

18 CHAIR MUNN: That's true. Well, at  
19 least we'll bring it up and ask about the possible  
20 timing. And I know that it's been a short time for  
21 you folks. I have a commitment I have to take care  
22 of. So if you can bear with me, if I say this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a good time to break for lunch.

2 MR. KATZ: Yes. Before you do that,  
3 Wanda, could I just ask Jim or Stu, just in these  
4 cases where we're waiting for an update to show the  
5 finding for a new document, is there some way you  
6 can have a tickler system so that we don't need to,  
7 on the agenda, you know, meeting after meeting, but  
8 we can just raise it when it's ready to be closed.

9 MR. HINNEFELD: Yes. Actually our  
10 tickler system on that is mainly Laurie who keeps  
11 track of changes that are made as they reflect on  
12 items that are in abeyance.

13 MR. KATZ: Okay.

14 MR. HINNEFELD: We just have to get  
15 those on the, you know, in the abeyance portion of  
16 the conversation.

17 MR. KATZ: Okay, thanks. I just think  
18 it's, to hold something on an agenda when really  
19 nothing's going to ---

20 MR. HINNEFELD: Yes. We kind of have,  
21 on the agenda, a period of time when we get to it  
22 where we can bring up, you know, these are things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that have changed that were in abeyance, that we've  
2 made the modification and we think they can ---

3 MR. KATZ: Right.

4 MR. HINNEFELD: -- can be done. And I  
5 suggest we just move it as one of the items in there.

6 MR. KATZ: Yes. Okay.

7 CHAIR MUNN: Otherwise though, the  
8 only time we can see them is when we review the  
9 entire BRS for abeyance and open items.

10 MR. KATZ: Right. Okay. So thanks  
11 for your forbearance, Wanda. And yes, I think we  
12 can then go into recess until, what time do you want  
13 to rejoin?

14 CHAIR MUNN: I'll be back at 1:30  
15 Eastern.

16 MR. KATZ: Okay. Thank you,  
17 everybody. And we'll see you back at 1:30.

18 (Whereupon, the above-entitled matter  
19 went off the record at 12:22 p.m. and resumed at  
20 1:31 p.m.)

21 **OTIB-0050**

22 CHAIR MUNN: Next item on our agenda is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 OTIB-50. It's a TBD review status. SC&A? Is  
2 that you, Kathy?

3 DR. BUCHANAN: This is Ron Buchanan  
4 with SC&A, and I'm working on OTIB-50.

5 CHAIR MUNN: Good.

6 DR. BUCHANAN: And a little background  
7 on this since these are, it's been a while since  
8 we looked. The OTIB-50 was a Rocky Flats neutron  
9 dose guidance, I think around 2005. So it's been  
10 a while since we reviewed it.

11 Now, this was supplemented. Well, it  
12 was cancelled actually. And the information  
13 incorporated into the Rocky Flats TBD 11-6 of 2010.  
14 And so what we did was, we went back to see if the  
15 material that we were concerned about in OTIB-50  
16 was correctly resolved in the new TBD-6 for Rocky  
17 Flats.

18 And we had find -- we had four items  
19 here. And Number 3 had previously been closed.  
20 So we just have three to discuss today: Number 1,  
21 2 and 4. So Number 1 was confusing in the  
22 directions on what neutron dose to use.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, Rocky Flats had a lot of different  
2 terminology in neutron dose. It had the NDRP  
3 document, which had different neutron doses in it.  
4 And so, our concern was how that was worded.

5                   And when it was transferred over to the  
6 new TBD-6, we reviewed that. And we find on Page  
7 249 of the new TBD that it was correctly defined.  
8 And we agree with that. And so, we had no problems  
9 with that.

10                  We did find that in NIOSH's response in  
11 2008, they did use, we think, the wrong  
12 terminology. It doesn't really affect the TBD or  
13 dose reconstruction, but they said, that  
14 non-effective neutron dose is no longer used.

15                  According to the TBD, this is not  
16 correct that the non-effective original dose and  
17 the NDRP dose should be used and the other two not  
18 used. That's just a clarification point on their  
19 response in October 9th of 2008.

20                  So, we have no further issues with that.  
21 We felt it's been clarified and revised TBD for  
22 Finding Number 1.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: So you recommend closure?

2 DR. BUCHANAN: Yes.

3 CHAIR MUNN: Any discussion?

4 MEMBER BEACH: No. This is Josie.  
5 Seems pretty straightforward to me, too.

6 CHAIR MUNN: No additional issues,  
7 Paul?

8 MEMBER ZIEMER: No.

9 CHAIR MUNN: We can close it then?

10 MEMBER ZIEMER: Yes.

11 CHAIR MUNN: Thank you much. Next  
12 item up?

13 DR. BUCHANAN: Okay. Finding Number 2  
14 was the last paragraph in Section 3. OTIB-50  
15 discussed distributions in errors, values. And we  
16 find that this would have been difficult to  
17 implement in the way it was stated in OTIB-50.  
18 This is rather vague.

19 But we find in the TBD Revision 6 of the  
20 Rocky Flats, on Page 49 does provide clarification,  
21 and we agree with that clarification. We just had  
22 one comment on this. We recommend that it be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 closed.

2                   However, we do find in the revised TBD  
3 of 2010, they do use a reference to the old IT-001  
4 on Page 49. They should be using the reference to  
5 the new IT-001 Revision 3.

6                   And so, you know, when you revise TBD-6  
7 again for Rocky Flats, on Page 49, you need to look  
8 at that reference to IT-001 and update it. So we  
9 recommend it be closed.

10                   CHAIR MUNN: And do we -- I'm not seeing  
11 words in the actual record.

12                   MS. K. BEHLING: This is Kathy. I  
13 believe that we want to change your response, Ron,  
14 from in abeyance to closed when I update the BRS.  
15 Is that correct?

16                   DR. BUCHANAN: Yes.

17                   CHAIR MUNN: Okay. For this finding  
18 and Finding Number 1, I believe you had  
19 inadvertently put in to be changed to in abeyance,  
20 rather than to be changed to closed.

21                   DR. BUCHANAN: Oh, okay. Well, my,  
22 didn't I write in there SC&A recommends the item

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be closed?

2 MEMBER BEACH: That's what I'm reading

3 --

4 MS. K. BEHLING: Oh, I'm sorry.

5 MEMBER BEACH: -- under Number 2.

6 DR. BUCHANAN: On one and two, I have  
7 a printout here that shows SC&A recommends items  
8 be closed.

9 MS. K. BEHLING: I'm sorry. I didn't  
10 scroll down far enough. My apologies.

11 CHAIR MUNN: No. That's quite all  
12 right. Thank you. Very good. Unless there's  
13 any comment to the contrary, we --

14 MEMBER ZIEMER: No. And the other  
15 change will, it's going to carry forward though,  
16 that's for a future revision, not for this one,  
17 right?

18 DR. BUCHANAN: Correct. It has  
19 nothing to do with --

20 MEMBER ZIEMER: Yes.

21 DR. BUCHANAN: -- our findings.

22 MEMBER ZIEMER: Yes. So this one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be closed, right.

2 CHAIR MUNN: Josie.

3 MEMBER BEACH: I agree with that too,  
4 Wanda. Sorry.

5 CHAIR MUNN: Okay. We've reviewed it,  
6 agreed with SC&A's recommendations, closed.

7 DR. BUCHANAN: Okay. And Number 3 had  
8 already been closed in the past. So we won't go  
9 into that. Number 4 was instructions on use of the  
10 worker's N over P ratio for unmonitored workers.

11 And the original in TBD-50 they  
12 recommended using a .42 ratio for all the  
13 unmonitored workers, and we questioned that. And  
14 NIOSH did come up with a more elaborate N over P  
15 table.

16 And we find that in the revised TBD-6  
17 on Page 50 that they did include the revised table.  
18 We had reviewed that, and found that it was  
19 appropriate. And it's being used in numerous DRs  
20 today. And so we suggest closing that.

21 CHAIR MUNN: Fine with me. Paul?

22 MEMBER ZIEMER: Yes. All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Yes, I'm in agreement.

2 MEMBER BEACH: And I am, as well.

3 CHAIR MUNN: Very good.

4 DR. BUCHANAN: Okay. That's all the  
5 findings for OTIB-50.

6 CHAIR MUNN: Same response. And with  
7 OTIB-50 we just have, what, one outstanding? Or  
8 are we done with OTIB-50?

9 DR. BUCHANAN: I think we're done. I  
10 think there wasn't any more.

11 CHAIR MUNN: Okay.

12 MEMBER BEACH: They're all closed.

13 MEMBER ZIEMER: Three was already  
14 closed, I think.

15 CHAIR MUNN: Oh, yes. Yes. We had  
16 done that earlier, I remember. All right. Very  
17 good. And we can take that off our list. I'm  
18 rather relieved to see TBD-50 disappearing from our  
19 list. OTIB-60?

20 **OTIB-0060**

21 MS. K. BEHLING: Okay. And this is  
22 Doug Farver. Are you on the line?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FARVER:    Yes.    I'm here, Kathy.  
2                   Okay, OTIB-60.   This is another document that was  
3                   reviewed a little while ago.   And I believe there's  
4                   a few things that are still open that we can discuss  
5                   here.

6                   So, the first finding, I believe has to  
7                   do with IMBA documentation.

8                   MR. KATZ:    Doug, can you just headline  
9                   this?   What does this OTIB deal with?

10                  MR.    FARVER:            Okay.            Internal  
11                  dosimetry.

12                  MR. KATZ:    Thank you.

13                  MR. FARVER:    I'm sorry.

14                  MR. KATZ:    No, that's good.   Thanks.

15                  MR. FARVER:    And Finding 1, the guide  
16                  references NIOSH and ORAU documents but should be  
17                  revised to include IMBA documentation reference.

18                  This was agreed upon a long time ago.  
19                  And was just in abeyance until the new revision was  
20                  issued.   And it's in the new revision.   And we  
21                  suggest closing this item, since it is contained  
22                  in the new revision.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH:   And I'm assuming you  
2                   looked at the new revision and --

3                   MR. FARVER:    Yes.

4                   MEMBER BEACH:   Okay.   Perfect.

5                   CHAIR MUNN:    Paul?

6                   MEMBER ZIEMER:   Close, yes.

7                   CHAIR MUNN:    All right.   Very good.  
8                   Subcommittee agrees with the recommendation.   The  
9                   finding is closed.

10                  MR. FARVER:    Finding Number 2.   Okay.  
11                  This is pretty wordy.   But what the gist of this  
12                  is, is that there was terminology used in the  
13                  document that's very subjective, and could be  
14                  interpreted differently by different people.

15                  That's pretty much what the objections  
16                  1.5 and 4.1 are that we reviewed it to.   And there  
17                  was, at the last meeting there was some issue about  
18                  they wanted clarification on a ten percent number,  
19                  and it was mentioned in a finding.

20                  Well, after going back and reviewing  
21                  the transcript from the previous meeting, it was  
22                  pretty much discussed, and rightly so, that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 problem was just in the general wording of the  
2 document, not the specific number.

3 So I made some suggestions, and I don't  
4 know if you can bring up the assessed file, for  
5 changing the wording a little bit to using terms  
6 that are already defined in the document.

7 For example, instead of using a better  
8 fit, reasonable fit or satisfactory fit, we change  
9 it to the ones that are already in the document like  
10 overestimate, underestimate, best estimate. Just  
11 to make the wording consistent, because those terms  
12 already are defined in the document.

13 CHAIR MUNN: Okay.

14 MR. FARVER: Okay.

15 CHAIR MUNN: Okay.

16 MR. FARVER: And that's pretty much why  
17 I just have some edits there under that Word  
18 document that NIOSH might want to take a look at,  
19 and see if they agree with that. That might be a  
20 better way to resolve the subjective wording.

21 CHAIR MUNN: So we carry that  
22 particular finding? Then it's a three, right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. FARVER: Three.

2 MR. KATZ: Do you want to hear back from  
3 NIOSH?

4 MR. FARVER: If they have a chance to  
5 take a look at it, that would be fine.

6 DR. NETON: This is Jim. I looked at  
7 this a while ago, and I really don't remember.  
8 We'd have to go back and look at it. So I would  
9 recommend we just hold that open for now.

10 CHAIR MUNN: We will.

11 DR. NETON: Unless someone else from  
12 NIOSH has got more insight into this than I do.

13 MS. BRACKETT: Well, Jim, if you don't  
14 mind, I could speak.

15 DR. NETON: Sure.

16 MS. BRACKETT: I took a look at it and  
17 I think the wording sounds fine. It makes sense.

18 MEMBER BEACH: The suggested wording?

19 MS. BRACKETT: Yes.

20 MEMBER BEACH: Oh, yes.

21 CHAIR MUNN: Any thoughts to the  
22 contrary? Can we accept that as acceptance from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NIOSH?

2 DR. NETON: Yes. I think that's fine.  
3 And maybe just label this in abeyance, maybe.

4 CHAIR MUNN: Then it goes to abeyance.  
5 And we won't require anything from NIOSH. All  
6 right.

7 MR. FARVER: Okay. On to Finding 3.

8 CHAIR MUNN: Oh, I thought that was  
9 three.

10 MR. FARVER: No, that was two.

11 CHAIR MUNN: That's two, okay. Thanks  
12 for correcting me.

13 MR. FARVER: I believe the last status  
14 of this, we're waiting for some ICRP changes, so  
15 that it could be incorporated into the document.  
16 And I believe that is, the status is still unchanged  
17 on that. So it's still in progress.

18 MS. BRACKETT: This is Liz Brackett  
19 again. I don't know what ICRP change we were  
20 waiting for. But that, the cited document, the  
21 ICRP was a draft.

22 And the ICRP decided several years ago

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they were not going to issue that document because  
2 they had a lot of comments that were unfavorable.  
3 And they decided just to not go ahead with it. So,  
4 I don't believe that that document will ever be  
5 published.

6 MR. FARVER: Okay.

7 DR. LIPSZTEIN: Which document is  
8 this?

9 CHAIR MUNN: An ICRP from 2006.

10 MS. BRACKETT: Recommendations on  
11 assessing bioassay. What was it called? It was  
12 a, like a supplementary. I forget the word they  
13 used for it, but more of a guidance document than  
14 a technical.

15 DR. LIPSZTEIN: Yes. I think what  
16 happened is just ICRP just issued a new document  
17 from occupational intakes of radiation. And it's  
18 OIRCs. And all the bioassay are going to be on the  
19 complementation of the Cs. So the first C is just  
20 a general one. And it was already published last  
21 year, 2015. And the other ones are going to be  
22 published this year and next year. Then they will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have the bioassay on each document.

2 DR. NETON: Joyce, are you talking  
3 about ICRP-130?

4 DR. LIPSZTEIN: Yes.

5 MS. BRACKETT: Yes. It was not part of  
6 that. This was something separate. It was not  
7 one of those. Like I said, I can't remember what  
8 they called these. This is a particular --

9 DR. LIPSZTEIN: Yes, yes. I know.  
10 Because it wasn't on that group. It was related  
11 to bioassay. There was a bioassay group. And  
12 then they decided that it was going to be part of  
13 each of the OIRCs, which are going to be published  
14 now. So this wasn't published. You were right.

15 MR. KATZ: This is Ted. Can I just ask  
16 to back up a bit? Why is there a finding on an ICRP  
17 that's not published? Because, I mean, the  
18 program is not supposed to be operating on  
19 unpublished ICRPs. And it, in fact, takes a while  
20 to even start up with them once they are published.

21 MR. FARVER: I understand. And that  
22 was part of the NIOSH response back in October of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 2008, to which we replied that we accept it and  
2 recommend closure. And for some reason it was not  
3 closed, because NIOSH was providing comments to the  
4 ICRP committee.

5 MR. KATZ: Okay.

6 MR. FARVER: And they said that the  
7 ICRP is in progress but has not published. The  
8 Work Group changed the status to in progress.

9 MR. KATZ: Okay. Well it, so it sounds  
10 like it should have just been closed way back when.

11 MR. FARVER: If it's never been  
12 published, and no intention of, then just, that's  
13 fine.

14 MR. KATZ: Yes. Even if there was  
15 intention to publish it, it's still not something  
16 the program can be held to.

17 MR. FARVER: No, no, no. The, only if  
18 it was published already.

19 MR. KATZ: Right, right. And even  
20 then, there's a startup time.

21 MR. FARVER: Right.

22 MR. KATZ: But, okay. Okay. So --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH:    So, this is Josie.   Was  
2                   there a reference to it in the document OTIB-60?  
3                   Is that why it became an issue?

4                   CHAIR MUNN:    No.

5                   MEMBER BEACH:        Because of the  
6                   reference?

7                   MR. FARVER:    No, no.  There's a --

8                   MEMBER BEACH:    Okay.

9                   MR. FARVER:        -- reference in our  
10                  review.

11                  MEMBER BEACH:    Okay.

12                  MR. FARVER:    And during the discussion  
13                  it was determined that they'll follow it when it  
14                  comes out.

15                  MEMBER BEACH:        Okay.  That makes  
16                  sense.

17                  MR. FARVER:    And it was going to come  
18                  out but it never did.

19                  MEMBER BEACH:    Okay.

20                  MR. HINNEFELD:   This is Stu Hinnefeld.  
21                  A little of the history here is, one of the  
22                  Subcommittee Members at the time, Mark, asked to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 see the comments, sort of offhand. And the  
2 comments are not project comments, you know.

3 NIOSH didn't make the comments.  
4 Contractors to NIOSH made the comments, but not  
5 during their work on the project. So they're not  
6 even project comments. So I really think this  
7 should just be closed, and that whole issue of the  
8 comments should go away.

9 CHAIR MUNN: Yes, I agree. What I feel  
10 needs to happen, though, is I think we need the  
11 appropriate words here in this closure to that  
12 effect. Oh, I need to comment that --

13 MR. HINNEFELD: If you would like I  
14 will send some proposed words to Kathy offline, and  
15 copy the Subcommittee Members so they can make  
16 suggestions.

17 CHAIR MUNN: If you would, please, Stu,  
18 that would be ideal.

19 MR. HINNEFELD: Okay.

20 CHAIR MUNN: All right. Good. We'll  
21 look forward to that. And for the time being we'll  
22 leave it sitting the way it is. When we get Stu's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        comments and SC&A's agreement to that, we'll  
2        incorporate them into the BRS. And at that time,  
3        if they are acceptable, I will ask the Board Members  
4        if they concur that it can now be closed.

5                MR. KATZ: Well no. You can't close  
6        offline.

7                CHAIR MUNN: No.

8                MR. KATZ: But I think you can close now  
9        and agree to the wording offline.

10                MEMBER ZIEMER: Right. That was a  
11        question I was just going to ask. I don't think  
12        we can take the action. I think we could  
13        distribute them, and then close it at the next  
14        meeting.

15                MR. KATZ: Yes. If you agree in  
16        concept that future ICRP changes are not fair game,  
17        then I think you can close it now, and agree to the  
18        exact wording afterwards.

19                MEMBER ZIEMER: Well, was there an  
20        issue on the way things were already being done?

21                CHAIR MUNN: I don't think so. I think  
22        that the issue was very easy. Mark wanted to see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the comments.

2 MR. HINNEFELD: Yes. The issue was  
3 this draft ICRP document apparently said that  
4 bioassay is log-normally distributed and our  
5 document says it's normally distributed. And so,  
6 that gave rise, I believe, to the finding.

7 We pointed out that that ICRP document  
8 was never issued. And everybody was good, and it  
9 was recommended to be closed. SC&A recommended it  
10 be closed, but Mark asked to see the comment. So  
11 it wasn't closed for that reason.

12 MEMBER ZIEMER: Okay. I think we  
13 should just close it.

14 MEMBER BEACH: I agree.

15 CHAIR MUNN: I'll accept that. As I  
16 said to begin with, we need words in here explaining  
17 that.

18 MR. HINNEFELD: But, Wanda, you can  
19 close it and agree to the words --

20 CHAIR MUNN: Offline.

21 MR. HINNEFELD: -- offline, right?

22 CHAIR MUNN: That's fine. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fine. Yes. Everybody happy with we can close it?  
2 I'm certainly happy with it. Just wanted to make  
3 sure that the right words got there. And we'll  
4 expect those from Stu. So I agree it's closed.  
5 Both Paul and Josie agree it's closed.

6 MEMBER BEACH: Yes.

7 CHAIR MUNN: We will record it as  
8 closed. And I will be responsible for seeing that  
9 Stu gets back to me with some words that we can have  
10 Kathy insert at a later date. Next?

11 MR. FARVER: Okay. Next one is  
12 Finding 4. The OTIB would benefit from  
13 explanations of certain terms, like fitting  
14 bioassay results and assignment of missed and  
15 unmonitored dose and so forth.

16 And it was discussed in previous  
17 meetings, and agreed upon that those would be  
18 helpful. And it was in abeyance waiting until the  
19 OTIB was revised. The OTIB has been revised. And  
20 therefore, we recommend closing this finding.

21 CHAIR MUNN: No problem here. Any  
22 problem from any Board Member?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: No.

2 MEMBER ZIEMER: No. No problem.

3 CHAIR MUNN: Any discussion from any  
4 party? If not --

5 MEMBER ZIEMER: No.

6 CHAIR MUNN: Yes?

7 MEMBER ZIEMER: No.

8 CHAIR MUNN: Somebody? Then it's  
9 closed.

10 MEMBER ZIEMER: No discussion here.

11 CHAIR MUNN: Excellent. Four is  
12 closed. Next?

13 MR. FARVER: Next is five. There was  
14 some discussion about the guidance on the uniform  
15 relative air, how it could benefit from additional  
16 information.

17 And throughout the different meetings  
18 and responses, it was agreed that they would revise  
19 the OTIB and incorporate some more information for  
20 the dose reconstructors during training sessions  
21 and group meetings and so forth. And they did so  
22 in the revised document.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And they also include a bunch of OTIBs,  
2                   like 11 OTIBs relating to internal dosimetry  
3                   guidance documents, which is very helpful also.  
4                   So I believe we can close this one also.

5                   CHAIR MUNN: Any objection?

6                   MEMBER BEACH: Part of that was --

7                   MEMBER ZIEMER: No objection.

8                   MEMBER BEACH: -- whether the modeling  
9                   -- oh. Part of that was whether the modeling was  
10                  claimant-favorable. And you found that the new  
11                  wording and modeling is claimant-favorable?

12                  MR. FARVER: Well --

13                  MEMBER BEACH: Is that correct, Doug?

14                  MR. FARVER: And apparently it has been  
15                  changed a little bit through the discussions, that  
16                  they were going to add more guidance to their  
17                  instructions.

18                  So that it, the dose reconstructors  
19                  understood that it may not be claimant-favorable.  
20                  And to, you know, to be trained to see that and  
21                  recognize that, and deal with it.

22                  MEMBER BEACH: Okay. And you feel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 like it meets that objective now?

2 MR. FARVER: Yes.

3 MEMBER BEACH: I just want to be, I  
4 wanted to be sure. Thank you.

5 CHAIR MUNN: Finding 6.

6 MR. FARVER: Finding 6. I'm trying to  
7 find the status on that. Is that one closed  
8 already?

9 CHAIR MUNN: It says so.

10 MR. FARVER: Okay.

11 CHAIR MUNN: At least it's  
12 recommended.

13 MEMBER BEACH: Well, it says addressed  
14 in findings.

15 MS. K. BEHLING: It's currently  
16 addressed in finding, yes.

17 MR. FARVER: So it goes back to Finding  
18 3, I believe, which --

19 MEMBER BEACH: Yes.

20 CHAIR MUNN: Which we just closed.

21 MR. FARVER: Yes, yes. Okay. So that  
22 can be closed also. I didn't think there was any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 action on that one.

2 MR. KATZ: Doug, is it a duplicate?  
3 I'm not understanding.

4 MR. FARVER: It looks like it's all  
5 part of just incorporating more guidance into the  
6 document.

7 CHAIR MUNN: I guess if we read the  
8 finding itself, it would be helpful. That should  
9 clear up the question. So Finding 6.

10 MR. FARVER: Okay. I can read it for  
11 you. It's very brief. Error Distribution  
12 Section 5.2.5.3 of the guidance states individual  
13 bioassay results are assumed to be normally  
14 distributed. This may not be true in all cases.  
15 But I think this goes back to the whole discussion,  
16 is this normal or log normal, and so forth, which  
17 I thought was Finding 3.

18 CHAIR MUNN: Yes.

19 MR. FARVER: Yes.

20 CHAIR MUNN: The one we just closed.  
21 We're waiting for Stu's words.

22 MR. FARVER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes. I'm certainly in  
2 favor of closing it. Any, Paul?

3 MEMBER ZIEMER: I agree with closing  
4 it.

5 CHAIR MUNN: Josie?

6 MEMBER BEACH: Yes. I agree also.

7 CHAIR MUNN: This one is closed.  
8 Next, Number 7.

9 MR. FARVER: Seven is closed, I  
10 believe.

11 CHAIR MUNN: Let's see. It's already  
12 closed?

13 MR. FARVER: It's already closed, yes.

14 CHAIR MUNN: Okay.

15 MR. FARVER: And that's the last one  
16 for OTIB-60.

17 **PROC-0042 - OTIB-0064 STATUS**

18 CHAIR MUNN: Yay. So, we have only two  
19 and three that we have anything outstanding on, and  
20 three itself is closed. All right. Very good.  
21 Next up, PROC-42 and the OTIB-64 status. NIOSH?

22 MR. SMITH: This is Matthew Smith with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ORAU Team. As I jumped through the BRS just on my  
2 own, I did not see any open active findings on  
3 Procedure 42, and I didn't see any findings at all  
4 on OTIB-64.

5 The issue with these two publications  
6 is very similar to what Ron Buchanan went over  
7 earlier in the session regarding OTIB-13 and OTIBs  
8 44 and 64.

9 Procedure 42 was a document that  
10 implemented the technical guidance given in  
11 OTIB-13. And again, this was an early coworker  
12 methodology for Y-12. It was specific to Y-12,  
13 where again, as Ron mentioned earlier, we were  
14 using a scaling factor to adjust coworker dose  
15 data.

16 With the publication of OTIB-64, that  
17 retired both Procedure 42 and OTIB-13. So, the  
18 recommendation would be to, I'm not sure what we  
19 would close, because I don't know what was open or  
20 active, but.

21 CHAIR MUNN: Thank you, Matt.

22 MR. SMITH: If there was any issue with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Procedure 42, those issues would go away due to its  
2 retirement, because of the publication of OTIB-64.

3 CHAIR MUNN: Since I have not checked  
4 those documents personally myself, and our past  
5 findings on them, if it's all right with the rest  
6 of the Subcommittee, I will take it upon myself to  
7 offline check those, and see if I, like Matt, don't  
8 find anything outstanding on them, and will get  
9 back to you at our next meeting as to whether or  
10 not I've found something that I thought was  
11 following up. I'll also check the, it's been a  
12 while since I read the minutes of our previous  
13 meeting. Yes.

14 MEMBER BEACH: Look, they're all in  
15 abeyance. They look straightforward. But I  
16 agree that you should probably take a look if you  
17 haven't.

18 CHAIR MUNN: Yes, I will. I have not.  
19 And, but I'll check the minutes to see what we said  
20 last time because frankly I don't remember.

21 But whatever we expressed as a concern  
22 last time, I'll see if it's worthy of our attention

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       again.  I'll have -- either have it on the agenda  
2       or send you a note to the effect that I've taken  
3       a look at it, and like Matt, couldn't find anything.

4               MR. KATZ:  Right.  But if they're in  
5       abeyance then they do need closure.

6               CHAIR MUNN:  Yes.

7               MEMBER BEACH:  So pretty much, Wanda,  
8       all of them say PROC-042 has been cancelled, then  
9       the current guidance used to evaluate and assess  
10      internal, external, excuse me, coworker data at  
11      Y-12 is prescribed in OTIB-064.  I believe they all  
12      say that.

13              CHAIR MUNN:  Yes.  I'll double check  
14      to make sure that there's nothing in either  
15      document.

16              MS. K. BEHLING:  And, this is Kathy  
17      Behling.  So, are you, am I understanding that --  
18      we have not been tasked to review OTIB-64 yet.  So,  
19      are you suggesting, Wanda, that you will go in and  
20      look and ensure that our, these in-abeyance  
21      findings have been properly addressed in OTIB-64,  
22      or?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: No. I won't do that.

2 MS. K. BEHLING: Okay.

3 CHAIR MUNN: What I'll do is try to  
4 identify what we've outlined as a problem in our  
5 past discussions.

6 MS. K. BEHLING: Okay.

7 CHAIR MUNN: And so I'll report that  
8 back. So, thanks.

9 MEMBER BEACH: So, I'm hearing, you're  
10 going to carry this on the next agenda then?

11 CHAIR MUNN: Yes. I'll carry it on the  
12 next agenda because I don't feel comfortable  
13 personally in closing it, or making any statement  
14 about it until I've spent more time than I have this  
15 past week.

16 MEMBER BEACH: So it's not appropriate  
17 to task SC&A to review 64 at this time?

18 CHAIR MUNN: I would -- I think that's  
19 a separate question.

20 MEMBER BEACH: Oh, okay, yes. And  
21 it's on their list of --

22 CHAIR MUNN: Yes. Yes, we'll --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Okay.

2 CHAIR MUNN: We'll address that when we  
3 get to it, if we're actually going to discuss this  
4 at length.

5 MR. KATZ: Well, this is Ted. I mean,  
6 if it's to review 64 to the extent to be sure that  
7 the findings on PROC-42 were addressed, I think you  
8 can go ahead and do that.

9 CHAIR MUNN: Oh, yes.

10 MR. KATZ: As opposed to reviewing 64  
11 across the board for everything, which would be a  
12 tasking by the Board. But --

13 CHAIR MUNN: Yes.

14 MR. KATZ: -- if you're just wanting to  
15 follow-up on these findings, and SC&A hasn't looked  
16 at how they were resolved, I'm not sure why that  
17 didn't happen. Because that's just part of the  
18 task, to see --

19 CHAIR MUNN: Yes.

20 MR. KATZ: -- how they were handled in  
21 the follow-up document. But certainly that's  
22 already tasked, in effect.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes, yes.

2 MR. KATZ: Because that's what you do.

3 CHAIR MUNN: That's what I was  
4 thinking. I didn't see that as being a special  
5 tasking by our --

6 MR. KATZ: So, Kathy, is there some  
7 reason for this, that these aren't followed up on?

8 MS. K. BEHLING: No. I guess I sort of  
9 held back because I wasn't sure if we needed to  
10 actually be tasked with reviewing OTIB-64. That  
11 was my fault that I didn't, that we didn't follow  
12 through with that. I didn't know, I was going to  
13 wait until this meeting to ensure that we should  
14 go forward.

15 MR. KATZ: I see. Well, no, I mean,  
16 like I said, I mean, when you have a finding that's  
17 open until you, that's in abeyance, awaiting the  
18 next document, we always do that. We go to the next  
19 document and see if that abeyance matter --

20 MS. K. BEHLING: Okay.

21 MR. KATZ: -- was resolved as agreed  
22 upon in the discussions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Okay. Understood  
2 that we have the follow-up action to --

3 MR. KATZ: Thank you.

4 CHAIR MUNN: Yes. That will be great.  
5 Because the recommendation that we have on our list  
6 anyhow is for representative claims, some to  
7 suggest for, not this kind of thing. So, okay.

8 MR. KATZ: So, and, Kathy, when you do  
9 that then, I mean, if there's a whole large other  
10 matters that are addressed that haven't been looked  
11 at by SC&A, then you can report that as well at that  
12 time, so that the Procedures Subcommittee could  
13 make a recommendation to the Board about reviewing  
14 the rest of that.

15 MS. K. BEHLING: Okay.

16 DR. NETON: Yes, Kathy, this is Jim. I  
17 think that's what you're going to find. Because  
18 OTIB-64, I think, has a very different methodology  
19 than was, that was used in the Procedure 44.

20 MS. K. BEHLING: Okay.

21 DR. NETON: It's not like just fixing,  
22 you know, the things that were found. I mean,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there were, I think it's a whole different  
2       approach. It's more of our standard coworker  
3       model approach.

4                   CHAIR MUNN: Okay.

5                   DR. NETON: Whereas PROC-44 had a bunch  
6       of different stuff in it.

7                   MR. KATZ: Okay. Well, if you think  
8       that's an appropriate procedure to get reviewed,  
9       then I think the Subcommittee can make that  
10      recommendation at our August Board Meeting.

11                  CHAIR MUNN: Well, we have it in front  
12      of us as part of our recommendations with, at least  
13      as far as --

14                  MR. KATZ: Yes.

15                  CHAIR MUNN: We can do it now or then.

16                  MR. KATZ: Well, you can, I mean, you  
17      can, the Board doesn't meet until -- well, the Board  
18      has a teleconference. But it's not set up to deal  
19      with these taskings.

20                  CHAIR MUNN: True.

21                  MR. KATZ: So, it would be August when  
22      the Board would task it, right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes.

2 MR. KATZ: Yes.

3 CHAIR MUNN: It seems to me.

4 MS. K. BEHLING: And one other item.

5 This is Kathy again. I should have mentioned this  
6 back on our very first item, and maybe Ron Buchanan  
7 can help me out here.

8 The OTIB-13, I believe, did we say that  
9 a lot of our findings were transferred over to  
10 OTIB-44? And, I don't know that we have reviewed  
11 OTIB-44 in light of 13, or am I wrong there? Ron,  
12 can you --

13 DR. BUCHANAN: I believe that we did  
14 44. I'd have to go back and look at that.  
15 Forty-four has replaced, then, 64 for coworkers.  
16 OTIB-44, Section 7.4 and 7.5, I have here a note  
17 on Number 3.

18 I say that -- yes, I quote several  
19 sections in 7. And this has been years, remember,  
20 since we've done this. That Section 7.5, OTIB-44  
21 has been addressed, this issue from OTIB-13 and 7.

22 Yes, I think that we have, I have at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 least looked in OTIB-44 to see if what they said  
2 was carried over or addressed from our question in  
3 OTIB-13.

4 Now whether we were tasked to do a  
5 complete review of OTIB-44, I don't know. But I  
6 did look at it in light of our questions from  
7 OTIB-13. But we would have to check to see if the  
8 Board tasked us with a complete review of 44. And  
9 I'm sure they have it with 64.

10 MR. KATZ: Right, right. Well, so  
11 what I was saying applies here, too. When you look  
12 at that, if you see that there are stretches of  
13 guidance that address approaches that you guys  
14 haven't looked at before, then you can make that  
15 recommendation to the Subcommittee, or to the Board  
16 in August, explaining what it is that hadn't been  
17 reviewed before that's a new approach, and then the  
18 Board can take it up.

19 MS. K. BEHLING: Okay. And I'm, as you  
20 may have seen if you're looking at the screen, I  
21 did go back through the OTIBs. And I didn't see  
22 OTIB-44 identified on the BRS system. So, Ron,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe you can look into that a little further for  
2 us.

3 DR. BUCHANAN: Okay.

4 MS. K. BEHLING: Thank you.

5 CHAIR MUNN: You know, that's, it's a  
6 mystery.

7 MR. SMITH: Well, this is Matt Smith  
8 again with ORAU Team. I'll just -- I'll reiterate  
9 again that the method in OTIB-13, which has been  
10 expounded on in Procedure 42, is a methodology for  
11 coworker -- external coworker dose that's no longer  
12 used.

13 CHAIR MUNN: Yes.

14 MR. SMITH: OTIB-64 implements what's  
15 written up in OTIB-20. And I know we've discussed  
16 OTIB-20 a lot.

17 CHAIR MUNN: Yes.

18 MR. SMITH: And the coworker studies  
19 that stem from that, we've discussed those a lot  
20 as well. And that is what OTIB-64 is.

21 MR. KATZ: Okay. Well, that may  
22 resolve it then. And it may not need reviewing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But SC&A anyway can follow-up --

2 DR. BUCHANAN: Right.

3 MR. KATZ: -- and keep in mind what Matt  
4 explains.

5 MS. K. BEHLING: Okay.

6 MR. KATZ: And let us know. Thanks.

7 CHAIR MUNN: Good. Anything else to  
8 address on that item? Alright. We're moving on  
9 then to RPRT-44. Looks like everybody should have  
10 a hand in this.

11 DR. LIPSZTEIN: Should I start?

12 CHAIR MUNN: Sure. As far as I'm  
13 concerned. Unless your team has something,  
14 thoughts on this.

15 MS. K. BEHLING: Joyce.

16 DR. LIPSZTEIN: Okay.

17 MS. K. BEHLING: You start it, Joyce.

18 **RPRT-0044**

19 DR. LIPSZTEIN: Okay. The, that was  
20 not real apparent with this report, is that there  
21 is a newer document from NIOSH that was issued in  
22 2014. So it's let me say that the -- this report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is for analysis of bioassay data, with a  
2 significant fraction of less than results.

3 And the methods for analyzing data sets  
4 that are dominated by sensitive results are  
5 presented in this report. And the statistical  
6 methods that are proposed are based on sound  
7 statistical methodology. And the material was  
8 very well presented.

9 The application of this data is the --  
10 all the findings by SC&A referred to the  
11 application of this model. At the same time, in  
12 2014, a newer document for coworker dataset was  
13 presented. And that's RPRT-53, Revision 2,  
14 analysis of stratified coworker datasets, that was  
15 issued in 2014.

16 And this document was complemented by  
17 a NIOSH White Paper, that draft criteria there for  
18 the evaluation and use of coworker datasets in  
19 2015. And Jim Neton was the author of that  
20 document.

21 So, many of the questions and the  
22 findings that we had on this document 44, they are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 answered satisfactorily in the newer document.

2 So, what SC&A proposed we commence is  
3 that NIOSH should review this document 44 as a  
4 standalone document, after document 53 was  
5 published.

6 So, if you want, I can go finding by  
7 finding. But even Tom Labone has answered that  
8 some of those questions are already answered in the  
9 new document.

10 CHAIR MUNN: So, how to proceed? Jim  
11 --

12 MEMBER ZIEMER: Which new document is  
13 the --

14 DR. LIPSZTEIN: Is RPRT --

15 DR. NETON: It's RPRT-53.

16 DR. LIPSZTEIN: Yes.

17 CHAIR MUNN: RPRT, document 52.

18 MEMBER ZIEMER: Which was it?

19 MEMBER BEACH: It's 0058, Rev 2.

20 MEMBER ZIEMER: 0058 Rev 2?

21 DR. NETON: Well, no, 53.

22 MEMBER ZIEMER: 53?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: 53, Rev 2, yes. 2014.

2 MEMBER ZIEMER: Oh, yes.

3 DR. LIPSZTEIN: And that, plus a White  
4 Paper by Jim Neton on draft criteria for evaluation  
5 and use of coworker datasets. One is a  
6 complementation of the other.

7 So, because all the questions we had was  
8 with the example that was done, that was presented  
9 on 44 about the representativeness of the datasets  
10 for workers, in all workers, job sites, time,  
11 patterns, and, like for example, in many datasets  
12 you would have just one year was very, or just one  
13 set of data that would have high results. And all  
14 the others would be less than.

15 Or samples, workers that were sampled  
16 less frequently, and others that had a higher  
17 percentage of data. And then the data would be  
18 used for all workers, without separating it.

19 And all those patterns they are result  
20 in this new document, 53. So, I think that, you  
21 know, this particular document should be reviewed,  
22 taking into consideration that this new document

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was published. And this other document has been  
2 discussed extensively. And it's very good.

3 CHAIR MUNN: Okay. So --

4 DR. NETON: Yes. This is Jim. This  
5 is sort of held up in these coworker model issues  
6 that we've been dealing with. And Joyce is right,  
7 you know, the stratified data set RPRT-53 answered  
8 a lot of questions.

9 And then the imp guide went ahead and  
10 addressed the representativeness, and all those  
11 other factors that are brought up in RPRT-44. It  
12 really didn't have, the findings on RPRT-44 had  
13 less to do with how we analyze the bioassay data  
14 versus, you know, did we have a representative set  
15 of bioassay data to start with. And that's sort  
16 of what's balled up in 53 and the imp guide, the  
17 coworker imp guide.

18 MEMBER ZIEMER: Is 44 officially off  
19 the record, or officially, you know, sort of --

20 CHAIR MUNN: Superseded?

21 MEMBER ZIEMER: -- not used anymore?

22 CHAIR MUNN: Yes. Superseded.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:    So, we're just left  
2                   with closing it out.  Is that what you're saying?

3                   DR.   LIPSZTEIN:        The   statistical  
4                   analysis in 44, it's okay.  It's the method in 04,  
5                   when you have very few data with high results, and  
6                   a lot of datas with less than results.  The  
7                   statistical part is very good.  It's okay.

8                   MEMBER ZIEMER:    Yes.  Well, I'm just  
9                   asking --

10                  DR.   LIPSZTEIN:    The problem is the  
11                  implementation that we -- it doesn't carry out to  
12                  53.  I think both have to be.  But all the  
13                  implementation of it should be reviewed in place  
14                  of 53.

15                  MEMBER ZIEMER:    Got you.

16                  DR.   LIPSZTEIN:    Of the new document.

17                  DR. NETON:    Yes.  The findings against  
18                  RPRT-44 really had nothing to do with the  
19                  statistical methodology --

20                  MEMBER ZIEMER:    Right.

21                  DR. NETON:    -- that was put forth.

22                  MEMBER ZIEMER:    Got you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: It had to do with can you  
2 really use, how do you know that you're using on  
3 a really representative dataset. And that brings  
4 in all the coworker issues.

5 MR. KATZ: But what's left on the table  
6 then, Jim, since there is RPRT-53, plus your  
7 supplemental, your White Paper?

8 DR. NETON: Well, I think it was, yes,  
9 only that. But I think Joyce is right in the sense  
10 that we should probably do a cross walk against  
11 those findings, and demonstrate where they were  
12 addressed in 53 and the imp guide. Maybe that's  
13 the -- I don't know how many findings there were.  
14 I haven't looked at this in a while. But there are  
15 findings that are relevant more to the imp guide  
16 in RPRT-53 now. Because that was written  
17 specifically to address those types of issues.  
18 And there's nothing absolutely wrong with RPRT-44,  
19 at least technically.

20 CHAIR MUNN: Yes.

21 MEMBER ZIEMER: Yes. So in essence  
22 though all we would need to do would be to close

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the 44 issues, and make a statement pertaining to  
2 whatever, however that comes out in the cross walk  
3 then.

4 DR. NETON: Yes. I think so.

5 DR. LIPSZTEIN: I think one, there is  
6 an example there of the implementation of the  
7 statistical matters, should say that this  
8 statistical matters should be implemented together  
9 with the instructions on the new document,  
10 something like that. Or should be --

11 DR. NETON: Well, I think --

12 DR. LIPSZTEIN: -- used together with  
13 53, or something like that.

14 DR. NETON: I would agree with that. I  
15 think some statement to the effect that this  
16 statistical method should be applied in accordance  
17 with the representativeness defined in those other  
18 document, or something to that effect. That's  
19 what would really need to be done.

20 CHAIR MUNN: So we, do we not need a  
21 statement from NIOSH to get -- is NIOSH going to  
22 do that comparison for us, and give us words that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tell us that that comparison has been made, and that  
2 the two documents combined together meet the  
3 requirements of both the agency and the contractor?  
4 So that we can agree to that.

5 DR. NETON: Yes. I think so. I'm  
6 trying to see how many findings were here on 43.  
7 I know, I don't have that.

8 CHAIR MUNN: Well, we have at least 16  
9 showing up here.

10 DR. NETON: Fifteen?

11 CHAIR MUNN: Sixteen showing.

12 DR. NETON: Sixteen findings.

13 CHAIR MUNN: I'm not sure what the  
14 status is.

15 DR. NETON: Yes.

16 DR. LIPSZTEIN: But the ones that were  
17 not closed were four findings.

18 DR. NETON: Oh really? There's just  
19 four?

20 DR. LIPSZTEIN: Yes.

21 DR. NETON: Okay. Yes. We could, we  
22 can, I think that's manageable. Sometimes when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you get --

2                   DR. LIPSZTEIN:   And --

3                   DR. NETON:    Yes.

4                   DR. LIPSZTEIN:   And some, one of the  
5       findings, even Tom Labone has said, oh, this is  
6       already on 53.

7                   DR. NETON:    Yes.   You know, because we  
8       brought in this time-weighted OPOS and all kinds  
9       of stuff since --

10                  DR. LIPSZTEIN:   Yes, right.

11                  DR. NETON:    And, yes.   We can, I think  
12       it would be good for us to go through and look at  
13       these four remaining findings.   And just sort of  
14       cross-walk them somehow.

15                  And keep in mind though that the IMP  
16       guide is still a draft document to begin with, I  
17       mean, so it might be a little difficult to do that,  
18       but we can try.

19                  CHAIR MUNN:    Okay.   We'll carry it  
20       with that expectation, and hope to have an  
21       opportunity to do that before too long.   Okay.   Is  
22       there anything else to be said, or to worry about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with respect to RPRTs 44 and 53? Or shall we move  
2 on? Move on to PERs? Because we have a gaggle of  
3 them. Shall we start with 57? Assignment of  
4 review cases.

5 MR. KATZ: That's Bob Anigstein.

6 CHAIR MUNN: Okay. Are you with us,  
7 Bob?

8 MR. KATZ: Is Bob on the phone?

9 CHAIR MUNN: I thought I heard him  
10 earlier.

11 MR. KATZ: No. He was this morning.  
12 Kathy is, or John Stiver. Maybe we need to circle  
13 back to this and hunt down Bob.

14 MS. K. BEHLING: Yes. If someone can  
15 contact --

16 MR. STIVER: The only problem I'm  
17 seeing is work phone is not working. So let me call  
18 him on his cell.

19 MS. K. BEHLING: I was going to say, his  
20 phone, he was having difficulty with his phone.

21 MR. KATZ: Oh, got it.

22 MR. STIVER: Yes. Let me see if I can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 raise him, and get him on the line.

2 MR. KATZ: Yes. We can just circle  
3 back to this one.

4 CHAIR MUNN: All right. PER-3, open  
5 item status for NIOSH.

6 **PER 003**

7 MS. K. BEHLING: PER-3 is, that's mine,  
8 I believe. Hold on one second. Let me see if I  
9 can pull that up. Okay, yes. And actually,  
10 Findings Number 1 and 2 are closed. And Finding  
11 Number 3 was in abeyance.

12 And the issue with Finding 3 is that we  
13 initially had suggested that the TBD should have  
14 a reference to the IREP user's guide. And NIOSH  
15 responded by questioning the relevance of making  
16 this change.

17 And as we've been talking, these are all  
18 very old findings. And at this point we agree that  
19 there's really no impact on, you know, making that  
20 kind of a change. And so, we feel that, we're  
21 recommending that we close this finding.

22 CHAIR MUNN: All right. Do we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 words saying that in our response here in the BRS?  
2 Can we scroll down to any later responses to that  
3 finding? Yes. There we are. Any comments from  
4 the Board?

5 MEMBER ZIEMER: Well, it doesn't seem  
6 to impact anything. So I think we should close it.

7 CHAIR MUNN: Josie?

8 MEMBER BEACH: I agree with that also,  
9 Wanda.

10 CHAIR MUNN: All right. The  
11 Subcommittee accepts the recommendation of SC&A.  
12 It's closed.

13 MS. K. BEHLING: Okay. And if we move  
14 on then to Finding Number 4, which is open. This  
15 finding had to do with -- Type S solubility was  
16 identified as the most claimant-favorable.

17 However, we identified that if there  
18 were organs associated with the extra thoracic ET1,  
19 the Type S would not necessarily be the most  
20 favorable.

21 And NIOSH responded by saying there  
22 were no cases involving the ET1. And so, with that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 being the case, we feel we can close this. We just  
2 wanted to be sure.

3 We didn't have a complete list of, not  
4 all the PERs identify all of the cases that were  
5 reviewed. So if NIOSH has convinced us that ET1  
6 was not part of any of the cases, then we can close  
7 this.

8 CHAIR MUNN: Okay. Any comments from  
9 the Board?

10 MEMBER ZIEMER: No comments. Close.

11 CHAIR MUNN: It's not then the same  
12 wording for Finding 4. Okay. All right. I think  
13 that's all we have on PER-3. Great. That one can  
14 come off our list. Next is PER-5.

15 **PER 005**

16 MS. K. BEHLING: Can I assume that Bob  
17 Anigstein did not join us yet?

18 CHAIR MUNN: I haven't heard anything.

19 MS. K. BEHLING: Okay.

20 MR. STIVER: Bob was logging in when I  
21 called him. So he should either be on or almost  
22 on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Okay. How many findings  
2 do we have on five?

3 MS. K. BEHLING: Just one.

4 CHAIR MUNN: Oh, if there's only one  
5 then let's go ahead and do that, and give Bob a  
6 chance to get there.

7 MS. GOGLIOTTI: Okay. That would be  
8 me.

9 CHAIR MUNN: Great.

10 MS. GOGLIOTTI: And this has to do with  
11 the Hanford external dose.

12 CHAIR MUNN: And so I'm not saying  
13 anything. Paul.

14 MS. GOGLIOTTI: Wanted to give you a  
15 fair warning.

16 CHAIR MUNN: Thanks.

17 MS. GOGLIOTTI: PER-5 essentially  
18 addressed NIOSH's incorrectly using a biased dose  
19 correction factor for Hanford workers. And when  
20 we reviewed it, we had the single finding, that we  
21 were concerned that they potentially were not  
22 casting a big enough net when they were looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 impacted claims.

2 We were concerned that by limiting the  
3 potential claims impacted to only claims that used  
4 the best estimate workbook, that perhaps they  
5 weren't potentially capturing all claims. We  
6 weren't sure. But we wanted to make sure that all  
7 claims were captured.

8 And so, NIOSH did a very in-depth search  
9 of all the rest of the claims. And they did provide  
10 us with several attachments here, that go into  
11 detail on exactly how they did that assessment.

12 And when they did the assessment, they  
13 did not find any other impacted claims. And we  
14 reviewed that and were entirely satisfied with  
15 their response. And we would recommend closure.

16 MEMBER ZIEMER: I'm the only one  
17 involved in this, right?

18 CHAIR MUNN: Right.

19 MR. KATZ: That's correct, Paul.

20 MEMBER ZIEMER: Right. Well, I'm  
21 certainly in agreement with closing that. Because  
22 that was pretty convincing anyway. So I recommend

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 closing.

2 MR. KATZ: Right. You do close,  
3 actually.

4 MEMBER ZIEMER: I'm in favor of it.  
5 Never say I. Okay.

6 MR. KATZ: It is closed.

7 MEMBER ZIEMER: It's an overwhelming  
8 vote.

9 MR. KATZ: It is.

10 CHAIR MUNN: I like those. PER-5 has  
11 just come off our list. Next we have PER-8, which  
12 is the IREP lung cancer response.

13 **PER 008**

14 MS. K. BEHLING: Okay, yes. This is  
15 Kathy. PER-8, I think the reason this was carried  
16 onto this agenda is that last time I made mention  
17 that we might want to look at some cases.

18 And I know, I believe Jim Neton said  
19 that there -- it really wasn't necessary. This is  
20 just the fact that the IREP lung model now uses two  
21 risk models: the NIOSH and the NIH model. And so,  
22 NIOSH went back and looked at like, I think around

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 920 claims, and just re-ran those claims using the  
2 newer IREP lung model.

3 And so, I assume, based on our  
4 discussions last time that the Board, or the  
5 Subcommittee does not necessarily recommend that  
6 we re-run those for any of the cases under the  
7 Sub-task 4 review.

8 It would simply be taking the IREP runs  
9 and re-running them, and that's what they have  
10 done. And didn't really know if it was a necessary  
11 step that we needed to take.

12 CHAIR MUNN: Yes. That would seem  
13 unnecessary to me. Other Board Members?

14 MEMBER ZIEMER: Well, what are we  
15 recommending here?

16 MS. K. BEHLING: Well, I'm  
17 recommending that we don't follow through and do  
18 Sub-task 4. I don't believe that that would be  
19 necessary.

20 CHAIR MUNN: So that would essentially  
21 close the --

22 MS. K. BEHLING: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: -- PER?

2 DR. NETON: I'm sorry, this is Jim.  
3 Which finding was that? I'm only seeing Number 1  
4 on this.

5 MR. KATZ: Well, it's not a finding,  
6 Jim. It's --

7 DR. NETON: Oh.

8 MR. KATZ: It's a task that they do.  
9 Whenever we have a PER which has any kind of  
10 complicated --

11 DR. NETON: Yes, yes.

12 MR. KATZ: -- implementation, we want  
13 SC&A to check some cases. But in this --

14 DR. NETON: Right. I got it.

15 MR. KATZ: -- the implementation's  
16 simple and mechanical.

17 DR. NETON: Okay.

18 CHAIR MUNN: I recommend closing. Any  
19 of the other Board Members?

20 MEMBER ZIEMER: I agree.

21 MEMBER BEACH: I would agree with that  
22 as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: All right. PER-8  
2 question is closed as being unnecessary for our  
3 review. PER-11 status.

4 **PER 011**

5 MR. KATZ: And so, that would mean that  
6 PER-8 is closed as a whole then, right?

7 CHAIR MUNN: That's correct.

8 MR. KATZ: Right. Okay.

9 MS. MARION-MOSS: Excuse me, this is  
10 Lori. Wanda --

11 CHAIR MUNN: Yes.

12 MS. MARION-MOSS: Would there be a  
13 notation made in there that there's no longer a need  
14 to perform Sub-task 4 --

15 CHAIR MUNN: I hope it's --

16 MS. MARION-MOSS: -- of the PER?

17 CHAIR MUNN: Yes. Early on, we had  
18 agreed that instead of doing these things real  
19 time, while we had them on the screen --

20 MS. MARION-MOSS: Right.

21 CHAIR MUNN: -- Kathy would provide  
22 words for us, and would submit them to us to review.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MARION-MOSS: Oh.

2 CHAIR MUNN: So that she could fill  
3 these in offline.

4 MS. MARION-MOSS: Okay. Thank you.

5 CHAIR MUNN: You bet. Thank you,  
6 Lori. It's good to hear you. PER-11.

7 MS. K. BEHLING: Okay. PER-11,  
8 Findings 1 and 2 are closed. Finding Number 3 is  
9 in abeyance. And this is still in abeyance because  
10 PER, NIOSH is indicating that OTIB-54 needs to have  
11 a PER written for it.

12 However, I was just questioning if we  
13 want to go ahead and select cases for Sub-task 4.  
14 Because I don't know if that -- because the PER for  
15 OTIB-54 will be looked at separately. So I'm  
16 questioning whether we want to go ahead and select  
17 cases for Sub-task 4.

18 MS. MARION-MOSS: Excuse me, Kathy.  
19 That's PER, OTIB-52, not 54.

20 MS. K. BEHLING: Oh, OTIB-52. Okay.

21 MS. MARION-MOSS: Construction trade  
22 workers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Okay. I'm sorry.

2 I'll make that change.

3 CHAIR MUNN: Yes, our old friend 52.

4 DR. ANIGSTEIN: Excuse me. This is  
5 Bob Anigstein.

6 CHAIR MUNN: Oh, there's Bob.

7 DR. ANIGSTEIN: Did we skip over  
8 PER-57?

9 CHAIR MUNN: Yes, yes.

10 MR. KATZ: We're waiting for you, Bob.

11 CHAIR MUNN: Yes. Because we were  
12 waiting for you.

13 DR. ANIGSTEIN: Oh. It was, I thought  
14 it was 2:30 p.m. So I was having some phone  
15 problems.

16 MR. KATZ: No, it --

17 DR. ANIGSTEIN: But anyway, we're  
18 coming back to it.

19 MR. KATZ: Great, Bob. We'll come  
20 back to you after we done with --

21 DR. ANIGSTEIN: Very good.

22 CHAIR MUNN: Yes. This item --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Thanks.

2 DR. ANIGSTEIN: Sorry about that.

3 CHAIR MUNN: -- then back to you.  
4 Quite all right. Now, where were we with the  
5 question on PER-11? We were looking at three.  
6 And what was the question, Kathy?

7 MS. K. BEHLING: The question is, shall  
8 we go ahead and select some cases for, under the  
9 Sub-task 4 for this PER, even though we have this  
10 one item in abeyance?

11 MR. KATZ: So, Kathy, if the PER isn't,  
12 if it's in the -- I don't understand. How do you  
13 select cases if the PER isn't out for one of the  
14 findings?

15 MS. K. BEHLING: What I'm saying is  
16 that we will select cases for PER-11.

17 MR. KATZ: Ah.

18 MS. K. BEHLING: And what I'm saying,  
19 this one finding is in abeyance awaiting a PER for  
20 OTIB-52.

21 MR. KATZ: Oh, I see.

22 MS. K. BEHLING: Yes. And so, I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 asking if we can go ahead and assign a few cases  
2 for PER-11, which is the K-25 TBD.

3 MR. KATZ: Okay. And did you make  
4 recommendations for what criteria for selection?  
5 Because that's how --

6 MS. K. BEHLING: Yes.

7 MR. KATZ: -- we'll go forward with  
8 that.

9 MS. K. BEHLING: Yes. In that  
10 write-up there were two criteria. The first  
11 criteria was coworkers that were claims prior to  
12 May 21st of 2005, and we're suggesting maybe one  
13 or two cases from that criteria.

14 And then the second criteria was  
15 between the time periods when OTIB-26 was issued  
16 and OTIB-52 was issued, which would be a timeframe  
17 of May 21st, 2005 to August 31st of 2006, maybe one  
18 or two cases from those two time periods.

19 MR. KATZ: So, and then does this make  
20 sense to NIOSH, that this, these cases be assigned?  
21 That this is necessary?

22 MEMBER ZIEMER: And there's no impact

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the in-abeyance finding on running these two,  
2 these several cases?

3 MS. K. BEHLING: I don't --

4 CHAIR MUNN: Well, yes, yes. Well, we  
5 have, yes, it's about what constitutes a CTW, I  
6 think.

7 MR. HINNEFELD: I don't know that we  
8 have any particular position to take on whether a  
9 claim should be reviewed here. I think since there  
10 is a finding about what constitutes a construction  
11 trade worker is in abeyance, it might be worthwhile  
12 to avoid construction trade workers in these PER-11  
13 selections. Or is that all about construction  
14 trade workers?

15 MS. K. BEHLING: It's all about --

16 MEMBER ZIEMER: Well, that's sort of  
17 the question though, is you're going to -- is that  
18 what we want to do? I'm not sure that we  
19 necessarily want to do that.

20 Or, I guess I'm wanting to hear whether  
21 or not that's going to be critical in you're cutting  
22 out a group that you might otherwise have selected,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or not. I don't know. Kathy, what was your  
2 thinking on that?

3 MS. K. BEHLING: Well, you know, Rose,  
4 I'm going to ask you. I know this is one that you  
5 had done. And I am kind of, I hate to put you on  
6 the spot here. But do you have any comment on that?  
7 Should we wait to do this or am I catching you off  
8 guard?

9 MS. GOGLIOTTI: You're catching me a  
10 little off guard. But the PER for OTIB-52 has to  
11 do with, they were not selecting all the  
12 construction trade workers. They were  
13 misinterpreting the guidance there.

14 And so, we pretty much know what they  
15 should have been doing. It just wasn't being  
16 correctly executed. So, I don't think that should  
17 hold up our process.

18 CHAIR MUNN: Okay. So essentially,  
19 reading one of the previous comments there, with  
20 respect to whether or not PER-14 criteria are --  
21 I guess I'm getting into a do-loop in my thinking  
22 here. And NIOSH didn't, did NIOSH respond to your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concern a couple of years ago about that?

2 MS. K. BEHLING: Yes. I needed to  
3 scroll down here a little bit further maybe, this  
4 little -- perhaps --

5 CHAIR MUNN: This is in abeyance,  
6 because of the second of the, the Rev 2 of the OTIB.  
7 PER, they're going to address it, it says.

8 MEMBER ZIEMER: But I don't think we  
9 actually need to eliminate them from  
10 consideration. Because we know how we'll handle  
11 them, right?

12 MS. GOGLIOTTI: Correct. They were  
13 simply not applying construction trade workers --

14 MEMBER ZIEMER: Right.

15 MS. GOGLIOTTI: -- up front.

16 MEMBER ZIEMER: So the issue of saying,  
17 let's not, I'm not saying you should or shouldn't.  
18 But in your criteria you're not considering whether  
19 or not they're trade workers. If they happen to  
20 be, that's okay, isn't it?

21 MS. GOGLIOTTI: I believe they have to  
22 be construction trade workers for this to even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 apply. Am I misinterpreting --

2 MEMBER ZIEMER: Oh, they all will be?

3 MS. GOGLIOTTI: Yes.

4 MEMBER ZIEMER: Oh. Well, I -- but it  
5 still doesn't matter. We know how they're going  
6 to be handled, right? In abeyance means we've  
7 basically solved it. We're just waiting to see if  
8 it turns up in the later document.

9 CHAIR MUNN: We're just waiting to see  
10 whether the other document covers it or not, I  
11 think.

12 MEMBER ZIEMER: Yes. But we know how  
13 to handle it. I think we're okay in going ahead  
14 with Kathy's criterion.

15 CHAIR MUNN: I think so too. But  
16 there's nothing that can keep us from keeping this  
17 in abeyance. But is the PER for Rev 2 of OTIB-52  
18 out?

19 MS. K. BEHLING: No, it is not.

20 CHAIR MUNN: So if it's not, then we  
21 essentially need to keep this, continue to keep  
22 this in abeyance until we can actually --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: Yes. But as far as  
2 selecting the cases, we can go ahead and do that,  
3 can't we?

4                   CHAIR MUNN: Sure, sure.

5                   MEMBER ZIEMER: Yes, yes.

6                   CHAIR MUNN: I don't see any reason why  
7 not. Okay. Well, if the cases that we select are  
8 intended to include construction trade workers,  
9 then wouldn't we have to wait to see that the PER  
10 that addresses that is complete and out before we  
11 select the cases? Am I thinking incorrectly?

12                  MR. HINNEFELD: This is Stu. I think  
13 what OTIB-52 will do is add some additional people  
14 to be considered construction trade workers that  
15 had not been considered construction trade workers  
16 beforehand.

17                  CHAIR MUNN: Right.

18                  MR. HINNEFELD: So, but at the time  
19 that PER-11 was written there were already a number  
20 of people identified as construction trade  
21 workers.

22                  CHAIR MUNN: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. HINNEFELD:    And so, you could  
2                   select from that population of claims that we  
3                   looked at under PER-11, because they would have  
4                   already been considered construction trade workers  
5                   at the time.

6                   MR. KATZ:    Right.    Because, Wanda, the  
7                   purpose of selecting these cases is to see that  
8                   PER-11 was implemented correctly.

9                   CHAIR MUNN:    Yes.

10                  MR. KATZ:    And that's already out,  
11                  done.

12                  MEMBER ZIEMER:   Right.

13                  MR. KATZ:    The cases have already been  
14                  selected and processed.    So now SC&A is just  
15                  looking to see that that implementation was done  
16                  correctly.

17                  MEMBER ZIEMER:    And they're just  
18                  sampling from a couple of time periods, is how  
19                  they're --

20                  MR. KATZ:    Right.

21                  MEMBER ZIEMER:    -- looking at it.  
22                  Not, what I'm saying is, it seems to me that that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 makes sense. And I would say, yes, and we go ahead.

2 MR. KATZ: Right. So the, all the  
3 Subcommittee needs to do is to concur with the  
4 criteria that have been recommended by SC&A. And  
5 then NIOSH will pull cases for that, and send them,  
6 refer them to SC&A.

7 CHAIR MUNN: Yes. Yes. That seems  
8 the logical way to proceed to me.

9 MR. KATZ: Very good.

10 CHAIR MUNN: Anybody else?

11 MR. KATZ: So NIOSH will follow up on  
12 that with the cases for SC&A. And then they'll do  
13 that.

14 CHAIR MUNN: Good.

15 MR. HINNEFELD: Yes. Just so we make  
16 sure we get the criteria correctly, Kathy, could  
17 you email us those time periods that you said?

18 MS. K. BEHLING: Yes. I will do that.  
19 And I'm sorry --

20 MR. HINNEFELD: Okay.

21 MS. K. BEHLING: -- for all the  
22 confusion here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: No. It's quite --

2 MR. KATZ: No. It's quite fine,  
3 Kathy.

4 CHAIR MUNN: Because you're going to be  
5 loaded, especially from June, are bearing the  
6 burden of putting these words together so that it  
7 makes sense when we look at it again in the BRS,  
8 on top of everything else. But, then we can  
9 consider those closed, that closed?

10 MR. KATZ: It's just an assignment of  
11 cases. It's not closing any findings.

12 CHAIR MUNN: Exactly.

13 MR. KATZ: Yes.

14 CHAIR MUNN: But we, well, okay. So  
15 we're --

16 MR. KATZ: That's all we're doing here  
17 is assigning the cases.

18 CHAIR MUNN: All right. Okay. Okay.

19 MR. KATZ: So that's, it's sort of a  
20 nice lead in to Bob's, because that's also --

21 CHAIR MUNN: It is.

22 MR. KATZ: -- just a matter of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assigning cases.

2 CHAIR MUNN: Okay. And are you ready  
3 now, Bob?

4 DR. ANIGSTEIN: Yes, I am.

5 CHAIR MUNN: Okay.

6 DR. ANIGSTEIN: And as a matter of fact  
7 I have, let me go see if I can get this loaded up.  
8 I would like to present, make a presentation on Live  
9 Meeting. Okay. I should be --

10 MS. K. BEHLING: Okay. I'm good.  
11 There you go. I'm going to share with you. I'm  
12 sorry.

13 DR. ANIGSTEIN: Does everybody see my  
14 PDF file?

15 CHAIR MUNN: I do.

16 DR. ANIGSTEIN: Good. Let me make  
17 this -- okay. It was last-minute problems I was  
18 having with my internet. Just one reason I was  
19 late.

20 CHAIR MUNN: Well, you might as well  
21 join the group.

22 DR. ANIGSTEIN: Getting back on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Pardon?

2 CHAIR MUNN: You might as well join the  
3 club.

4 **PER 0057**

5 DR. ANIGSTEIN: All right. My case  
6 was very simple. It was just pushing a button on  
7 my modem to reset it, and fix it. Anyway. Okay.  
8 So, as everyone I'm sure knows, PER-57 -- here's  
9 your PER-57. I'll just do it very quickly. Is  
10 that there has been a revision. Okay, there was  
11 actually a very early PER, back in 2007 for four  
12 cases for General Steel Industry, GSI.

13 And those four cases were done under  
14 TBD-6000. And they were then redone when the GSI  
15 Appendix B, it was Appendix BB to TBD-6000, Rev 1,  
16 Rev 0, which came out in 2007. And those cases were  
17 redone.

18 But then my understanding is that three  
19 of those cases turned out to be not GSI workers.  
20 So, I'm not quite sure what the status of that PER  
21 was. But that's probably, you know, a moot  
22 question right now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, PER-57 was -- then there was a Rev  
2                   1 to Appendix BB that came out in 2014, I believe,  
3                   in summer of 2014. And that -- is Dave Allen on  
4                   the line?

5                   MR. HINNEFELD: I don't think Dave's  
6                   on.

7                   DR. ANIGSTEIN: Yes. Okay. I was  
8                   going to say he would -- I was going to invite him  
9                   to correct me if I got any of the details wrong.  
10                  You guys want to know.

11                  Anyway the Rev 1 came out. And then  
12                  SC&A had a response to Rev 1. And there was, I  
13                  think two meetings of the TBD-6000 Work Group  
14                  following that discussing it. And then in the end,  
15                  NIOSH decided that even though there was Rev 2 will  
16                  probably be -- there probably will be a Rev 2.

17                  Nevertheless, to speed the process, we  
18                  decided to choose the PER-57 so as to review all  
19                  of the GSI cases that had ever been studied,  
20                  wherever there had been DRs performed, to see if  
21                  they needed to be, if the PoC changed. And  
22                  apparently there was about 100 cases where the PoC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 changed.

2 So the, where we are now is that the,  
3 we were asked, or we were recommending that we be  
4 assigned to review -- Tasks 1, 2, and 3 -- Sub-tasks  
5 don't need to be done because they've already been  
6 taken care of. And Task 4, the review of the cases,  
7 is all that's left.

8 So the sample cases that I'm suggesting  
9 that we look at is, first of all, it will change  
10 by -- you have doses from, you have an entire  
11 variety of doses at GSI.

12 You have external photon dose, both  
13 from the betatron, scattered radiation from the  
14 betatron, and from radiography sources that were  
15 using radionuclides, primarily radium-226 that was  
16 in use at GSI during the beginning of the covered  
17 period, starts in late 1952.

18 And if I remember correctly the source  
19 of the radium sources were used through 1962. And  
20 we have called that, it's been generally adopted,  
21 the radium era. This is the time where the  
22 primary, the main source of external exposures is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radium.

2                   However, the same radiographers that  
3 were doing radium, that were using radium, and we  
4 know of one person who I've spoken -- I've  
5 interviewed, who was doing both.

6                   Part of the time during his shift he  
7 will be doing it using radium, part of the time  
8 using the betatrons. He would be shuffling in and  
9 out of the betatron building to, and the special  
10 structure they had for the radium.

11                   Then in addition, those worker who were  
12 -- then later, starting with 1963 through June  
13 30th, 1966, which is the end of the radium era, at  
14 the end of the covered period, the primary external  
15 exposure was from the betatron.

16                   And we postulated that there could be  
17 a worker just outside the betatron room, called the  
18 layout man, that would be marking up the -- as soon  
19 as the casting is radiographed they send it out of  
20 the betatron building on a flatcar.

21                   And it gets this layout man, who's not  
22 a specific job category, he actually alternates

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the betatron operators. Looks at the  
2 casting, has the films that have just been, that  
3 developed in front of him.

4 And he lays the film beneath the  
5 casting, matches them against the casting, and  
6 marks where there are defects on the film. And  
7 then those defects then get repaired and the  
8 casting goes back for a second confirmation  
9 radiograph to make sure they have been fixed.

10 And sometimes it takes more than one  
11 iteration to get the two, repair all the defects.  
12 And that person, this layout man, could be --  
13 operators are safe. They're sitting behind a --  
14 Hold it a minute until I mute this phone.

15 Hello. Yes, I'm sorry. I had the  
16 other phone line ringing. So, sorry about that.  
17 So, this person would be, would get, be actually  
18 in line of the betatron beam, even though it's at  
19 a distance.

20 But there is a geometry where he could  
21 actually be getting exposed to the periphery of the  
22 beam. And so, he will be getting a reasonably high

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure. And then, so that's both photon,  
2 external photon exposures from the radium.

3 And then later from -- and they're  
4 comparable on an annual basis -- and later from the  
5 scattered radiation through this layout man. Then  
6 the betatron operator is shielded. So he gets very  
7 little direct exposure. He's behind a ten-foot  
8 thick wall filled with concrete and sand.

9 However, he will get some neutrons.  
10 Some neutrons penetrate the sand. So there is some  
11 neutron dose that the betatron operator will get  
12 during the betatron exposures.

13 Next, the betatron operator is handling  
14 uranium, slices of uranium ingots that were -- we  
15 used those as an example. But we know there were  
16 other shapes also that he has to set up and orient  
17 and take about four shots.

18 And so he's getting beta exposures now,  
19 electron exposures to the skin while he's handling  
20 the uranium slices. And particularly after  
21 they've been radiographed there are some  
22 short-lived -- uranium-237, uranium-239 --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 short-lived radioisotopes that get created during  
2 the betatron radiography.

3 And these are beta emitters. So after  
4 it's been exposed, there's an additional dose from  
5 the metal for anyone in contact with it --

6 MEMBER ZIEMER: Could I interrupt just  
7 a second?

8 DR. ANIGSTEIN: Sure.

9 MEMBER ZIEMER: Yes. Wanda, if it's  
10 agreeable, I think this Subcommittee's pretty  
11 familiar with all of the different exposures at --

12 DR. ANIGSTEIN: Oh, okay.

13 MEMBER ZIEMER: -- General Steel. And  
14 I'm wondering if we need this much detail on --

15 (Simultaneous speaking)

16 MEMBER ZIEMER: -- rather than going  
17 directly to the recommended groups or --

18 DR. ANIGSTEIN: Sure.

19 MEMBER ZIEMER: -- or --

20 DR. ANIGSTEIN: Okay. I will --

21 MEMBER ZIEMER: I'm asking the Chair to  
22 give us a --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes. I think Paul is  
2 absolutely correct. I think we've all been very  
3 familiar and have worked with the information for  
4 quite awhile. So, yes.

5 DR. ANIGSTEIN: I'm sorry, Wanda, I  
6 have difficulty hearing you.

7 CHAIR MUNN: Oh, I'm sorry. I said,  
8 yes, I think the Members of our Subcommittee here  
9 are very familiar --

10 DR. ANIGSTEIN: I guess, now that I  
11 think about it, yes, you are. Because -- I'm  
12 sorry. Forgive me. I guess the same personnel as  
13 the --

14 CHAIR MUNN: Yes. As the big Board,  
15 yes.

16 DR. ANIGSTEIN: I'm sorry.

17 CHAIR MUNN: That's quite all right.

18 DR. ANIGSTEIN: I wasn't, I didn't have  
19 my head on right.

20 CHAIR MUNN: It's just --

21 DR. ANIGSTEIN: Okay. I will, I'll  
22 cut to the chase.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Just --

2 DR. ANIGSTEIN: I will definitely cut  
3 to the chase then.

4 CHAIR MUNN: Yes.

5 DR. ANIGSTEIN: So the categories that  
6 then I would like to have, the case action, is the  
7 three different, I mean, many cancer sites. But  
8 lung, metabolic organs and skin would have  
9 different dose pathways and consequently it would  
10 be useful if we could have one of each. Because  
11 the lung will get the inhalation of radium/uranium  
12 dust. Metabolic organs will also get uranium.  
13 And the skin, of course, will be primarily beta  
14 exposures.

15 Then there are the two job categories.  
16 Like, you know, if they have assigned the job  
17 categories appropriately. And there's  
18 administrative personnel, which was agreed in the  
19 Rev 1 of the TBD, that they will get a lesser dose.  
20 They will get something like 500 something millirem  
21 per year, just casual exposure when they happen to  
22 be walking through the plant. But most of the time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they're in a separate building away from the  
2 radiation sources.

3 And then you have, the second category  
4 of course is the plant personnel. And depending  
5 on which era we're talking about the radiographers  
6 would get the highest exposure during, external  
7 exposure certainly, during the radium era. And  
8 the layout man would get the highest exposure  
9 during the betatron era. I mean, betatron was used  
10 the whole time, but that's when betatron is the  
11 primary source of exposure.

12 And then the time periods would be, the  
13 first time period October 1952, December '62, this  
14 we call the radium era. And we have a lot of  
15 radium/uranium radiography going through that  
16 period. And there would be the skin doses from  
17 uranium handling.

18 Then the second period, when the new  
19 betatron was installed at the very end of, towards  
20 the end of 1963. And then you have the possibility  
21 of the layout man. So, that's another exposure  
22 scenario that would now have taken place earlier.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And then finally, the residual period,  
2                   which is from July 1st, '66 to December 31st, '93.  
3                   And that would be exposures related to the residual  
4                   uranium contamination.

5                   And so, here is a recommended selection  
6                   of perhaps as many as five to six cases. We'd like  
7                   to see an operator, betatron, radiographer I should  
8                   really say because he could be a betatron operator,  
9                   he could be using radium, or probably  
10                  interchangeably.

11                  We'd like to see a lung case from that  
12                  period. And another, and also a skin case of hands  
13                  and forearms because those would be the limiting,  
14                  the highest exposures of skin.

15                  Then during the second period, it will  
16                  be interesting to look at a non-respiratory  
17                  metabolic organ because that would be, again, from  
18                  the uranium dust.

19                  I would like to see one case from a  
20                  uranium worker, which could be from oxide blue,  
21                  because it could be -- we don't need two. Either  
22                  a lung cancer or a non-respiratory metabolic organ.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       Either one would be okay.

2                   And then finally, during the residual  
3       period, it could again be the same choices, a lung  
4       cancer or a non-respiratory metabolic organ.

5                   So, I would say that the two blue cases  
6       are really one case, one or the other.   And the two  
7       orange cases are also one case.   So we're talking  
8       about one, two, three, four, five, would be ideal  
9       and probably sufficient.

10                  MR. KATZ:   And keep in mind, Bob, that  
11       you can have cases that actually cover more than  
12       one of these scenarios because they would be  
13       reconstructing dose if it's a full dose  
14       reconstruction on all of the radiation exposures.

15                  DR. ANIGSTEIN:   All right.   All right.  
16       I mean --

17                  MR. KATZ:   That's just something --

18                  DR. ANIGSTEIN:   Assuming that you're  
19       talking --

20                  MR. KATZ:   -- that they do when they dig  
21       into the cases.

22                  DR. ANIGSTEIN:   You're saying if they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have multiple cancers?

2 MR. KATZ: Yes. Multiple cancers and  
3 cover multiple periods.

4 DR. ANIGSTEIN: Okay.

5 MR. KATZ: Yes.

6 DR. ANIGSTEIN: Okay. I'll -- I can  
7 accept that, yes.

8 MEMBER ZIEMER: I have one question.  
9 So you're talking about at least one or two betatron  
10 periods and one or two radium periods? Also --

11 DR. ANIGSTEIN: No. That's, we're  
12 talking about two periods. Two periods during the  
13 operation, yes.

14 MEMBER ZIEMER: Yes.

15 DR. ANIGSTEIN: Yes. Then there is  
16 the --

17 MEMBER ZIEMER: Plus the residual  
18 period.

19 DR. ANIGSTEIN: And during the  
20 operational period --

21 MEMBER ZIEMER: Right.

22 DR. ANIGSTEIN: During the first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 operational period the betatron -- there is the old  
2 betatron that is used --

3 MEMBER ZIEMER: Yes, yes. I know --

4 DR. ANIGSTEIN: And the radium is being  
5 used.

6 MEMBER ZIEMER: I want to get to my  
7 question, though.

8 DR. ANIGSTEIN: Okay.

9 MEMBER ZIEMER: The question, we had  
10 theoretically three job categories, which included  
11 the, quote, administrative jobs. And at the time  
12 we talked about that I actually had personal doubts  
13 whether there would actually be anyone in that  
14 category. Because it seemed pretty likely that  
15 virtually everybody at one time or another got into  
16 the, what we would call the working area of the  
17 plant.

18 I wonder, and maybe Jim Neton would know  
19 it, whether actually anybody, any people that fell  
20 into that category of administrators, or  
21 administrative?

22 DR. NETON: This is Jim. I don't know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Ziemer. I haven't looked that closely.

2 MEMBER ZIEMER: I mean, you, I thought  
3 you were intimating, Bob, that you were going to  
4 try to identify someone in that category. Was that  
5 correct?

6 DR. ANIGSTEIN: Only if my -- no.  
7 Excuse me. No. Only if NIOSH has identified  
8 someone.

9 MEMBER ZIEMER: Yes. If they had.

10 DR. ANIGSTEIN: If they've identified  
11 someone as an administrative worker and assigned  
12 a dose to an administrative worker, I will be very  
13 interested in seeing, so we could sort of, you know,  
14 confirm that we agree with that categorization --

15 MEMBER ZIEMER: Yes.

16 DR. ANIGSTEIN: -- and with the method.  
17 Because it's a different --

18 MEMBER ZIEMER: And probably --

19 DR. ANIGSTEIN: -- dose --

20 MEMBER ZIEMER: -- determine that it  
21 was --

22 DR. ANIGSTEIN: -- specific scenario.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Yes.

2 DR. ANIGSTEIN: Obviously if there are  
3 no such people then --

4 MEMBER ZIEMER: Then it's a moot point.  
5 Yes. Okay. I just wanted to raise that. But --

6 DR. ANIGSTEIN: And they will most  
7 likely, and they will probably be more likely,  
8 because it is lower, to be in the less than 50  
9 percent PoC. So they would fall into the --

10 MEMBER ZIEMER: Exactly.

11 DR. ANIGSTEIN: -- category that we  
12 were -- the ones that are, you know, compensated,  
13 obviously we don't look at.

14 MEMBER ZIEMER: Right, right. So that  
15 may not even show up in your --

16 DR. ANIGSTEIN: No, no. It would show  
17 up on the non-compensated, which is the one that  
18 we need to review.

19 MEMBER ZIEMER: Right.

20 DR. ANIGSTEIN: Right. Now, if there  
21 aren't any, then the question is moot.

22 MEMBER ZIEMER: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: At the time this was  
2 discussed, it was considered to be a real  
3 possibility --

4 MEMBER ZIEMER: Right.

5 DR. ANIGSTEIN: -- that there could be  
6 some clerical workers or --

7 MR. KATZ: Right. So long as the  
8 Subcommittee agrees on Bob's criteria, his  
9 construct, then NIOSH can go ahead and search the  
10 cases to meet the criteria.

11 MR. HINNEFELD: Yes, this is Stu.  
12 Bob, can you share the page you're showing here,  
13 so we can work from that? I don't think it's in  
14 BRS anywhere, right?

15 CHAIR MUNN: I don't think so.

16 MR. KATZ: Yes. But Bob will share  
17 that for sure.

18 MR. HINNEFELD: Yes. Send that to us  
19 and you, I guess. And then we'll, so we can just  
20 work from this table.

21 MR. KATZ: So, the Subcommittee just  
22 needs to express their concurrence with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 criteria.

2 CHAIR MUNN: The criteria sound  
3 reasonable enough to me. Paul?

4 MEMBER ZIEMER: Yes. We've got three  
5 types of cancers, three types of job categories,  
6 three time periods.

7 CHAIR MUNN: Correct. All  
8 overlapping.

9 MEMBER BEACH: So, my question would  
10 be, if one of, if you do not find an administrative  
11 person, would you add another category or another  
12 operator or would you just eliminate that?

13 DR. ANIGSTEIN: No. I would be happy  
14 with four. If there are no administrative --

15 MEMBER BEACH: You'd be happy? Okay.

16 DR. ANIGSTEIN: I would be happy with  
17 four.

18 CHAIR MUNN: That should be adequate.  
19 Any further discussion? If not, we can indicate  
20 that Dr. Anigstein's recommendation --

21 DR. ANIGSTEIN: For my information,  
22 when, about how long would it take NIOSH to sift

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through those cases to --

2 CHAIR MUNN: I don't know whether Jim  
3 or Stu heard that.

4 DR. ANIGSTEIN: Jim?

5 MR. HINNEFELD: Yes, I'm sorry. I was  
6 on mute. I don't know exactly how long it will  
7 take. But what we'll do is we'll sort the cases  
8 into categories that can allow selection. And  
9 hopefully can provide efficient selection of cases  
10 that may need more than one, may check more than  
11 one of the boxes here in the table.

12 So then, in terms of, I mean, we can  
13 choose the exact cases if you want. Or we can show  
14 you which cases fit into the categories.

15 DR. ANIGSTEIN: Well, I would be  
16 perfectly happy to sift through. If you can --

17 MR. KATZ: Well, no. I mean, the  
18 process is for NIOSH to select the cases and send  
19 them over.

20 MR. HINNEFELD: Okay. We can select  
21 the cases.

22 MR. KATZ: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Good.

2 DR. ANIGSTEIN: Okay. I mean, are we  
3 talking about a period of weeks, months?

4 MR. HINNEFELD: I think it will be, I  
5 think it would be weeks.

6 MR. KATZ: Yes, I think so. But I  
7 think in general, unless there's something, a  
8 complication, Bob, it takes a number of weeks to,  
9 just because this has to be assigned among other  
10 work, and so on.

11 MR. HINNEFELD: It has to be fit into  
12 other stuff our folks are doing in our computer  
13 area. They're on the query. And so, I'm thinking  
14 weeks. But I don't think it would be a whole lot  
15 of weeks.

16 MR. KATZ: Right.

17 MEMBER ZIEMER: One other question, if  
18 I could ask NIOSH. Are there still some cases that  
19 are under consideration for, under the PER? I was  
20 trying to interpret what was depicted in Dr.  
21 McKeel's memo about some cases that appeared maybe  
22 are still under consideration. Or did I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       misunderstand that?

2                   MR. HINNEFELD:   Well, there's a lot of  
3       information in that memo.   Of the 100 cases which  
4       we identified as PoCs changing in, for PER-57, we  
5       got, ultimately I think we got 91 of them back.

6                   The ones we didn't get back were either  
7       DOL has not found a survivor, you know, of the  
8       original claimant --

9                   MEMBER ZIEMER:   Yes.

10                  MR. HINNEFELD:   -- that, you know,  
11       haven't found a survivor.   Or they've determined,  
12       when looking back at the case, that they, that this  
13       person actually didn't work at General Steel at  
14       all.   They were probably Grant City Steel.

15                  MEMBER ZIEMER:   Yes.   I understood  
16       that.   I was just really asking, is NIOSH done with  
17       the cases?   Well, it's the 91 then, or whatever it  
18       is.

19                  MR. HINNEFELD:   No, no.

20                  MEMBER ZIEMER:   Are you folks --

21                  MR. HINNEFELD:   To what I, adding to  
22       what I just said, some of the 91 that we got back,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think DOL then later determined did not have  
2 covered employment, did not work there.

3 And so we found out after we had  
4 reworked the dose reconstruction that there were  
5 some that did not have covered employment. There,  
6 as I, by our tally we just got one out like either  
7 Friday or this morning.

8 But by our tally that was the last one  
9 of the PER-57 cases that we had in front of us to  
10 work on. We have one, what I would call a new  
11 claim, which is a very high number that has come  
12 in since PER that we're working on. That's just  
13 the first time, you know, the first dose  
14 reconstruction.

15 MEMBER ZIEMER: So is it, so it's not  
16 part of the PER group there?

17 MR. HINNEFELD: No. That's not part  
18 of the PER group. So it's --

19 MEMBER ZIEMER: So, you're able to sort  
20 it, the complete group that you've handled?  
21 That's all I was asking.

22 MR. HINNEFELD: Yes. And --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:   Yes.

2                   MR. HINNEFELD:   And I know that in the  
3   Department of Labor as one of their messages to Dr.  
4   McKeel, they said there were 15 new claimants in  
5   that population of 100.   And I've asked them about  
6   that.

7                   What they meant by that was that the  
8   original claimant has passed away.   And they have  
9   found the qualified survivor, which they've been  
10  calling --

11                  MEMBER ZIEMER:   Oh.   They call it a new  
12  claimant.

13                  MR. HINNEFELD:   They call it a new  
14  claimant.   It's the same old, it's a case that we  
15  evaluated.   And it was a particular energy  
16  employee that we evaluated under PER-57.

17                  MEMBER ZIEMER:   Got you.

18                  MR. HINNEFELD:   But by the time the PER  
19  came through that, the original claimant had died.  
20  And they had found new claimants, survivor  
21  claimants to satisfy.   And they called those new  
22  claimants.   But those were not new cases.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:     Got you.     Okay.

2     Thank you.

3                   CHAIR MUNN:     Have we had adequate  
4     discussion, and resolved the issues that we, that  
5     had developed with respect to PER-57?

6                   MR. KATZ:     Yes, Wanda, that takes care  
7     of 57. We've got 58 squared away.

8                   CHAIR MUNN:     If so, yes.     If so, thank  
9     you very much, Bob. We appreciate it.

10                  DR. ANIGSTEIN:    You're welcome.

11                  CHAIR MUNN:     And you'll be hearing from  
12     NIOSH.

13                  MR. KATZ:     Yes. Thank you, Bob.

14     **PER 029**

15                  CHAIR MUNN:     Next on our list is  
16     PER-29.

17                  DR. BUCHANAN:    Yes. This is Ron  
18     Buchanan of SC&A. And I have PER-29. Now, this  
19     is the Hanford TBD changes. So I guess we're back  
20     to Paul now.

21                  CHAIR MUNN:     All right. Thank you,  
22     Paul.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: And this consisted of,  
2 PER-29 is a change to the Hanford TBD, to go back  
3 and look at cases NIOSH issued. And we had  
4 questioned, we had 12 issues that are --

5 And we have, the first one is the skin  
6 dose. And the problem there was, we asked, well,  
7 what about the skin dose? Was not included in, the  
8 new method of doing skin dose wasn't included in  
9 PER-29.

10 And several places in this findings  
11 relate to this. I guess it was a placeholder.  
12 They mention it in their original TBD. That was  
13 in effect with PER-29, which is in 2007. PER-29  
14 was issued in 2007.

15 So, the 2006 older Hanford TBD refers  
16 to airborne particles and hot particles to the  
17 skin. But the way we understand it now, NIOSH's  
18 response was that was a placeholder. They did not  
19 do it before, in 2007.

20 It wasn't until 2010 that they came out  
21 with a procedure to implement the hot particles.  
22 And so, Procedure 29 would not be covering the hot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particles that were just mentioned in the original  
2 TBD.

3 And so, with that explanation we  
4 consider, you know, it can be closed. Because it  
5 really wasn't applicable when PER-29 was issued.

6 The other part of that Number 1 finding  
7 was an error in Revision 0 of the TBD, where it  
8 states 130, 240 rad per hour. And then in the later  
9 edition it states, it's a value.

10 And so, NIOSH came back and explained  
11 that was a typo in Revision 1. But it was corrected  
12 in Revision 2. However it was a rate, not a total  
13 annual dose. And so it wouldn't impact the dose.

14 It was a text error in the original one  
15 that didn't impact the dose. And it was corrected  
16 in the Revision 1. And we checked that out and it's  
17 true. And so, we recommend closure on Finding  
18 Number 1. Hello?

19 MR. KATZ: So, Paul, maybe you're on  
20 mute.

21 MEMBER ZIEMER: Sorry, I was on mute.  
22 Didn't realize it. So, it sounded like you had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 two. The skin dose procedure, was that Finding 1?

2 DR. BUCHANAN: Yes. That was skin  
3 dose.

4 MEMBER ZIEMER: And then the textual  
5 error was Finding 2?

6 DR. BUCHANAN: No. That was  
7 incorporated into --

8 MEMBER ZIEMER: Oh, that was part of  
9 one?

10 DR. BUCHANAN: Yes, that was part of  
11 one.

12 MEMBER ZIEMER: Okay. So, yes. I  
13 agree. Let's close Item 1.

14 DR. BUCHANAN: Okay.

15 DR. BUCHANAN: So, that brings us to  
16 Finding 2. Now, I'm going to cover Finding 2, 3,  
17 4, and 5, because they all have the same answer.  
18 Originally when we looked at PER-29 they was, and  
19 TBD, the revised TBD, that it was issued for, we  
20 could not find an attachment that it refers to in  
21 the original TBD.

22 Because, we couldn't compare them,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because we couldn't find them. And they weren't  
2 in the original TBD. And so, what we found out was  
3 NIOSH had these, and they could use them. But we  
4 couldn't find them to compare.

5 And so, then later on we found out that  
6 they had been posted on the NIOSH website as a  
7 separate document. So we found the attachments  
8 that was originally should have been issued with  
9 the TBD originally, and compared those to the  
10 revised TBD, so that we could see if, you know,  
11 PER-29 was correct.

12 And we went through those. And these  
13 were thousands of pages of tables almost, or  
14 hundreds of pages of tables. And so, I compared,  
15 just a spot checking, I compared the minimum and  
16 maximum. And I did not see that there was a  
17 discrepancy between the attachments, and so, that  
18 we found later on.

19 And so, we seen that there was no  
20 problem with it once we was able to recover the  
21 original attachments. And so, Findings 2, 3, 4,  
22 and 5, and they're just tables of numbers for source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 terms, atmospheric dispersion factors, argon-41  
2 immersion, and intakes for TBD-4, these all  
3 reflect, relate to TBD-4.

4 And so, we found that they did match.  
5 And so we recommend closure on Findings 2, 3, 4,  
6 and 5, because the appendix, or the attachments are  
7 now available.

8 MEMBER ZIEMER: Good. We will close  
9 2, 3, 4, and 5.

10 DR. BUCHANAN: Okay. And now, Finding  
11 6. And again, 6, 7, and 8 are similar, in that what  
12 it was, kind of like 1, they mentioned something  
13 in the original TBD. And then there was  
14 placeholders for this.

15 And so, this is changes in internal dose  
16 in TBD-5. And the way I understand NIOSH's  
17 explanation is, they retained, they say they  
18 mention it.

19 And then, when something comes in to  
20 work that dose reconstruction, they set that case  
21 aside if it falls in a certain area that they don't  
22 have the effective information for. And then they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 go back and rework it when they get this information  
2 in.

3 And so, that, so the cases, the claims  
4 are held until that information becomes available,  
5 and they fill that in. Then when that information  
6 becomes available they work those claims, and  
7 determine the PoC.

8 And so, this is what Finding 6 was,  
9 changes in internal doses in TBD-7. Seven was new  
10 information on the MDAs in TBD-5. And eight was  
11 MDAs for non-routine uranium bioassays in TBD-5.

12 And so, and some of them include tables  
13 which were not in the document. But they were  
14 included in Revision 1. And so, if this is true,  
15 NIOSH does hold these cases until they come up with  
16 this information that's in the revised TBD, then  
17 the rework.

18 Then, we have no issue with this, and  
19 we recommend that Findings 6, 7, and 8 be closed.  
20 Because this information was presented later, the  
21 cases held, and then reworked.

22 MEMBER ZIEMER: Very good. And can we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 confirm then -- Those will be subject to future  
2 PERs. Is that correct, Jim? I'm not hearing  
3 anybody.

4 MR. HINNEFELD: What was the question  
5 again, Paul?

6 MEMBER ZIEMER: Well, it sounds like in  
7 those cases that where there were placeholders that  
8 the actual new procedures haven't come into play  
9 yet. But there will be a future PER that will  
10 handle those.

11 Ron indicated that those cases were,  
12 would be set aside, or they're held back for future  
13 PER work, or future recalculation. Am I  
14 understanding it correctly?

15 MR. HINNEFELD: Well, there certainly  
16 will be a future PER for Hanford.

17 MEMBER ZIEMER: Yes.

18 MR. HINNEFELD: Because the discussion  
19 --

20 MEMBER ZIEMER: Right.

21 MR. HINNEFELD: -- is going on there  
22 now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MEMBER ZIEMER: Right, right. Well,  
2           there were two sets here. The one was, the first  
3           group were the, what was it Ron?

4           DR. BUCHANAN: Well, the --

5           MEMBER ZIEMER: For those tables that  
6           will, that were in the original document, but not  
7           in the revision.

8           DR. BUCHANAN: Yes. Well, I don't  
9           think there's a PER issue. The question is that  
10          when there's a placeholder in the TBD, when the  
11          technical information isn't available, then the  
12          cases that fall in that group are set aside until  
13          that information becomes available. And then  
14          they're worked with the new information, like the  
15          new information on MDA values and such.

16          And so, the question is that NIOSH goes  
17          back, and as soon as that information becomes  
18          available, then they work those cases that have  
19          been set aside.

20          MEMBER ZIEMER: Yes. I was trying to  
21          understand whether that becomes part of the same  
22          PER once the information is there. Or is that a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 new PER?

2 MR. HINNEFELD: Well, in the situation  
3 where Ron's talking about, I don't think that  
4 constitutes a requirement for a PER. I mean, as  
5 far as I know those cases, you know, those  
6 situations are non-historical.

7 And we're now doing the cases from  
8 Hanford, even though we know that we're going to  
9 have to do a PER and take another look at them.  
10 But, I believe the days of holding claims because  
11 we didn't have a technical approach, I think we've  
12 resolved all those, and those move forward.

13 So, when we made a resolution and said,  
14 okay, now we have enough information that we can  
15 now do these claims we've been holding, that didn't  
16 require us to go back and look at any claims that  
17 had previously performed, because --

18 MEMBER ZIEMER: Right. But you're not  
19 -- holding claims now.

20 MR. HINNEFELD: We are not holding  
21 claims now, no.

22 MEMBER ZIEMER: Yes. See, so I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       trying to resolve in my mind, what is the impact  
2       of closing this, Ron, or Stu, Findings 6, 7, and  
3       8, on the internal dose? You had a placeholder.  
4       Here Ron is saying those claims are being held until  
5       we get that information. But I think you're  
6       saying, no, we're not holding claims. So, help me  
7       resolve this in my mind.

8                   DR. BUCHANAN: Okay. This was awhile  
9       back. Okay, this was, when I did this evaluation  
10      they were holding claims then. And I said, okay,  
11      if you're going to rework the claims when the  
12      information comes available, that's fine, you  
13      know. And so, if NIOSH has, states that they went  
14      back and picked up those claims, and reworked them,  
15      well then, I recommend closure. You know, there's  
16      nothing really more that we can say about it. We  
17      just wanted to point out that those changes did take  
18      place. And NIOSH says, yes, we held those claims  
19      until that information became available --

20                   MEMBER ZIEMER: So the ones you're  
21      talking about may have already been reworked then?

22                   DR. BUCHANAN: Yes, right. By this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 point they've been reworked.

2 MEMBER ZIEMER: Got you. Okay then,  
3 then it's kind of a moot point. But we'll close  
4 6, 7, and 8 as well. Okay?

5 DR. BUCHANAN: Okay. And then, and,  
6 Kathy, I just wanted to make a note, on Number 8  
7 the heading should be MDAs for non-routine uranium  
8 bioassays.

9 MS. K. BEHLING: Yes. Okay.

10 DR. BUCHANAN: It says, non-uranium.  
11 It should be non-routine uranium. Okay?

12 MS. K. BEHLING: We'll make that  
13 change.

14 DR. BUCHANAN: Okay. Just wanted to  
15 clarify. Okay. And now, so that brings it to  
16 Finding 9. And that was a reference to Attachment  
17 D.

18 They used a reference, again, they  
19 talked about Attachment D in the original TBD. And  
20 it wasn't included in the Revision 1 of TBD-5. And  
21 the way NIOSH explained it, that was an error in  
22 the original TBD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           It should not have said anything about  
2 Attachment D, because it wasn't there. And also,  
3 it wasn't in the revision. And it, well, it  
4 shouldn't have been in the revision. It was  
5 corrected, that text was removed from the revised  
6 TBD.

7           And so, we agree. We just wanted to  
8 make sure there wasn't something out there we was  
9 missing, that was forgotten when they went to the  
10 revised TBD. And there wasn't. That text  
11 shouldn't have been in the original one. And so,  
12 we agree. And that was, we recommend closure on  
13 that.

14           MEMBER ZIEMER: Okay. Nine is closed.

15           DR. BUCHANAN: Okay. Okay. And then  
16 we have ten. It's changes in uranium specific  
17 activity in TBD-5. Table 5.2.5-1 of Revision 1 was  
18 different than those in Revision 2.

19           And what NIOSH explained, and if this,  
20 obviously when you looked at the details, is that  
21 they took a very long list in the original TBD. And  
22 they condensed it, and just gave the highest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specific activities.

2 So the dose reconstructor would use  
3 those, rather than selecting from a variety that  
4 he could have selected from in the original one,  
5 table, which is pretty lengthy. And so it ends up  
6 being claimant favorable, reasonable. And so, we  
7 recommend that that be closed.

8 MEMBER ZIEMER: Agreed. We'll close  
9 it.

10 DR. BUCHANAN: Okay. Now, Finding  
11 Number 11 is, we found that in the revised TBD that  
12 they doubled the plutonium americium impurity  
13 levels, which could increase the dose in the 0.4  
14 microcuries per gram to 0.8.

15 And so, the latest response we have on  
16 that is that NIOSH would consider that and get back  
17 to us. And so, that's where we're at on that. So  
18 that's in NIOSH's court. That and 12 also.

19 MEMBER ZIEMER: Is there anything more  
20 recent, Jim, on that one?

21 DR. NETON: Well, I don't think so at  
22 this point.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER:    So we leave that in  
2                   process then.

3                   DR. NETON:    Right.

4                   MEMBER ZIEMER:    Okay.

5                   DR. BUCHANAN:    Okay.    And the same  
6                   thing applies to 12.    It's a change in the  
7                   reporting level increase to TBD-5.    And the latest  
8                   I have on that is NIOSH is going to evaluate that  
9                   on December 15th 2015, and get back with us.

10                  MEMBER ZIEMER:    And it's still in  
11                  process?   Kathy?

12                  DR. NETON:    Yes, I believe so.

13                  MEMBER ZIEMER:    Okay.

14                  MS. MARION-MOSS:    Paul, what we're  
15                  saying is that we're going to address this issue  
16                  in the next PER.

17                  MEMBER ZIEMER:    Yes.    I'm trying to  
18                  decide how we handle this in the documentation  
19                  here.    Is it considered, I mean, when you say  
20                  you're going to address it, that doesn't put it in  
21                  abeyance, because we don't have the answer yet, do  
22                  we?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   How do we handle these? I think this  
2                   is procedural. Maybe, Madam Chairman, you can  
3                   tell me procedurally what do we do on this? Isn't  
4                   this still in process then, or not?

5                   MR. KATZ: Yes, I can tell you, Paul.  
6                   Yes, this would still be in progress, because you  
7                   don't know what the solution is.

8                   CHAIR MUNN: Yes.

9                   MEMBER ZIEMER: Yes. Okay. So 11 and  
10                  12 will remain in progress then.

11                  MS. K. BEHLING: Yes. They're  
12                  currently showing as open. So I will change them  
13                  to in progress.

14                  CHAIR MUNN: Right. Yes.

15                  DR. BUCHANAN: Okay. That includes  
16                  all 12 findings on PER-29.

17                  CHAIR MUNN: That's great. Thank you  
18                  very much, Paul.

19                  MEMBER ZIEMER: Well, I had a lot of  
20                  findings there, I'll tell you, single handedly.

21                  MR. KATZ: Yes. You're getting a lot  
22                  of work done, Paul. Well done.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR MUNN: Yes. Especially in view  
2 of the fact he doesn't even have a screen to work  
3 from. And, yes, that's tough when you can't get  
4 to the BRS and you're doing it. But you're doing  
5 a good job. Thank you.

6                   I have a question for all of the  
7 participants here. We're getting very close to  
8 our wrap up time. And we're not nearly through our  
9 list yet. And we're past due, I think, for at least  
10 a short break. Questions from you, for you. Are  
11 all of you good to go for an additional half hour,  
12 or not?

13                  MR. HINNEFELD: Well, Wanda, this is  
14 Stu. I'm not hearing you. I'm only hearing about  
15 every third word.

16                  CHAIR MUNN: Oh, all right. I'm  
17 asking if folks are going to be available to  
18 continue after 4:00 p.m. your time, until 4:30 p.m.

19                  MR. KATZ: This is, Wanda, this is Ted.  
20 And I'm not available after 4:00 p.m. I have a  
21 migraine coming on. And it's getting worse.

22                  And so I'd, if people can forebear, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be glad if we could just plow through and get  
2 as much done as we can get done today. And then  
3 end by 4:00 p.m. All right. Let's do one or two  
4 others and --

5 MR. KATZ: If someone needs a break, by  
6 all means then say so, and we'll break for the ten  
7 to go the, you know, bathroom break, or whatever.  
8 But otherwise --

9 CHAIR MUNN: Anybody want to, anybody  
10 can't stick with us, then keep plowing through?  
11 Okay. And the case --

12 MEMBER ZIEMER: I will need to take a  
13 short break.

14 MR. KATZ: Okay. Well, then let's go  
15 ahead. Let's do that then. I don't want to put,  
16 make other people uncomfortable with that.

17 CHAIR MUNN: Let's take ten. And be  
18 back in ten flat, okay?

19 MEMBER ZIEMER: Okay.

20 CHAIR MUNN: Thanks much.

21 (Whereupon, the above-entitled matter  
22 went off the record at 3:24 p.m. and resumed at 3:35

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 p.m.)

2 **PER 0031**

3 CHAIR MUNN: Let's take up immediately  
4 with PER-31. It's a carryover and NIOSH has the  
5 action.

6 MR. HINNEFELD: Okay, this is Stu.  
7 That's the PER of the Y-12 internal and the question  
8 was about are the in vivo results for thorium  
9 interpretable and we don't have an answer on that  
10 yet.

11 We may be looking to seeing if we have  
12 an air sampling solution because I'm not so sure  
13 we're going to get an in vivo sampling, or an in  
14 vivo monitoring solution for that, thorium in vivo  
15 results that reported in milligrams.

16 **PER 0042**

17 CHAIR MUNN: Okay. So that being the  
18 case we'll continue to carry that over. PER-42  
19 status?

20 DR. BUCHANAN: Yes. This is Ron  
21 Buchanan.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Okay, PER-42 was the Linde Ceramic  
2                   Plant and this was just an wording issue and in the  
3                   original TBD, it was a TBD change, and PER-42 was  
4                   issued on that and we questioned the difference in  
5                   the wording on what would be assigned to some  
6                   workers and different rates of intake.

7                   In the old TBD they had some wording on  
8                   Page 75 which didn't match the tables that the DR  
9                   was using and they corrected this wording on Page  
10                  74 of the revised TBD, and so it matches the intakes  
11                  that the workers should be receiving.

12                  And so we agree that that was corrected  
13                  and recommended it should be closed.

14                  CHAIR MUNN: Any comments? Paul?

15                  MEMBER            ZIEMER:                    Sounds  
16                  straightforward to me.

17                  CHAIR MUNN: Josie?

18                  MEMBER BEACH: No comments here.

19                  CHAIR MUNN: Okay. Standard wording  
20                  on that one then, Kathy.

21                  MS. K. BEHLING: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       **PER 0045**

2                   CHAIR MUNN: We'll move on to PER-45.

3                   MS. K. BEHLING: Okay. PER-45 is  
4 Aliquippa Forge and I'm going to start this off by  
5 explaining when we, Hans and I looked at this and  
6 when we looked at NIOSH's -- we're starting with  
7 Number 1 here, which was in abeyance, and when we  
8 looked at NIOSH's response to the finding we, their  
9 initial response, we came to the conclusion that  
10 they were not going to make the changes that Hans  
11 had recommended.

12                   However, and so, therefore, I put in a  
13 statement here that I'm going to have to correct  
14 because earlier today we did go back and confirm  
15 that the changes have been made to the Aliquippa  
16 Forge TBD and they have all been made correctly.

17                   Hans will go through those in a little  
18 more detail, but they had agreed in Finding 5 to  
19 use 8.49 dpm per cubic meter as their starting point  
20 for the residual period and because of that that  
21 changed all of the, many of the findings.

22                   And so, yes, I'm going to let Hans go  
23 into details, but I am going to change our response

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for this finding and I apologize for that.

2 CHAIR MUNN: Okay. No, that's quite  
3 all right. This is the time to do it.

4 DR. H. BEHLING: Okay. If I can just  
5 kind of quickly summarize the issues that we had  
6 discussed at one of the earlier Subcommittee  
7 meetings and when I reviewed the responses to the  
8 eight findings that we had identified with regard  
9 to PER-45 I realized that they were, in essence,  
10 all tied together.

11 And when NIOSH accepted the fact that  
12 they were going to revise, and the most important  
13 thing to this whole change was NIOSH's concession  
14 for Finding Number 5 where initially they had  
15 derived an air concentration for 1950, which was  
16 an artificial construction of an air concentration  
17 that was based on faulty assumption, they came up  
18 with an air concentration for 1950 of 0.211 dpm per  
19 cubic meter.

20 And I pointed out that that was not  
21 likely to be the one that they should use, in fact,  
22 I pointed out the value of 8.94 dpm per cubic meter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in air. That was 42 fold higher than the one they  
2 had initially used.

3 And the reason why this is important is  
4 that for all the years in between 1950 and 1992 that  
5 particular value was used for an extrapolation  
6 purpose for air and for internal and external.

7 And as the result of that they came up  
8 with values that were considerably lower because  
9 they started out with a 42 fold lower air  
10 concentration and extrapolated through 1950 and  
11 1992 using that information.

12 Important there was obviously the use  
13 of a source term depletion factor which, as I said,  
14 the air concentration was changed 42 fold higher  
15 for the 1950 and as a result of also a change in  
16 the final 1992 air concentration where NIOSH  
17 accepted a resuspension value that was tenfold  
18 higher from ten to the minus six to ten to the minus  
19 five.

20 They also revised in 1992 air  
21 concentration, so the air concentration was  
22 changed from 0.035 dpm per cubic meter to 0.35,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which is tenfold higher.

2 So using these two values, from 1992  
3 that have changed tenfold higher, to 1950, which  
4 is 42 higher, they determined, they, obviously,  
5 extrapolate a depletion factor, and this new  
6 depletion factor changed from 1.15 times ten to the  
7 minus four dpm -- Oh, per day, I'm sorry -- to 2.08  
8 times ten to the minus four per day as the  
9 depletion.

10 That changed every single value in  
11 Table 5.1 and, as I said, all of the Findings  
12 related to those issues, the internal and the  
13 external, which were obviously not changed because  
14 of these three changes, the starting air  
15 concentration in 1950, this air concentration in  
16 1992, and the depletion, source term depletion  
17 value that was changed in essence because of those  
18 two values and all but Finding Number 4 were  
19 dependent on those changes.

20 Finding Number 4 was an air  
21 concentration that I identified as being the  
22 highest one and NIOSH looked at that air

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concentration that was very much higher than the  
2 8.94 with the 180 dpm, but it was associated with  
3 a highly select area of the plant involving  
4 sweeping and I accepted the fact that that was  
5 episodic and I conceded that particular Finding  
6 because it was not something that one could  
7 reasonably conclude would expose people for a long  
8 term period, so as a result of everything that has  
9 changed we looked at the revisions.

10 We feel that every single Finding,  
11 other than Finding Number 4, which we conceded as  
12 being perhaps not important, that was closed and  
13 has been satisfied and I would recommend that we  
14 close all of the Findings out, other than 4 that  
15 has already been resolved.

16 CHAIR MUNN: Well, I'll remind the  
17 Board Members that Kathy has said she has some  
18 wording to change here, but with respect to the  
19 closeout of the items itself I am certainly in favor  
20 of doing that.

21 Does anyone have any discussion or any  
22 question that needs to be asked?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: I have no questions.  
2 Thank you, Hans, for that summary. I concur and  
3 we should close.

4                   CHAIR MUNN: Josie?

5                   MEMBER BEACH: Yes, Wanda, I agree with  
6 that also.

7                   **PER 0047**

8                   CHAIR MUNN: Alright, very good.  
9 We'll look forward to Kathy's change in the wording  
10 both here and to the wording with respect to closure  
11 and we'll move on to PER-47. Thank you much, Hans,  
12 and thank you also, Kathy, appreciate it. 47?

13                   MS. K. BEHLING: I believe we're  
14 waiting for NIOSH.

15                   MR. HINNEFELD: Yes, Lori, can you  
16 handle that one?

17                   MS. MARION-MOSS: That one is the one  
18 -- is that Grand Junction?

19                   MR. HINNEFELD: That's Grand Junction.

20                   MS. MARION-MOSS: Yes, okay. If you  
21 don't mind there is a little confusion on SC&A's  
22 response to our response. Kathy, can you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 elaborate on your response? We were a little  
2 confused about your response.

3 MS. K. BEHLING: This is just Finding  
4 --

5 MR. HINNEFELD: Which Finding?

6 MS. MARION-MOSS: Pardon me?

7 MS. K. BEHLING: Which Finding?

8 MS. MARION-MOSS: Three.

9 DR. H. BEHLING: Okay, yes, Finding 3.  
10 Let me just refresh everyone's memory here. That  
11 was basically an issue here where in the report the  
12 raw data for 569 air samples are stated as being  
13 available for doing dose reconstruction at the  
14 discretion of the dose reconstructor and they did  
15 not reference -- in the initial statement, they did  
16 not reference where those 569 air samples were  
17 actually located and how to use them.

18 And, of course, NIOSH's response  
19 identified the source for those 569 air samples in  
20 a total of -- let me see, I think it was 15  
21 documents, and what I really concluded was yes,  
22 they may be available, but is it reasonable to ask

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a dose reconstructor to actually sit down and wade  
2 through 15 different documents to assess how those  
3 air samples might apply to a particular individual  
4 who's dose reconstruction is being targeted here.

5 And I believe that that, in essence,  
6 would be an unfair expectation on the part of the  
7 dose reconstructor to go through 15 different  
8 documents to identify air concentration and then  
9 for himself determine how that might apply to that  
10 individual.

11 Again, I want to raise the issue of  
12 consistency here. If you have multiple people who  
13 are going to be doing this will they use the same  
14 air concentration to establish how they might  
15 apply.

16 Are these general air samples? Are  
17 they breathing some samples? What will be used for  
18 an individual when there is no reference to that  
19 individual in context with that air concentration  
20 in terms of his job description, in terms of his  
21 whereabouts, the timeframes, et cetera, et cetera?

22 It's a complex issue that I would not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 expect a dose reconstructor to do. So it's either  
2 NIOSH creates a table that would perhaps be useable  
3 for that particular dataset where they simply if  
4 they need it as an option for a dose reconstructor  
5 to use.

6 MR. HINNEFELD: Okay.

7 DR. NETON: Stu, I think I got a little  
8 bit of intelligence on this.

9 MR. HINNEFELD: It's starting to come  
10 back to me a little bit. Go ahead, Jim.

11 DR. NETON: Yes. I think Hans -- I  
12 reviewed this a long time ago when we were preparing  
13 for the last meeting and, unfortunately, I didn't  
14 have time to revisit this.

15 But my recollection is that the  
16 template that we do have in there has a table. It  
17 doesn't talk about this, you know, looking at the  
18 15 SRDB references.

19 It's almost like you were looking at a  
20 draft table or something because clearly the table  
21 that I looked at, the document, the draft, the  
22 template that I looked at a few months ago did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exactly what you said.

2 It provided a table with estimates to  
3 be used and it seemed okay to me. I'm not sure  
4 exactly what happened here.

5 MR. HINNEFELD: Yes, Jim, here's what  
6 happened on this.

7 DR. NETON: Yes?

8 MR. HINNEFELD: This is a case review,  
9 right, a Finding from a case review?

10 DR. NETON: Yes.

11 MR. HINNEFELD: Yes. What happened is  
12 in this particular claim the employee didn't have  
13 employment during the period that these air samples  
14 applied to, but that phrase, that statement, was  
15 left in there about having all these statements in  
16 the D&D period, but the table was taken out of the  
17 dose reconstruction.

18 That part of the template wasn't used  
19 in the dose reconstruction because they didn't have  
20 employment during that period, so it led to a  
21 confusing, you know, depiction, and to be honest,  
22 regardless of whether they had employment during

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       this period or not, since this statement was in the  
2       dose reconstruction it would have been a lot more  
3       clear if the table had been in the dose  
4       reconstruction report.

5                   This got to -- and, you know, this goes  
6       to an area of the use of the templates and actually  
7       I think it was a little surprising to some of us  
8       that the template wasn't used in its entirety and  
9       that pieces of it were taken out for certain claims.

10                   So I think we have some work on our side  
11       to do to deal with that particular issue and it may  
12       reflect on our answers in these, in this claim, in  
13       this Finding.

14                   DR. H. BEHLING:   Yes, you know, I think  
15       that goes back to some of the comments we made with  
16       regard to the template is that we only encountered  
17       them when we actually do a dose reconstruction that  
18       makes use of the template and in this case it was  
19       an incomplete template and that, obviously,  
20       brought up an issue that I would have not brought  
21       up had I had access to a complete template.

22                   MR. HINNEFELD:   Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: And we have always  
2 made the comment that perhaps these templates  
3 should be identified as an independent document  
4 other than identifying them only through a DR that  
5 is ultimately also inclusive of that template.

6 MR. HINNEFELD: Right.

7 DR. H. BEHLING: Yes. I understand  
8 the issue now. I wasn't aware that this was, this  
9 part of the template was deleted on behalf of this  
10 individual and, therefore, I did not have access  
11 to a table that would have given me reasons not to  
12 even make that an issue.

13 MR. HINNEFELD: Yes, right. With this  
14 we have to some internal discussions on dealing  
15 with it ourselves.

16 MS. K. BEHLING: Yes. In fact, when we  
17 started looking at this PER I went out on the NIOSH  
18 website looking for the template.

19 This was I think one of the first ones  
20 that we had actually dealt with and I couldn't find  
21 it and I ended up talking with David Allen who  
22 pointed me to two of the cases.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   He is the one that provided us with the  
2                   case numbers as to here is the old template, here  
3                   is the new template.       So that's how that  
4                   transpired.

5                   MR. HINNEFELD:   Right.

6                   MS. K. BEHLING:   And I -- while we are  
7                   talking about templates, and I don't want to get  
8                   sidetracked here, and perhaps I didn't listen in  
9                   to the full Board meeting last time, has there been  
10                  any additional discussion on SC&A perhaps looking  
11                  at these templates?

12                  And it seems to me that this would be,  
13                  I don't know -- this Subcommittee would be the group  
14                  that might want to tackle those if we are going to  
15                  be tasked with looking at them.

16                  MR. HINNEFELD:   Yes, we've started  
17                  some internal discussions on our side, but we don't  
18                  have a resolution at this point.

19                  CHAIR MUNN:   Okay.   So it looks to me  
20                  as though Finding 3 is going to be a carryover.

21                  MR. HINNEFELD:   Yes.

22                  CHAIR MUNN:   Okay.   We're moving on to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Finding 4.

2 DR. H. BEHLING: Oh, Finding 4. That  
3 was an error, or an issue that I identified with  
4 regard to Table 3 in the template and that is the  
5 absence of a value that, let me see -- I have to  
6 refresh my memory. My apologies.

7 This relates to radium-226 and  
8 thorium-230 and the issue was that NIOSH had some  
9 thorium activity fraction cited in the Table 3 of  
10 the provided template and I gathered that NIOSH has  
11 accepted that and --

12 MR. HINNEFELD: This is Stu again.  
13 Hans, I'm not following you very much, I'm just  
14 hearing pieces of words.

15 DR. H. BEHLING: Okay, I may have to --  
16 can you hear me now, Stu?

17 MS. MARION-MOSS: Wanda?

18 CHAIR MUNN: Yes?

19 MR. HINNEFELD: Yes, now I can hear  
20 you.

21 MS. MARION-MOSS: This is Lori. We  
22 discussed Finding 4 and we indicated that we agreed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the Finding that we would make a change to the  
2 template during the next revision, so that Finding  
3 is in abeyance.

4 CHAIR MUNN: So it's only in abeyance  
5 and we won't continue to cover it.

6 MS. MARION-MOSS: Correct, right.

7 CHAIR MUNN: Then we -- an additional  
8 discussion doesn't appear to be necessary then,  
9 Hans. Thank you so much.

10 DR. H. BEHLING: Okay.

11 CHAIR MUNN: We'll see what happens  
12 with abeyance.

13 DR. H. BEHLING: Okay.

14 CHAIR MUNN: And unless there is some  
15 comment or other concerns with PER-47 we'll move  
16 on to PER-53, a review status from SC&A.

17 **PER 0053**

18 DR. H. BEHLING: Okay, 53 is Allied  
19 Chemical. Let me just quickly get my mind straight  
20 here.

21 I think I can start out by saying that  
22 we used Rev 1 of the Allied Chemical Corporation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Technical Basis Document and there were obviously  
2 some issues that related to the presence of  
3 non-uranium radioisotopes, thorium-230,  
4 radium-226, radon-222, et cetera, and there were  
5 also issues related to neutron exposures from the  
6 alpha neutron reaction to the UF-4 and UF-6,  
7 uranium tetrafluoride and uranium hexafluoride.

8 And, also, for the residual period  
9 after 1976 when Allied Chemical resumed ore  
10 processing, but they provided the uranium  
11 tetrafluoride through gaseous diffusion plants  
12 used by commercial fuel fabrication facilities  
13 that were not covered by EEOICPA.

14 And yet, however, there was a need for  
15 dose reconstruction during that residual period  
16 from residual contamination that had been part of  
17 the issue that we had prior to '76 under EEOICPA  
18 for dose reconstruction.

19 Secondly, there were, in that interim  
20 there were changes made to OTIB-70 and the most  
21 important change there was the depletion factor  
22 from 1 percent per day to 0.0067 per day.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And these changes were essentially  
2           incorporated in the Rev 2 of the Allied Chemical  
3           TBD that was issued on May 5 in the year 2014, and  
4           as a result of those changes to the TBD the PER-53  
5           was issued and when we were asked to review the  
6           PER-53 we reviewed all of the various components  
7           that we were asked to do, Subtask 1, 2, 3, and 4.

8           And as a result of our review we had no  
9           findings for Subtask 1, 2, and Subtask 3, only  
10          Subtask 4 where we needed to select a  
11          representative dose construction to verify that  
12          these changes had been incorporated.

13          We identified that the potential exists  
14          for a single dose reconstruction to satisfy that  
15          need provided that single dose reconstruction  
16          covers the operational period --

17                           (Telephonic interference)

18                           -- and the residual period.

19          On the other hand, we note that a dose  
20          construction --

21                           (Telephonic interference)

22                           -- that covers both periods then we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would have to have two different independent dose  
2 reconstructions, one that was principally for  
3 first period of operation, the second one post the  
4 operational period where only the residual  
5 contamination would come into play.

6 And, as a result, that is the only issue  
7 that we believe to be discussed at this point is  
8 the selection and identification of either one or  
9 two dose reconstructions that would, of course,  
10 satisfy Subtask 4.

11 CHAIR MUNN: And so this is a decision  
12 NIOSH will have to make based on if the pool --

13 (Simultaneous speaking)

14 DR. H. BEHLING: -- that usually it  
15 also incorporates the Subcommittee I take it.

16 MR. HINNEFELD: Oh, so it also  
17 incorporates the what?

18 MS. K. BEHLING: The Subcommittee.

19 DR. H. BEHLING: The Subcommittee.

20 MS. K. BEHLING: In other words you are  
21 in agreement that we should go ahead with just a  
22 case, Wanda, I assume.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Oh, okay.

2 (Simultaneous speaking)

3 CHAIR MUNN: Yes, I think so. That  
4 sounds obvious to me.

5 MS. K. BEHLING: Okay.

6 CHAIR MUNN: Any comment from the  
7 Board?

8 MEMBER ZIEMER: No, that's -- I agree.

9 MEMBER BEACH: No comment and I also  
10 agree.

11 CHAIR MUNN: Oh, that's very good.  
12 Then the only action here is for NIOSH to, based  
13 on the pool they have, make the decision whether  
14 they need one or two and convey that information  
15 to SC&A, correct?

16 DR. H. BEHLING: Yes.

17 CHAIR MUNN: I think we'll make a note  
18 on the BRS to indicate that and we can go on to  
19 PER-55, and we have three minutes.

20 DR. MAURO: You got it.

21 CHAIR MUNN: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       **PER-0055**

2                   DR. NETON:     Okay, this John.     Hi,  
3       everybody.     PER-55 was issued by NIOSH on  
4       September 12, 2014, and it was the PER that was  
5       designed to revisit all the cases that might need  
6       to be revisited because of all of the changes that  
7       were made to TBD-6000, which you all know is the  
8       uranium machining and handling TBD.

9                   And there is a -- and it was issued, it  
10       was Rev 1 that was issued, and all of the -- that  
11       Rev captured all of the issues that were discussed  
12       over the period of years with Paul's group,  
13       TBD-6000, all of which were resolved.

14                   So from the perspective and -- but there  
15       is a little nuance here that I'll get to in a minute.  
16       So from a technical perspective there is really  
17       nothing to discuss.

18                   All of the issues were discussed,  
19       resolved, documented, and on the record related to  
20       TBD-6000, which was a protracted process.     The  
21       TBD-6000, keep in mind, only applies to cases where  
22       TBD-6000 the parent documents were used.

23                   It does not apply to the appendices,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       like GSI, Appendix BB. So what NIOSH did was go  
2       and capture all of the cases that might have been  
3       affected by all of the changes that were made to  
4       TBD-6000 and called them down and identified, I  
5       believe, about 30 cases that needed to be  
6       revisited.

7               And so our process was, one, to take a  
8       look technically, are there any open issues that  
9       we need to talk about, and that's the first thing  
10      I'd like to bring to the attention.

11             There are two things that I don't  
12      consider to be major issues, but I do want to put  
13      them on the table. One is TBD-6000 currently is  
14      silent regarding OTIB-70, which you know deals with  
15      the residual period.

16             I called Jim up while I was working on  
17      this to ask by the way when you revisited the cases  
18      that were in play, because of the revision of the  
19      TBD-6000, did you factor in the changes made to  
20      OTIB-70, because there really isn't anything said,  
21      any words, language, in Revision 1 of TBD-6000.

22             And Jim indicated absolutely, yes, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that at some future time they will simply have to  
2 put some language in, but they did in fact, when  
3 they revisited, certainly factored in any changes  
4 that occurred to OTIB-70.

5 So that's just by way of a matter that  
6 at some future time it's probably a good idea just  
7 to put some language in there, that was one  
8 observation.

9 The second one, from a technical  
10 perspective, has to do with the Putzier effect, the  
11 famous Putzier effect. NIOSH did an excellent job  
12 in describing the effect starting on Page 20 of  
13 TBD-6000. And on Page 22, and here is my question,  
14 and I guess it's to David or Jim, is the statement  
15 is made that the Harris and Kingsley, which is the  
16 underpinning to TBD-6000, values that are used as  
17 default values, as you know in the back of TBD-6000  
18 there are these look-up tables where you look up  
19 the dose rates beta, the dose rates gamma, as a  
20 function of job category and as a function of year,  
21 and there is some language in TBD-6000 that says  
22 that, yes, we did take the Putzier effect into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 account by multiplying the beta dose by a factor  
2 of ten.

3 In other words, recognizing that this  
4 could be a problem and, you know, the circumstances  
5 under which that's a problem are complex, all of  
6 which have been discussed and all of which have been  
7 agreed upon.

8 But my question now really to NIOSH that  
9 maybe we could resolve real quickly is when a person  
10 is doing a dose reconstruction for external  
11 exposure that might be handling metal and where you  
12 are concerned about his beta exposure to the skin,  
13 do the look-up tables in the back of TBD-6000 where  
14 it gives millirad per year or millirad per hour --  
15 I don't have it in front of me -- do those values  
16 reflect an increase to account for the Putzier  
17 effect or is that something that the dose  
18 reconstructor has to make a judgment himself on  
19 whether or not to make any adjustments to the  
20 default exposure rates that are currently in  
21 TBD-6000?

22 DR. NETON: John, I don't think they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do. I'd have to verify that, but my gut feeling  
2 is that those reflect, you know, freshly made  
3 metal, not reprocessed metal, which is in the  
4 situation where the Putzier effect would come into  
5 play.

6 DR. MAURO: No, no, and --

7 DR. NETON: These metals --

8 DR. MAURO: -- I agree with you and in  
9 the writeup, in your writeup in TBD-6000 starts on  
10 Page 20, there is an excellent description of all  
11 of that, but it does conclude with a statement  
12 that's why I raise this.

13 I'll read it, it's on Page 22, it's one  
14 sentence.

15 DR. NETON: Yes.

16 DR. MAURO: Film badge readings at  
17 various sites indicate those sites engaged in  
18 remelting exhibit the highest ratio of whole body  
19 beta dose to whole body gamma dose. The ratio for  
20 those sites can approach ten. Therefore, a ratio  
21 of ten is used in this document to account for this  
22 effect.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now that statement basically is telling  
2                   me that the look-up tables that you have in the back  
3                   have taken that into consideration, and if the  
4                   answer to that -- and I just wanted to confirm that  
5                   if that in fact is the case, we're done. If there  
6                   is some ambiguity regarding whether in fact that's  
7                   the case then it may be worth looking into that a  
8                   little further.

9                   DR. NETON: Yes, I think we're going to  
10                  have to look into it because I really can't tell  
11                  right now.

12                 DR. MAURO: Okay. So it sounds like we  
13                  may need to leave that particular matter open until  
14                  we can nail it closed. So that's the one TBD-6000  
15                  issue that was left in a little bit of an ambiguity  
16                  and we may need to resolve.

17                 DR. NETON: Could you read that  
18                  statement one more time then, John?

19                 DR. MAURO: Sure, I'll read it one more  
20                  time. It's on Page 22 of TBD-6000.

21                 DR. NETON: Okay.

22                 DR. MAURO: Film badge readings at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 various sites indicate those sites engaged in  
2 remelting exhibit the highest ratio of whole body  
3 beta dose to whole body gamma dose. The ratio for  
4 those sites can approach ten. Therefore, a ratio  
5 of ten is used in this document to account for this  
6 effect.

7 And I was, you know -- and, that's  
8 great, but I just wanted to confirm then, does that  
9 mean that the look-up tables in the back that you  
10 use --

11 DR. NETON: Yes, yes. I can't tell  
12 from that statement, but I'd be surprised if they  
13 include it because the remelting is kind of an  
14 exception compared to --

15 DR. MAURO: And I agree with that.

16 DR. NETON: Yes.

17 DR. MAURO: That there are only very  
18 specific circumstances where that might be a  
19 problem, but there may very well be AWE sites where  
20 that was the case and they could be done.

21 DR. NETON: Oh, yes, yes.

22 DR. MAURO: And that's my only concern,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is --

2 DR. NETON: Yes, we can get back with  
3 that answer pretty quickly, but, unfortunately, I  
4 can't off the top of my head confirm one way or the  
5 other.

6 DR. MAURO: Okay. So if it's  
7 acceptable to the Subcommittee, I think that is  
8 something that probably should stay in progress  
9 until we can close that down.

10 CHAIR MUNN: All right. But I have, if  
11 I am following your presentation adequately, John,  
12 I believe that you found the first issue all right  
13 and that the only outstanding thing in your mind  
14 is the question about the Putzier effect?

15 DR. MAURO: Yes.

16 CHAIR MUNN: All right, and that  
17 tables. NIOSH will -- That's what I will expect  
18 to maintain on our agenda and everything else from  
19 our perspective is clear on PER-55, correct?

20 DR. MAURO: Right. The only thing you  
21 have to do with that OTIB-70 where everything is  
22 fine but there is no language in TBD-6000 in its

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 current form to let the reader, the dose  
2 reconstructor, know please use OTIB-70 when you are  
3 doing the residual period.

4 So it's just -- in fact, when the PER  
5 was done and they revisited those cases, they  
6 didn't, I spoke to Jim and they did in fact factor  
7 in OTIB-70 in their re-analysis.

8 But the language itself is not there in  
9 the TBD itself, TBD-6000, so it's just a matter of  
10 getting that language in at some convenient point  
11 in the future.

12 CHAIR MUNN: So, actually, we are still  
13 in abeyance on that one.

14 DR. MAURO: Okay.

15 CHAIR MUNN: Okay, very good.

16 DR. MAURO: The last point I want to  
17 make, and I guess it's a preliminary thought, is  
18 this was a very difficult job for NIOSH to do.

19 They had to identify all of the cases  
20 where TBD-6000 was at play, not a simple matter,  
21 and we -- I'd just like to say that Amy, who is on  
22 the line right now, actually checked to see if they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 missed anything and the description of what we did  
2 to check is very complex.

3 I'm not going to go over it here, but  
4 just to let you know that if you do read our report  
5 you will see that we believe that NIOSH didn't miss  
6 anything, that is when they went back and captured  
7 what needed to be revisited they in fact did revisit  
8 everything that needed to be revisited.

9 So we find favorably with regard to the  
10 scope of the cases that they looked at. As far as  
11 getting into the details on how we did that, that  
12 could take some time, but it's all written up in  
13 our report that you have before you.

14 CHAIR MUNN: Good, yes, for which we  
15 thank you, yes.

16 DR. MAURO: Finally, I'm almost done,  
17 we recommend three cases be reviewed, that the  
18 Subcommittee working with NIOSH identified three  
19 cases.

20 We'd like to look at the one case where  
21 there was a reversal where a person was  
22 compensated, we would like to at another case where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the dose went down, and we'd like to look at a third  
2 case that did in fact use OTIB-70.

3 If those three cases could be  
4 identified, we think -- and we would like to review  
5 those and close out this process.

6 CHAIR MUNN: Did or did not use  
7 OTIB-70?

8 DR. MAURO: Did. A case where OTIB-70  
9 was in fact used. This would confirm the statement  
10 that, yes, it was done, even though there is no  
11 language in TBD-6000 to that effect.

12 CHAIR MUNN: NIOSH, can you  
13 accommodate that request?

14 DR. NETON: Yes, I think so.

15 CHAIR MUNN: Okay, very good.

16 DR. MAURO: I'm done.

17 CHAIR MUNN: Good.

18 MS. MARION-MOSS: I have a follow-up  
19 question to PER-55, Wanda, real quick.

20 CHAIR MUNN: Okay.

21 MS. MARION-MOSS: So there were  
22 Findings for PER-55, am I correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: There were basically two,  
2 which are more like questions. One is this OTIB-70  
3 business, which really is a matter of, you know,  
4 getting the language in there at some time when it  
5 is convenient, so that's really not an issue.

6 The other one has to do with the Putzier  
7 effect, which also is an assumption, you know. The  
8 TBD-6000 does say that it does accommodate the  
9 Putzier effect, but it's not apparent of that in  
10 fact is accomplished, because there are a bunch of  
11 look-up tables in the back of TBD-6000 and it's not  
12 clear how the dose reconstructor would take the  
13 Putzier effect into account if in fact it needs to  
14 be taken into account.

15 You know, if circumstances existed at  
16 a given facility where it becomes clear that, yes,  
17 maybe we should have taken the Putzier effect into  
18 account, so, yes, that is an issue, a finding that  
19 I think is important that needs to be cleared up.

20 MS. MARION-MOSS: So SC&A will be  
21 updating the BRS with these findings, is that  
22 correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Yes.

2 MS. MARION-MOSS: Okay, thanks.

3 CHAIR MUNN: All right.

4 MR. HINNEFELD: This is Stu. I just  
5 want to make sure I am clear on those criteria that  
6 John listed for case selection.

7 One was a case whose compensation  
8 changed to not compensated, is that right?

9 CHAIR MUNN: Yes, reversed.

10 MR. HINNEFELD: And the second one was  
11 a dose, or the dose in the DR went down from the  
12 original?

13 DR. MAURO: Yes.

14 CHAIR MUNN: Yes.

15 MR. HINNEFELD: And the third was that  
16 the claim used TIB-70 in the rework?

17 DR. MAURO: Yes.

18 CHAIR MUNN: Correct.

19 MR. HINNEFELD: Okay, all right.

20 CHAIR MUNN: That's what I have. Very  
21 good. And thank you, John, for that 16-minute,  
22 3-minute presentation. Any other comments with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 respect to PER-55?

2 (No response.)

3 **ADMINISTRATIVE DETAIL - ROUTINE NOTE OF ABEYANCE ITEMS**  
4 **READY FOR CLOSING**

5 CHAIR MUNN: All right, let's quickly  
6 go to administrative detail. Lori, with respect  
7 to the first item, routine note of abeyance items  
8 ready for closing, can we postpone that until next  
9 time, unless there is something pressing we need  
10 to hear?

11 MS. MARION-MOSS: No. We can hold  
12 that over.

13 **ADMINISTRATIVE DETAIL -STATUS OF CASE SELECTION**  
14 **RECOMMENDATIONS**

15 CHAIR MUNN: Okay, I'm going to carry  
16 those over. And the status of the case selection  
17 recommendations have been given to us. I am sure  
18 everybody has had a chance to look at those.

19 Do we want to move through that very  
20 quickly? There were nine of them, three of them  
21 were just Subtask 4 issues. Are there any  
22 questions that anybody has rather than go through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           them one at a time, since I am assuming that, Paul,  
2           both you and Josie have had an opportunity to read  
3           these, correct?

4                         MEMBER BEACH:   Yes.

5                         CHAIR   MUNN:         Do   you   have   any  
6           suggestions, any comments?

7                         MEMBER ZIEMER:   I don't think I've seen  
8           them.   What are we looking at?

9                         CHAIR   MUNN:         Oh.     Well, back in  
10          February, Kathy sent us that original list and it  
11          was recently resent to us so that we could see that  
12          list of nine.

13                        MEMBER ZIEMER:   Okay, all right.  Is  
14          that the email from Kathy?

15                        CHAIR   MUNN:    Yes.

16                        MEMBER ZIEMER:   Oh.   Oh, yes, hang on.  
17          I just want to pull that up, but --

18                        MEMBER BEACH:    It was February 23rd,  
19          Paul, if that helps.

20                        MEMBER ZIEMER:   February 23rd.

21                        CHAIR   MUNN:         Well there was a more  
22          recent dispersion of those sheets.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH: Oh, that's right, there  
2 was.

3                   (Simultaneous speaking.)

4                   CHAIR MUNN:     -- but it was very  
5 quickly.

6                   MEMBER BEACH: Same one.

7                   MEMBER ZIEMER: Yes, I am looking at it  
8 now I think. Wait.

9                   CHAIR MUNN: Good.

10                  MEMBER ZIEMER: What was the date on  
11 the recent one?

12                  CHAIR MUNN: Oh, the recent email?

13                  MEMBER ZIEMER: Yes.

14                  CHAIR MUNN: Oh, gosh, I'll have to  
15 look at my old stuff to see.

16                  MEMBER BEACH: I'm trying to think,  
17 too. It may have been last week one day.

18                  CHAIR MUNN: Yes.

19                  MS. K. BEHLING: It was PA-cleared and  
20 we sent it out and I also put it on the O: drive  
21 for everyone --

22                  MR. KATZ: Right, it was last week.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes.

2 MR. KATZ: Last week, and I actually  
3 then followed it up with an email. But so --

4 MR. HINNEFELD: Yes, it's May 4th.

5 MR. KATZ: Yes. So for each one of  
6 these where we are assigning cases for Task 4, I  
7 think you also, you have to get the Subcommittee's  
8 concurrence, but you also need to make sure they  
9 make sense to NIOSH so that they can do selection.

10 CHAIR MUNN: Yes.

11 MR. KATZ: Okay.

12 CHAIR MUNN: Yes, that's why I would  
13 like to first address the six others that were  
14 recommended, whether --

15 MR. KATZ: Well, you can't --

16 CHAIR MUNN: Well let's just go through  
17 them one at a time. The first recommendation was  
18 PER --

19 MR. HINNEFELD: Ted, are you okay?

20 MR. KATZ: I'll hang in there, go  
21 ahead.

22 CHAIR MUNN: Nevada Test Site, PER-46,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 SC&A recommends that it be reviewed, numerous  
2 modifications that affected all exposure pathways  
3 and the number of cases impacted by these changes.

4 MR. HINNEFELD: Our only comment is  
5 there is an NTS Work Group that's dealing with, you  
6 know, the issues having to do with dose  
7 reconstruction.

8 I mean they have looked at these and  
9 they are continuing to look at the dose  
10 reconstruction approaches, so do you need a full  
11 review, the one, two, and three review, or do you  
12 just want case selection on this?

13 CHAIR MUNN: This was not one that,  
14 just expected case -- well, let's ask SC&A.

15 MS. K. BEHLING: Well, I felt, and  
16 since it did impact all of the various pathways that  
17 we should do a full review on this.

18 MR. HINNEFELD: Well, I mean there is  
19 an NTS Work Group that's doing that as well, that's  
20 evaluating the dose reconstruction approaches.

21 MS. K. BEHLING: Okay. Okay, so this  
22 PER incorporates SC&A's comments accordingly, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, in accordance with that, that Work Group. Is  
2 that correct?

3 MR. HINNEFELD: Well, yes, I mean this  
4 one and --

5 MS. K. BEHLING: Okay.

6 MR. HINNEFELD: Yes, and then that  
7 there will be, probably there could be another PER  
8 for the comments we are working on now, but what  
9 I am saying is that there is a group, there is a  
10 Subcommittee, or a Work Group, that is evaluating  
11 the technical quality of the dose reconstruction  
12 approach, which is essentially one, two, and three  
13 --

14 MS. K. BEHLING: Okay.

15 MR. HINNEFELD: -- looking at one, two  
16 and three in review.

17 MS. K. BEHLING: Okay, all right.  
18 With that in mind then, yes, I agree, maybe just  
19 Subtask 4 for this.

20 MR. KATZ: Can I just raise a question  
21 though? If the Work Group is looking at those  
22 methods and there may be a PER to supersede this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 PER, then it's less important. If that PER ends  
2 up superseding this PER then it's not worth  
3 spending more money on reviewing even the cases on  
4 this PER.

5 CHAIR MUNN: Can we continue this for  
6 consideration following the Work Group's  
7 completion of the task that they are undertaking?

8 MEMBER BEACH: I think that's a good  
9 suggestion, Wanda.

10 CHAIR MUNN: Okay. Postpone till the  
11 Work Group has completed its review of their  
12 methodology, okay.

13 All right then let's go on to PER-54,  
14 Carborundum. I have an SEC and NIOSH has evaluated  
15 it. It proposed to revise the DR methodology,  
16 which has been reviewed by SC&A but not yet  
17 completed with the Board.

18 MEMBER BEACH: Wanda, can I just  
19 interject, there is a Work Group for this as well.

20 CHAIR MUNN: Yes.

21 MR. HINNEFELD: Right, yes.

22 MEMBER BEACH: Is it the same situation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as NTS?

2 CHAIR MUNN: I believe it's similar,  
3 yes.

4 MS. K. BEHLING: This is Kathy. Sorry  
5 to interrupt, but is Bob Anigstein still on the  
6 line? Perhaps not. And is John Mauro still on the  
7 line? Because the two of them looked at this and  
8 insisted that I put that on the list and that this  
9 gets reviewed.

10 I think it has to do with the template  
11 and --

12 MEMBER BEACH: It does, it does.

13 MS. K. BEHLING: Okay.

14 MEMBER BEACH: This was one that I was  
15 recommending. I just didn't want to recommend it  
16 and then have someone say, because I know there is  
17 a Work Group for it.

18 MS. K. BEHLING: Yes. In fact I  
19 mentioned that to Bob Anigstein and he said we still  
20 need to look at this and I, quite honestly at this  
21 point in time I forget all of his justifications,  
22 but --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH: Well, this is the one,  
2 Kathy, that brought up the template issue most  
3 recently, I believe.

4                   MS. K. BEHLING: Okay. Okay, your  
5 call.

6                   CHAIR MUNN: So, Paul, do you have any  
7 feelings about this?

8                   MEMBER ZIEMER: No, I don't.  
9 Actually, I'm kind of lost here. Where is  
10 Carborundum on the agenda? What are you looking  
11 at?

12                   MR. HINNEFELD: We're looking at the  
13 memo that Kathy sent. I don't know if you got it.

14                   (Simultaneous speaking)

15                   MEMBER ZIEMER: Oh, okay. And I can't  
16 get to that memo because I can't get to my, in my  
17 NIOSH email because I can't get on the NIOSH  
18 website.

19                   MR. HINNEFELD: Yes.

20                   CHAIR MUNN: Oh.

21                   MEMBER ZIEMER: But I don't have that  
22 document, so --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: I think this one is going to  
2 have to wait.

3                   CHAIR MUNN: Yes.

4                   MR. HINNEFELD: I think there is, like  
5 there is an SEC, you know, Evaluation Report due  
6 or done and then the review of the Evaluation  
7 Report.

8                   There is a Work Group that's looking at  
9 the dose reconstruction approach. It would seem  
10 like that group would look at it.

11                  CHAIR MUNN: Well, let's put this as a  
12 carryover till next time. And if SC&A and NIOSH  
13 want to have some exchanges in the meantime about  
14 the wisdom of when this needs to be done, if it needs  
15 to be done by SC&A, that would be helpful, I think.

16                  MR. KATZ: Yes, that would great.

17                  CHAIR MUNN: Let's do a carryover.  
18 Let's move over to PER-59, Norton, recommending  
19 review since the DR methodology hasn't been used,  
20 and if used in the templates, hasn't been  
21 previously evaluated.

22                  MR. KATZ: Can I, again -- well, okay,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 if you are just making recommendations here, but,  
2 you know, where we haven't evaluated a TBD, I mean  
3 that -- yes. I mean that's fine to make a  
4 recommendation; it's the Board that will deciding  
5 whether they want that reviewed anyway.

6 CHAIR MUNN: Right.

7 MEMBER BEACH: I think it said there  
8 was no TBD for this one, right?

9 MR. KATZ: Right, but it's the same  
10 thing if it's the template.

11 CHAIR MUNN: It's the template, yes.

12 MEMBER BEACH: Oh, got you.

13 CHAIR MUNN: So shall we -- let's, I  
14 would recommend that we recommend this to the  
15 Board.

16 MR. KATZ: Yes. So which site is it,  
17 sorry?

18 CHAIR MUNN: This is Norton.

19 MR. KATZ: Norton, thanks.

20 CHAIR MUNN: PER-59. And the next  
21 case then we will look at very quickly is Subtask  
22 4 for Blockson. Can we ask for criteria?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: I can provide  
2 criteria, yes.

3 CHAIR MUNN: Okay. Can we ask that  
4 those be provided for NIOSH --

5 MS. K. BEHLING: Yes.

6 CHAIR MUNN: -- and if those criteria  
7 can be provided, is there any objection to the  
8 recommendation?

9 MS. K. BEHLING: None here.

10 CHAIR MUNN: All right.

11 MR. KATZ: Has NIOSH looked at the  
12 criteria?

13 MEMBER ZIEMER: I am okay with it, so  
14 go ahead.

15 CHAIR MUNN: No, no, but that's what we  
16 have to have before we can actually recommend it.

17 MR. HINNEFELD: Oh, the criteria on the  
18 book in a table.

19 CHAIR MUNN: Does the Board have to  
20 approve that?

21 MR. KATZ: No, the Board doesn't have  
22 to approve Task 4, it's just that NIOSH has to agree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that those criteria make sense.

2 CHAIR MUNN: Okay. Then we'll have to  
3 hold them over and ask for criteria to be presented  
4 to NIOSH.

5 MR. HINNEFELD: Yes, there are no  
6 criteria on here are there?

7 CHAIR MUNN: No, I don't see them.

8 MR. KATZ: So SC&A if you will provide  
9 criteria NIOSH can review that and then this --

10 MR. HINNEFELD: Should we select or is  
11 it premature?

12 MR. KATZ: I mean I think if it's okay  
13 with the Subcommittee just conceptually then, yes,  
14 go ahead and actually select if it all makes sense.

15 If it doesn't make sense then it will  
16 be on the agenda for the next Procedures meeting.

17 MR. HINNEFELD: Okay.

18 CHAIR MUNN: Exactly. Sounds fine to  
19 me. Any questions or --

20 MS. K. BEHLING: So I will provide  
21 criteria to NIOSH and to the Subcommittee, is that  
22 correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, thank you, Kathy.

2 MS. K. BEHLING: Okay, very good.

3 CHAIR MUNN: Thanks. Next is PER-61,  
4 Bridgeport Brass. That is recommended that the  
5 PER be reviewed since the only review of these  
6 facilities has been performed under an expanded  
7 review of a DR audit performed in May 2008. That's  
8 Bridgeport Brass. I would recommend it.

9 MEMBER BEACH: I agree, Wanda.

10 CHAIR MUNN: Paul?

11 MEMBER ZIEMER: Well, sure.

12 CHAIR MUNN: All right. PER-63,  
13 Aluminum Company of America, Pennsylvania.  
14 Forty-four cases in this we identified, 35 cases  
15 reevaluated. SC&A recommends the PER be reviewed  
16 since the Board has not previously evaluated the  
17 Alcoa PN DR methodology.

18 If the methodology hasn't been  
19 reviewed, I would recommend it. Anyone else?

20 MEMBER ZIEMER: Agree.

21 MEMBER BEACH: I agree.

22 CHAIR MUNN: All right. Moving on to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 PER-64, that's Subtask 4 only out of 56 cases that  
2 were reevaluated.

3 I don't see criteria, but it says here  
4 SC&A will provide criteria, identify the relevant  
5 cases and perform a review.

6 Can we ask that they provide the case  
7 selection criteria now and move on from there? If  
8 NIOSH agrees then we have the same situation that  
9 we had in an earlier case, I do believe.

10 MR. KATZ: Right.

11 CHAIR MUNN: Does the Subcommittee  
12 agree?

13 MEMBER ZIEMER: Agree.

14 MEMBER BEACH: I do.

15 CHAIR MUNN: Okay, very good. And so,  
16 Kathy, you will be providing SC&A -- you're going  
17 to provide the case selection criteria and if NIOSH  
18 agrees, they'll let you know and you can go forward.

19 MS. K. BEHLING: Yes.

20 CHAIR MUNN: SC&A, the last one is --  
21 oh, the next one is PER-65, Anaconda, recommended  
22 the PER be reviewed since the Board hasn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 previously evaluated that methodology.

2 That's essentially the same one as the  
3 preceding case that we had with Aluminum Company  
4 of America. I would recommend it for the same  
5 reasons.

6 MEMBER ZIEMER: Agree.

7 MEMBER BEACH: I have a different  
8 appendix, so, yes, definitely.

9 CHAIR MUNN: Okay. And one last one is  
10 also Subtask 4 for Huntington, PER-66, and SC&A,  
11 again, offers to provide selection criteria.

12 Again, the same situation. It seems  
13 appropriate to me to do Huntington, 59 cases  
14 originally identified.

15 MEMBER BEACH: I agree, Wanda.

16 MEMBER ZIEMER: Agreed.

17 CHAIR MUNN: All right. That is that  
18 we have technical guidance documents that have not  
19 been assigned, the 600 TKBS, and for our Nuclear  
20 Metals, Inc., and TKBS-25, an exposure matrix for  
21 Linde.

22 I am not sure -- our revision to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Appendix CD is based on revisions to TBD-6000.

2 MS. K. BEHLING: Yes, this is Kathy.  
3 In this particular case, Ted usually informs John  
4 Stiver and I that there has been an update and I  
5 just compiled this table because I didn't, quite  
6 honestly I wasn't sure that this was supposed to  
7 be done in this, for the Subcommittee or where these  
8 would be picked up.

9 CHAIR MUNN: Yes, and I'm not sure  
10 about these three and the technical document on the  
11 next page, TBD-64, coworker external dosimetry  
12 data. I'm not sure about those.

13 Ted, do you have a suggestion on how to  
14 proceed with these or is that normally something  
15 that's done administratively?

16 MR. KATZ: I don't know.

17 CHAIR MUNN: Yes.

18 MR. KATZ: Frankly, my head is cracking  
19 and I can't --

20 CHAIR MUNN: Okay, yes, I can  
21 understand that, I can ask you --

22 MEMBER BEACH: Hold these over for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 --

2 CHAIR MUNN: Yes, I believe we can hold  
3 them over. Kathy, is there any problem with that?

4 MS. K. BEHLING: No, not at all.

5 **NEED FOR NEW TASKING - PROC-0006**

6 CHAIR MUNN: Okay. Hold over then,  
7 we'll do that. Very quickly, the last case, I had  
8 PROC-0006 on there, what's that about, Kathy? It  
9 needs --

10 (Simultaneous speaking)

11 MS. K. BEHLING: I'm not sure. I put  
12 a question mark alongside of that and I think that  
13 was just -- I went back to the transcripts from the  
14 last meeting and I just think that might have been  
15 a typo on --

16 CHAIR MUNN: Yes, I think it must have  
17 been.

18 MS. K. BEHLING: Okay.

19 **NEXT MEETING**

20 CHAIR MUNN: I think that was covered  
21 by what we've already done. This leaves only the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 next meeting.

2 MR. KATZ: Yes, we can do that online.

3 CHAIR MUNN: All right.

4 MR. KATZ: Offline, whatever.

5 CHAIR MUNN: If we can do that offline  
6 then I suggest that we do that. Does anyone have  
7 any objection?

8 (No response.)

9 MR. KATZ: Yes, and my only thought  
10 about that is it seems like you guys cleared the  
11 table of an awful lot and until -- we'll need more  
12 work for a meeting.

13 CHAIR MUNN: Yes. I would like to get  
14 some better feel other than just the fast notes I  
15 have made as to how much time is going to be needed  
16 for the next group of activities and what we are  
17 looking at in the way of new PERs and things of that  
18 sort.

19 MR. KATZ: Yes.

20 CHAIR MUNN: So if it's amenable with  
21 all the others I would like very much to call this  
22 meeting to a close. Does anyone have any problem

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or something we must address today?

2 MEMBER BEACH: No, I agree with  
3 adjourning.

4 CHAIR MUNN: If not, this meeting is  
5 adjourned and, Ted, go take care of yourself.

6 MR. KATZ: All right, thank you,  
7 everybody.

8 MEMBER ZIEMER: Bye-bye.

9 (Whereupon, the above-entitled matter  
10 went off the record at 4:28 p.m.)