

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING 4

SUBCOMMITTEE FOR DOSE RECONSTRUCTION

REVIEWS

The verbatim transcript of the 4th
Meeting of the Subcommittee for Dose Reconstruction
Reviews held at The Westin Westminster,
Westminster, Colorado on May 2, 2007.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070*

C O N T E N T S

May 2, 2007

| | |
|--|-----|
| WELCOME AND OPENING COMMENTS DR. LEW WADE, DFO MR. MARK GRIFFON, CHAIR | 6 |
| DRAFT MOTIONS | 15 |
| TYPES OF REVIEWS | 49 |
| DISCUSSION OF REVIEWED CASES | 68 |
| SELECTION OF CASES TO BE REVIEWED | 84 |
| | |
| COURT REPORTER'S CERTIFICATE | 128 |

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

BEACH, Josie
Nuclear Chemical Operator
Hanford Reservation
Richland, Washington

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1 LOCKEY, James, M.D.
2 Professor, Department of Environmental Health
3 College of Medicine, University of Cincinnati

4 MELIUS, James Malcom, M.D., Ph.D.
5 Director
6 New York State Laborers' Health and Safety Trust Fund
7 Albany, New York

 MUNN, Wanda I.
 Senior Nuclear Engineer (Retired)
 Richland, Washington

 PRESLEY, Robert W.
 Special Projects Engineer
 BWXT Y12 National Security Complex
 Clinton, Tennessee

 ROESSLER, Genevieve S., Ph.D.
 Professor Emeritus
 University of Florida
 Elysian, Minnesota

 SCHOFIELD, Phillip
 Los Alamos Project on Worker Safety
 Los Alamos, New Mexico

MAY 2, 2007

9:25 a.m.

P R O C E E D I N G S

WELCOME AND OPENING COMMENTS

1
2
3
4 **DR. WADE:** Good morning. We're a little bit
5 late convening, but I think there's ample time
6 for the subcommittee to do its work. Let me
7 begin by introducing myself. My name is Lew
8 Wade, and I have the privilege of serving as
9 the Designated Federal Official for the
10 Advisory Board. This is a meeting of the
11 subcommittee of the Advisory Board,
12 particularly the Subcommittee for Dose
13 Reconstruction Reviews. This is the fourth
14 meeting of that subcommittee. Those of you who
15 have been with us for a while realize that
16 there was a subcommittee that went before this
17 subcommittee that looked at dose
18 reconstructions and site profile reviews. This
19 is a fairly newly-constituted subcommittee.
20 The subcommittee is chaired by Mark Griffon.
21 Members are Gibson, Poston and Munn. The
22 alternates -- the first alternate is Brad
23 Clawson, the second alternate Robert Presley.
24 Dr. Poston is not with us and therefore Brad
25 will serve as a memb-- a voting member of the

1 subcommittee this morning.

2 Again, we're scheduled to meet until 11:30.

3 The brief agenda items we're to deal with, in
4 no particular order, are discussion of reviewed
5 cases, selection of cases to be reviewed, and
6 discussion of the overall review process.

7 With that, I'll turn it over to Mark, who is
8 the most able chair of the subcommittee.

9 **MR. GRIFFON:** Good morning to everyone, also.

10 And I -- I apologize for a little delay. We're
11 getting some copies as I speak, and I want to
12 move into the agenda, but I think the -- the
13 items Lew read out, I think I'm going to go on
14 and go in reverse order of that. And I wanted
15 to start off with a discussion because often as
16 we run out of time we haven't discussed these
17 in depth and I think we need to sort of push
18 forward on these fronts.

19 The first item is the -- which we did discuss
20 at the last subcommittee meeting, which we held
21 in -- in Cincinnati -- was the -- this idea of
22 DR guidelines or DR instructions, and these --
23 for those of you who aren't as close to the
24 process, these guidelines are basically
25 templates that NIOSH and ORAU have developed

1 over time to sort of -- they're -- they're
2 guides for their dose reconstructors on how to
3 do cases for certain sites. They don't have
4 them for all sites, I don't believe, but --
5 especially for some of the bigger DOE sites,
6 they certainly have these guidelines. And
7 they're not -- we have not so far reviewed
8 these. They're not procedures -- they're not
9 standardized procedures, but they're more
10 guides for their dose reconstructors, so we've
11 been talking about -- as -- from our standpoint
12 in reviewing individual cases, it'd be very
13 useful to have these guidelines that were used
14 when the case was developed so that we could
15 determine if in fact the dose reconstructor was
16 following, you know, these -- the internal
17 guidance. And it would also help, from SC&A's
18 standpoint, to follow -- you know, what
19 mechanical steps was the dose reconstructor
20 going through in developing the case. And --
21 and sometimes there's -- in some of these
22 there's decision logic, like you know, you use
23 TIB-whatever in this kind of situation, and if
24 you have this kind of situation you use
25 Procedure Number 6 or whatever. So there's

1 sort of that -- that -- that sort of
2 information is in these guidelines and we -- we
3 have discussed this at the last subcommittee
4 meeting. We felt that these things would be
5 very useful, especially as we're auditing cases
6 and we think they'd be useful to add to the
7 administrative record of the cases, at least
8 going forward. And I have a motion to -- to --
9 a draft motion, I should say, to put forward to
10 my other subcommittee members and just see if
11 we can bring this to -- to the full Board. And
12 right on cue, she's bringing the copies in, so
13 -- if you can give those to the Board members
14 to -- oh, you did? Okay.

15 **DR. WADE:** Great. Oh, great, okay.

16 **MR. GRIFFON:** Thank you. So I'll -- I'll just
17 -- that -- that's the first item I wanted to
18 discuss.

19 Then I also drafted another motion on sort of
20 conducting blind reviews. We had a slot in the
21 original scope of work for SC&A involving blind
22 reviews. We've yet to conduct any blind
23 reviews, and I have a motion -- a draft again --
24 -- outlining maybe how -- I -- I think we had a
25 number of questions that came up at the last

1 full Board meeting, as well as the last
2 subcommittee meeting. You know, what would be
3 the purpose of this -- of the blind reviews, to
4 what end are -- you know, are we doing these.
5 And then there's the mechanical steps of -- you
6 know, if they're blind reviews, how are we
7 going to select the cases, since we do all our
8 meetings in public, without giving away the
9 identity of the case ahead of time. So -- and
10 then there -- I think the other big question
11 that we were trying to weigh was do we -- do we
12 do this as a strictly blind case where SC&A
13 gets the raw data only, or do we do it blind in
14 the sense that SC&A gets the raw data but can
15 use the NIOSH/ORAU-developed tools to -- to --
16 to determine the doses. It's just the steps in
17 the middle that -- that might be different, the
18 -- the assumptions and how they use the data
19 within those tools. None of that will be
20 available, so it'll be blind to that extent,
21 but -- but they would still have the tools that
22 exist. And -- and there -- there's good
23 arguments on both sides of that, I guess, but I
24 -- I think -- you know, so that's another thing
25 we've been discussing. I have, again, a draft

1 motion that I'll put before my colleagues on
2 subcommittee --

3 **DR. WADE:** Mark, is it your sense then that the
4 subcommittee would vote out on these motions
5 and, if they voted positively, you would
6 present them to the Board as the work product
7 of the subcommittee?

8 **MR. GRIFFON:** Yes, that was the --

9 **DR. WADE:** Okay, would you like to do them just
10 in turn?

11 **MR. GRIFFON:** Yeah, I was just going to --
12 since people are probably reading, I was going
13 to go through the rest of -- of what I have to
14 cover on our agenda --

15 **DR. WADE:** Okay Then we'll come back and --

16 **MR. GRIFFON:** -- give people time to --

17 **DR. WADE:** -- (unintelligible) in turn.

18 **MR. GRIFFON:** -- digest these.

19 **DR. WADE:** Okay?

20 **MR. GRIFFON:** Yeah. Af-- after we do those two
21 items, an-- another item that -- that has come
22 on our agenda in past meetings was the
23 discussion of -- the original scope of work for
24 our case reviews also included advanced
25 reviews, and I just had asked everyone to

1 reflect back on that scope and to sort of --
2 and I -- and this -- I don't have a motion
3 developed on this. I think we're still at the
4 discussion stage on this one. But you know,
5 reflect back on that and determine to what
6 extent we've covered -- I -- I think some of
7 this -- in my opinion, anyway, some of the
8 scope items within the advanced review we've
9 not really touched on, so -- and on the other
10 hand, I think that we might give a little bit
11 different direction for SC&A on -- on some of
12 the ways they have been doing their -- their
13 case reviews. So I -- I think we want to sort
14 of re-examine, you know, given our scope -- our
15 original scope of work, you know, what subtasks
16 within that scope have we been missing maybe,
17 and maybe refocus our case reviews to make sure
18 we capture some of those. I -- I guess the --
19 one example that has come up in previous
20 discussions is, you know, I don't think it --
21 it's really at this point worth the -- the time
22 of SC&A to go through -- sometimes in the -- in
23 the analysis of a case there's the -- these
24 input files that have annual doses by -- annual
25 doses for -- for different types of radiation,

1 and sometimes people worked there for 40 years,
2 so you have sheets and sheets of this. And
3 SC&A was -- was, by line item, checking each
4 one of those numbers. And I'm not sure if they
5 have to spend as much time on that, maybe
6 randomly check some of those numbers, but there
7 might be a -- more focus on -- on these other
8 sort of what we would define as drill-down type
9 activities, and that might involve making sure
10 the interpretation from the raw data to those
11 numbers was -- you know, was valid in -- in
12 their -- in their view. So I think we might,
13 you know, be able to modify sort of the way we
14 go forward with some of the case reviews. And
15 I think -- I think -- so that -- that's more of
16 a discussion item I think today; not quite
17 ready, I don't think, for a motion but I think
18 we might discuss that.

19 Then after those three items, the -- the sort
20 of mechanical items, I want to give an update
21 on where we are with our -- our previous sets
22 of reviews, the fourth set of cases, the fifth
23 set of cases are both in the NIOSH resolution -
24 - or comment resolution process, but I'll --
25 I'll give an update on that. And then finally

1 we want to get to the eighth set of cases and I
2 think we're hoping to make some preliminary
3 identifications here of cases that we can at
4 least ask NIOSH for more -- more specific
5 parameter data on, and then to be able to bring
6 that back to the full Board for selecting the
7 eighth set of cases.

8 So that's kind of I think what I want to cover.
9 Any -- any comments or questions on that?

10 **MS. MUNN:** No. I would observe that the
11 statistical data that was just provided to us
12 by SCA in graph form was very revealing for me
13 in terms of where we are relative to our
14 initial goals. And I'm hoping that in our
15 discussion we'll remember to refer back to
16 those, especially as we're choosing our blind
17 reviews, to see whether our goals were
18 realistic at the outset in regard to the
19 different types of segments we were looking at
20 and whether we need to -- now that we've seen
21 where the claims are coming from and what the
22 statistics are on those claims, whether the
23 goals themselves need to be rethought.

24 **MR. GRIFFON:** Okay. Yeah, we -- that's
25 definitely something we should discuss and I --

1 I assume you're referring to the overall
2 percentage of cases or --

3 **MS. MUNN:** Yes, from the first 148 that we've
4 done.

5 **MR. GRIFFON:** Right.

6 **DR. WADE:** And I just distributed hard copies
7 of that and put some on the table.

8 **DRAFT MOTIONS**

9 **MR. GRIFFON:** Okay, so -- so maybe if we can
10 start with those first two sort of draft
11 motions, and I think the shorter one --

12 **DR. WADE:** Let's start with the first.

13 **MR. GRIFFON:** -- might -- might be the easier
14 one, yeah.

15 **DR. WADE:** So there is a motion. We need
16 someone to second.

17 **MR. CLAWSON:** Seconded.

18 **DR. WADE:** Okay. So this is the motion that
19 says NIOSH should make DR guides, paren,
20 guidelines, instructions or similar documents,
21 close paren, available for all future cases,
22 paren, included as part of the administrative
23 record, close paren. Additionally, NIOSH
24 should make appropriate versions of DR guides,
25 paren, guidelines, comma, instructions, comma,

1 or similar documents, close paren, available
2 for all cases currently under review by the
3 Board.

4 So we have a motion and a second. Now we can
5 have discussion.

6 **MR. GRIFFON:** So -- go ahead, Wanda.

7 **MS. MUNN:** When the motion says available, do
8 you mean available totally, publicly, on line,
9 to SC&A, to the Board --

10 **MR. GRIFFON:** Yeah, and I think that's probably
11 what Liz is commenting on, too, the -- the --

12 **DR. WADE:** Well, let Liz --

13 **MR. GRIFFON:** Well, available, I meant
14 available on the -- on the O dr-- you know, to
15 the Board.

16 **MS. MUNN:** Available to -- right.

17 **MR. GRIFFON:** To the reviewers, yeah.

18 **MS. MUNN:** Correct.

19 **MR. GRIFFON:** Yeah.

20 **MS. MUNN:** Okay. Perhaps we should stipulate
21 that more clearly in the motion.

22 **DR. WADE:** Let's hear from Liz.

23 **MS. HOMOKI-TITUS:** That takes care of my second
24 point, but my first point is if you could just
25 change administrative record to the analysis

1 reports. An administrative record is a legal
2 document. An analysis record is what NIOSH
3 puts out. Thanks.

4 **MR. GRIFFON:** Included as part of the analysis
5 report?

6 **MS. HOMOKI-TITUS:** Analysis report.

7 **UNIDENTIFIED:** (Off microphone)
8 (Unintelligible)

9 **MS. HOMOKI-TITUS:** Okay. Analysis record.

10 **MR. GRIFFON:** Analysis record, okay. That --
11 that would still be -- that's what we see on
12 the O drive when we pull up a -- a case or
13 whatever?

14 **MR. HINNEFELD:** Yes.

15 **MR. GRIFFON:** Okay. Okay.

16 **DR. WADE:** And then the second change was, Liz,
17 to be formal?

18 **MR. GRIFFON:** Available -- do we need to put
19 any words in there, available to the Board or
20 available to Board and SC&A, you want to
21 clarify that or --

22 **MS. HOMOKI-TITUS:** It would certainly be
23 helpful if you would clarify that.

24 **MS. MUNN:** Yeah. Available to the Board and
25 reviewers.

1 **MS. HOMOKI-TITUS:** Yeah -- well, I'm concerned
2 about just using the word reviewers because
3 petitioners may consider themselves reviewers
4 of a final -- or --

5 **MR. GRIFFON:** Yeah, I think if we say available
6 to the Board, that implies also SC&A since
7 they're --

8 **MS. HOMOKI-TITUS:** Right.

9 **DR. WADE:** Correct.

10 **MR. GRIFFON:** -- our contractor. Right?

11 **MS. HOMOKI-TITUS:** We know that government
12 employees -- government contractors can have
13 it, the Board can have it, but if you'd just
14 clarify that.

15 **MS. MUNN:** The language that's appropriate,
16 yeah, would be then to the Board and --

17 **MR. GRIFFON:** Available to the Board.

18 **MS. MUNN:** Oh.

19 **MR. GRIFFON:** I think. Right? Does that cover
20 us?

21 **DR. WADE:** Yes, that --

22 **MS. MUNN:** That -- that incorporates the
23 contractor as well, yeah.

24 **MR. GRIFFON:** Okay. So -- and -- and to be
25 clear here, I think there is one challenge, and

1 I don't know if NIOSH has a comment on this and
2 I -- this appropriate versions, and -- and I
3 did say for cases currently under review, so
4 that would go back to the fourth set of cases.
5 And I know that -- that -- you know, Stu might
6 be able to talk to this, but I think so-- some
7 of the old versions is -- it's -- you know,
8 some of these cases were done in an early time
9 period and I don't know if you've kept official
10 versions by time, and how -- how difficult
11 would this be to do, I -- we might even be able
12 to say, you know, when -- when available or --

13 **MR. HINNEFELD:** When possible.

14 **MR. GRIFFON:** When possible, yeah.

15 **MR. HINNEFELD:** I think because, as you point
16 out, there could very well be cases selected
17 for review in the fourth and fifth and even
18 from here on that were prepared some time ago,
19 and the specific instructions just weren't
20 retained. We -- you know, we frequently see
21 cases in the review that used versions of
22 procedures or Technical Information Bulletins
23 that have been superseded by the time we review
24 the case. And so very likely it will not in
25 and of the -- if it's a controlled document, we

1 can get the version that was used, but these
2 are not controlled and I'm not 100 percent sure
3 we'll be able to do it.

4 **MR. GRIFFON:** And -- and what if I said after
5 the -- in the second sentence, Additionally,
6 NIOSH should make appropriate versions of DR
7 guides, parentheses/close parentheses, where
8 possible?

9 **MR. HINNEFELD:** I think that --

10 **MR. GRIFFON:** Appropriate versions of DR
11 guides, where possible --

12 **MR. HINNEFELD:** That works for us, yeah.

13 **MS. MUNN:** That sounds more reasonable to me.

14 **MR. GRIFFON:** Okay. 'Cause I do -- I do
15 understand that challenge, but we do want to
16 try to get those as -- okay.

17 **MS. MUNN:** Yeah, we have to deal in the real
18 realm here.

19 **MR. GRIFFON:** Right. So that -- that's it,
20 fairly succinct motion. I don't know if -- any
21 further comments on it?

22 **DR. WADE:** I could read the -- the motion as
23 modified then.

24 **MR. GRIFFON:** Yeah, you want to read it as
25 edited?

1 **DR. WADE:** Okay, as edited, the motion: NIOSH
2 should make DR guides, paren, guidelines,
3 instructions or similar documents, close paren,
4 available to the Board for all future cases,
5 paren, included as part of the analysis record,
6 close paren. Additionally, NIOSH should make
7 appropriate versions of DR guidelines, where
8 possible, paren, guidelines, instructions or
9 similar documents, available to the Board for
10 all cases currently under review by the Board.

11 **MR. GRIFFON:** Actually, just an editorial
12 thing, I put the "where possible" after the
13 parens there in the --

14 **DR. WADE:** Okay.

15 **MR. GRIFFON:** -- last, but --

16 **DR. WADE:** With that change.

17 **MS. MUNN:** Would you read that last sentence
18 one more time, please, Dr. Wade?

19 **DR. WADE:** The last sentence one more time.
20 Additionally, NIOSH should make appropriate
21 versions of DR guidelines, paren --

22 **MR. GRIFFON:** DR guides.

23 **DR. WADE:** -- DR guides, paren --

24 **MR. GRIFFON:** Right.

25 **DR. WADE:** -- guidelines, comma, instructions,

1 or similar documents, close paren, where
2 possible, available to the Board for all cases
3 currently under review by the Board.

4 **MS. MUNN:** Okay. Thank you.

5 **MR. GRIFFON:** Any further discussion on this
6 motion?

7 (No responses)

8 I think we -- are we ready to vote on the
9 motion?

10 **DR. WADE:** Okay. All in favor --

11 **MR. GRIFFON:** All in favor?

12 **DR. WADE:** -- signify?

13 **MS. MUNN:** Aye.

14 (Affirmative responses)

15 **DR. WADE:** Okay, it's unanimous, the four
16 voting members.

17 Okay, the second motion.

18 **MR. GRIFFON:** All right. Second one is the
19 motion regarding the blind reviews.

20 **DR. WADE:** We have a motion. Do we have a
21 second?

22 **MR. CLAWSON:** Seconded.

23 **MR. GRIFFON:** Second?

24 **DR. WADE:** Brad seconds. Okay, discussion?

25 **MR. GRIFFON:** Did you -- did you want to read

1 it in, Lew, like you did the other one, or --

2 **DR. WADE:** I could read it into the record.

3 **MR. GRIFFON:** You're so good at that, you know.

4 **DR. WADE:** Motion: The purpose of the blind
5 reviews is to determine if required

6 assumptions, comma, application of tools,

7 comma, interpretation of data, comma, and

8 treatment of data yield consistent results for
9 the dose to the organ of interest.

10 New paragraph. The Board will select cases for

11 blind review. Case ID will not be made

12 available to SC&A. Further, comma, no

13 information which could potentially be used to

14 identify the case will be provided to SC&A

15 until the blind review is complete.

16 New paragraph. The blind review will be

17 conducted using available tools developed by

18 NIOSH/ORAU, but without any case-specific

19 analytical files. These blind reviews will be

20 focused on best estimate cases.

21 **MR. GRIFFON:** So any -- and I -- I recognize

22 that the -- I mean there's a mechanical step in

23 here, the second paragraph, the mechanics of

24 how to select the blind cases without doing it

25 in a public forum, obviously -- I'm open for

1 suggestions, I should say. I -- I would like
2 to have a few blind reviews out of this eighth
3 set of cases, and it might be -- at least for
4 purposes here today, we might just select two
5 less than we normally would out of the -- for
6 the eighth set and -- and reserve a slot for
7 two blind cases. And then -- I don't know if
8 we can do -- select those in like a closed
9 session format or something like that, but that
10 may be a way to -- that -- that's a mechanical
11 thing, though. I think --

12 **MR. HINNEFELD:** Well, there -- on the case
13 selection list there is no identification on
14 there of those cases.

15 **MR. GRIFFON:** But they have POC and --

16 **MR. HINNEFELD:** They do have POC, right.

17 **MR. GRIFFON:** I mean is there enough
18 information to sort of infer -- yeah.

19 **MR. HINNEFELD:** There's POC on there.

20 **MR. GRIFFON:** Right. I think even that --
21 'cause the POCs are -- you know.

22 **MR. HINNEFELD:** Because that's -- yeah, that
23 (unintelligible) --

24 **MR. GRIFFON:** To a dec--

25 **MR. HINNEFELD:** -- gives you the answer to the

1 blind (unintelligible) --

2 **MR. GRIFFON:** Yeah, 40.7, you can find that
3 pretty ea-- you know.

4 **MR. HINNEFELD:** Yeah, you're right, you're
5 right. I -- that was actually not what I was
6 going to comment about. We could -- what if we
7 prepared that same list without that POC value
8 in there to select from for blind cases?

9 **MR. GRIFFON:** Well, I --

10 **MS. MUNN:** But --

11 **MR. GRIFFON:** Yeah, I was thinking -- because
12 we do want best estimate cases, so --

13 **MR. HINNEFELD:** Uh-huh.

14 **MR. GRIFFON:** I mean my -- my tendency would be
15 to do -- to sort of have a -- a 15 or 20-minute
16 segment of the subcommittee where we had a
17 closed session --

18 **MR. HINNEFELD:** Okay.

19 **MR. GRIFFON:** -- and we just handled it that
20 way.

21 **MR. HINNEFELD:** Okay.

22 **MR. GRIFFON:** I don't know if that's possible
23 or...

24 **DR. WADE:** Well, it's possible.

25 **MR. GRIFFON:** Yeah.

1 **DR. WADE:** I'd rather explore other options,
2 but if that's the option we -- would it be
3 possible to have, for the Board, POC
4 information and another list for the table that
5 would include a POC between 40 and 50, for
6 example. Is there a way we can demonstrate the
7 fact that this is close to the margin, but
8 without giving specific information? I guess
9 the attorneys would have to advise. Again, I
10 think it's always better to do business in the
11 open if at all possible.

12 **MR. GRIFFON:** I agree.

13 **MS. HOWELL:** Well, I think the current list
14 that we've been using for all of these
15 selection cases does include the probability of
16 causation number, so continuing to provide that
17 isn't going to be a problem as long as, you
18 know, we always review these to make sure
19 there's not an aggregate of information that
20 would allow --

21 **DR. WADE:** Okay.

22 **MS. HOWELL:** It's just a matter of -- if it's
23 out there on the table, you know, SC&A's just
24 going to have to wall themselves off from it, I
25 guess, to make sure that they're performing

1 blind reviews.

2 **DR. WADE:** Okay.

3 **MR. HINNEFELD:** We could take the list we have
4 -- 'cause it's a Lotus -- it's an Excel file,
5 rather, sort on POCs so that, you know, the
6 POCs are the top; clip out the ones that are
7 between 40 and 50, make that a file, and then
8 delete out those POC numbers. In that case, we
9 could generate a list that has all the same
10 information that are on the selection lists now
11 except for POCs, that would have the full
12 internal and externals with POCs between 40 and
13 50 percent, but the POC would not be on the
14 list.

15 **MR. GRIFFON:** But the cancer --

16 **MR. HINNEFELD:** We could do that.

17 **MR. GRIFFON:** -- cancer type would still be on
18 there. Right?

19 **MR. HINNEFELD:** How can you do a dose
20 reconstruction if you don't know the cancer
21 type?

22 **MS. MUNN:** You can't.

23 **MR. GRIFFON:** Yeah, they need to know that,
24 yeah.

25 **MS. MUNN:** Yeah, you really can't.

1 **MR. GRIFFON:** Right.

2 **MR. HINNEFELD:** -- review to what the answer
3 was --

4 **MR. GRIFFON:** Right.

5 **MR. HINNEFELD:** -- which is not -- which is
6 what you want to avoid.

7 **MR. GRIFFON:** Right.

8 **MR. HINNEFELD:** Okay. So we can generate a
9 list in that fashion.

10 **MR. GRIFFON:** Sort by -- sort --

11 **MR. HINNEFELD:** I don't know if I can do it
12 this morning or not.

13 **MR. GRIFFON:** Sort with all POCs greater than
14 40 percent or whatever --

15 **MR. HINNEFELD:** Yeah, yeah, it's --

16 **MR. GRIFFON:** -- we --

17 **MR. HINNEFELD:** This is Excel. You can sort on
18 POC and just clip out the ones --

19 **MR. GRIFFON:** And then --

20 **MR. HINNEFELD:** -- between 40 and 50.

21 **MR. GRIFFON:** -- and then find best estimate
22 cases --

23 **MR. HINNEFELD:** If we work off this list,
24 they'll be best estimates --

25 **MR. GRIFFON:** Right.

1 **MR. HINNEFELD:** -- full external and internal.

2 **MR. GRIFFON:** Right. What do people think? I
3 think that's --

4 **MR. CLAWSON:** Sounds good to me.

5 **MS. MUNN:** It -- there's a question, I think,
6 whether it's really possible to do this to the
7 extent we would like to have it done without
8 giving information which could in some way --

9 **MR. GRIFFON:** I know.

10 **MS. MUNN:** -- be traced back to the case. I
11 just don't see how you can do that. There --
12 there may be some magic method out there, but -

13 -

14 **MR. GRIFFON:** Right. Well, and like --

15 **MS. MUNN:** -- and if --

16 **MR. GRIFFON:** -- Stu said, they eventually have
17 to know the site and the --

18 **MS. MUNN:** Yes.

19 **MR. GRIFFON:** -- and the cancer type, so...

20 **MS. MUNN:** And if you know the site and the
21 cancer type and the decade in which the person
22 went to work --

23 **MR. GRIFFON:** You can narrow it down already,
24 yeah.

25 **MS. MUNN:** -- then you're getting down to the

1 point where it -- it could be identifiable.

2 **MR. HINNEFELD:** There's -- there's -- there's
3 actually more of a problem with that. In order
4 to do the dose reconstruction, if this person
5 has an exposure record, that would be part of
6 what the dose reconstructor would use to do the
7 dose reconstruction. And those dose
8 reconstruction records are identified, usually
9 on every page. So what would -- we would be
10 facing doing would be --

11 **MR. GRIFFON:** Yeah, yeah, redacting all --
12 yeah.

13 **MR. HINNEFELD:** -- printing this record --
14 printing this record, redacting it page by
15 page, take off any identifier and then
16 rescanning it to make it broadly available.
17 And I'm just wondering, is it so critically
18 important that SC&A not know which case it is,
19 or is it just important they not know the
20 outcome. And I don't know if there's a way to
21 avoid, you know, having them -- the outcome
22 available to them, if we can restrict access on
23 a case by case -- I just don't know if we can
24 restrict access or not just case by case, based
25 on certain rights or not. I mean -- you know,

1 or we could make it an honor system thing, you
2 know --

3 **MR. GRIFFON:** Right, right, right.

4 **MR. HINNEFELD:** -- you're not allowed to look
5 in NOCTS, you know, when you do this.

6 **MR. GRIFFON:** Yeah, I -- I was trying to make
7 it a -- as clean as possible, but obviously
8 we've got some -- some problems with that,
9 yeah.

10 **MR. HINNEFELD:** To me, that's a very big deal
11 because when you're doing a dose
12 reconstruction, the -- the thing that, you
13 know, you rely most on -- well, the CATI
14 interview, again, would have to be redacted.

15 **MS. MUNN:** Yeah.

16 **MR. HINNEFELD:** The dose -- the exposure record
17 that we receive for the individual would have
18 to be redacted, quite possibly on every page,
19 maybe several places on each page. And -- and
20 so it really complicates getting the case
21 available --

22 **MR. GRIFFON:** Yeah.

23 **MR. HINNEFELD:** -- for SC&A. We could generate
24 for them -- as long as they can know who the
25 case is, we can put all the information

1 necessary that the dose reconstructor would
2 have -- we can put all that information on the
3 CD and provide that information on the CD and
4 say then -- and during the blind review they
5 must work from the CD --

6 **MR. GRIFFON:** Right.

7 **MR. HINNEFELD:** -- they're not allowed to
8 consult NOCTS to help sort this thing out.

9 **MR. GRIFFON:** Right.

10 **MR. HINNEFELD:** They have to work from what's
11 on that CD, I think we -- I think we can do
12 that.

13 **MR. GRIFFON:** Right. Right, right.

14 **MS. MUNN:** This may be necessary, and it may be
15 necessary for us to reword the second sentence
16 in the second paragraph of the motion in order
17 to clarify what the mechanics are going to be.
18 We also may need to add "to the extent
19 possible" at the very end of the motion. If we
20 find, for example, when we get into what's
21 available to us --

22 **MR. GRIFFON:** Yeah.

23 **MS. MUNN:** -- that best estimate cases don't
24 give us the scope that we want to see covered
25 in these blind reviews, then we may need to --

1 **MR. GRIFFON:** Yeah.

2 **MS. MUNN:** -- give ourselves a little space
3 there.

4 **MR. GRIFFON:** How are you recommending changing
5 the second paragraph, though? I --

6 **MS. MUNN:** The second paragraph, I think the
7 wording --

8 **MR. GRIFFON:** Put more specifics in there or...

9 **MS. MUNN:** No, the wording of that second
10 sentence needs to be worked on if we're --

11 **MR. GRIFFON:** Yeah.

12 **MS. MUNN:** -- if we're going to be realistic
13 about this. The -- the addition to the end is
14 easy, but we need to take a few minutes to
15 consider the wording of that second --

16 **MR. GRIFFON:** I think we do, yeah. Yeah.

17 **MS. MUNN:** -- second sentence.

18 **DR. WADE:** John?

19 **MR. GRIFFON:** I mean --

20 **DR. MAURO:** If I may, in the first paragraph --

21 **DR. WADE:** Try to get very close to the
22 microphone, please.

23 **DR. MAURO:** If I may, in the first paragraph
24 reference is made to tools, and this goes back
25 to the point that Mark had made regarding this

1 business of do you use the tools or don't you
2 use the tools. The way -- let me give you an
3 example. When I review a dose reconstruction
4 and one of these very sophisticated tools are
5 before me to be used -- now it turns out Kathy
6 Behling runs these tools all the time, took her
7 quite some time to learn to use them, and she
8 uses them. When I'm giving it, I use what I
9 call -- give me all your data, I look at the
10 data and I use my best knowledge and not the
11 tool, the sophisticated tool, and in the --
12 because when I look at the tool, in some cases
13 I don't especially feel that the tool is --
14 serves the process well. I don't -- I don't
15 want to get into the specific -- it happens to
16 be OTIB-18. So where -- where I'm going with
17 this is that when I think about reconstructing
18 a person's dose, I feel as if I don't
19 necessarily want to be forced to use a tool
20 that I do not necessarily like. I'd rather do
21 it both ways. I'd rather say okay, John, you
22 and whoever is going to do the best -- here --
23 'cause when all is said and done, here's the
24 data and this is what we've got. Here's the
25 data from DOE. This is -- this is -- and now

1 the fact that there might be a team of
2 individuals over at NIOSH who put a lot of
3 thought in building a sophisticated tool to
4 really get -- sharpen the analysis, but in the
5 end come out with a tool that perhaps other
6 health physicists may say you know, I would not
7 necessarily use that tool. I like the idea of
8 saying what does that tool really buy you? Is
9 it a tool that serves the process well? And so
10 I guess what I would like to suggest is that if
11 we're going to do the blind dose
12 reconstruction, let's -- let's find out, let's
13 use the tool and -- but also allow the dose
14 reconstruc-- the auditor to use his own
15 judgment and not feel as if he has to use the
16 tool, and see what the tool buys us. Would it
17 result in a better estimate, or perhaps a less
18 robust estimate? And I have specific examples
19 in mind where I feel the tool itself may not be
20 the best way to come at the problem because of
21 the way it's been conceived. So I'd -- I -- I
22 think that insight into the value, power,
23 validity of the tools that have been developed,
24 and some of these are very sophisticated, needs
25 to be understood and explored and disclosed to

1 the Board because I think -- I know in several
2 cases I feel as if the outcome, because we've
3 used that tool, resulted in a dose
4 reconstruction that I would not necessarily
5 agree with, but I did follow all the rules. So
6 I -- I sort of want to leave that --

7 **MR. GRIFFON:** Yeah, I -- I follow you, John. I
8 guess -- the way I phrased that first part and
9 -- and I don't think that restricts you from
10 de-- from looking at that. I guess my point
11 was that the purpose is to determine if these -
12 - these -- you know -- I'm not sure it requires
13 the word, but the assumptions, the application
14 of the tools, et cetera, et cetera, yield to --
15 so if you're exploring whether they do yield a
16 consistent result, you know, one -- I think one
17 thing that you -- you should be allowed to do
18 under this task would be to say, you know, we -
19 - we -- you know, we looked at the -- you know,
20 so you have the tools available, but you can
21 certainly comment that we didn't -- you know,
22 we did this both ways 'cause we don't think the
23 tool's really appropriate in this case. We --
24 we chose this method. I think that it doesn't
25 restrict you from looking at that. You're

1 looking at whether the use of the tools makes
2 sense, sort -- you know, also. So I don't
3 think that restricts you from that.

4 **DR. WADE:** And you really have to distinguish -
5 -

6 **MR. GRIFFON:** Yeah.

7 **DR. WADE:** -- what you're trying to learn with
8 a blind review versus a normal review. In a
9 normal -- in a non-blind review, you are making
10 those judgments all the time 'cause you're
11 looking at what NIOSH has done and saying do we
12 agree with that. Now here's a blind review.
13 What are you trying to accomplish here
14 different than what you're accomplishing with
15 the normal review?

16 **MR. GRIFFON:** Well, that -- that is part -- but
17 I think there is a different level. I think in
18 these previous reviews a lot of the focus has
19 been on if -- if a DR -- a dose reconstructor
20 followed procedure and if they used the tool
21 correctly and then, you know, sometimes it
22 stopped there. You know, they used the tool as
23 it was laid out to do, they used it in
24 accordance with the appropriate procedures,
25 they followed the site profile recommendations.

1 They didn't necessarily explore as to whether
2 that tool was developed in a way that they --
3 that they felt -- you know.

4 **DR. MAURO:** That's correct.

5 **MR. GRIFFON:** Yeah, yeah. So -- now for the
6 AWE sites we may get into this -- in our next
7 discussion about advanced versus basic. For
8 some of the AWE sites I think you did do that
9 further probative questioning because, you
10 know, we had the rationale when of-- oftentimes
11 there's not -- you know, it -- it's one case,
12 but all the cases for certain ones of these
13 sites are done in the same manner, so you're
14 basically reviewing the whole site in one -- in
15 one case. So in those cases I think you did
16 tend to do more of that probative analysis.
17 But anyway, I -- I -- I don't think that first
18 paragraph restricts you from -- from the -- you
19 know, unless we need to edit it. I think Wanda
20 has...

21 **MS. MUNN:** I'm wondering whether additional
22 words are necessary in the actual motion, or
23 whether our discussion here serves this
24 purpose. Certainly we'd like to determine if
25 the applications of the tool yielded consistent

1 results. But by the same token, the question
2 of whether in all cases the use of the tool
3 provided the best reconstruction, the best
4 notation of dose, is a different issue and
5 that's the one that John really addressed here.
6 It appears to me that if it's -- if we make it
7 clear to our contractor that this statement
8 with respect to the application of tools
9 incorporates their judgment as to whether or
10 not that was an appropriate use may suffice.
11 Just don't want the record to --

12 **MR. GRIFFON:** Yeah. No, I think --

13 **MS. MUNN:** -- be misleading.

14 **MR. GRIFFON:** -- you're right. I think you're
15 right. I -- I mean -- well, do -- do you have
16 any proposed language addition to that or -- I
17 -- I was thinking one thing we could add is
18 yield consistent and scientifically defensible
19 results for -- you know, get those words in
20 there, the scientifically defensible thing. I
21 think that's in our original charge, actually,
22 so...

23 **MS. MUNN:** I think so, too.

24 **MR. GRIFFON:** Yeah.

25 **MS. MUNN:** John, would you find that --

1 **DR. MAURO:** Yes.

2 **MR. GRIFFON:** Would that --

3 **MS. MUNN:** -- reasonable for you
4 (unintelligible) --

5 **DR. MAURO:** I was thinking those very same
6 words.

7 **MR. GRIFFON:** -- work? Yeah, yeah.

8 **DR. MAURO:** That nails it.

9 **MS. MUNN:** Good.

10 **MR. GRIFFON:** All right. All right. So -- so
11 getting back to that second paragraph now, the
12 mechanics, I -- I tried to redraft a quick
13 paragraph on that, so crossing out that entire
14 second paragraph -- a friendly amendment to my
15 own motion -- I -- I think maybe -- and this is
16 pretty rough, as I was doing it real time, but
17 perhaps this could work. The Board will select
18 cases for blind reviews. NIOSH will put case
19 information on a -- on a CD -- this is pretty
20 crude here -- for SC&A to review. SC&A will
21 not access the selected case via the NOCTS
22 database. And -- and -- I mean if we really
23 want to get restrictive about this, certainly
24 NIOSH can even deny access to certain folders
25 on NOCTS. I mean we -- we've seen this in the

1 past. So they could even black -- block the
2 Board's access and SC&A's access to certain,
3 you know, selected case files and that way we -
4 - you know, there'd be no indication that we
5 were looking at the case information during the
6 review, so...

7 **MS. MUNN:** That probably shouldn't be
8 necessary.

9 **MR. GRIFFON:** I don't think it's necessary, but
10 --

11 **MS. MUNN:** Certainly our -- our contractor is
12 reliable enough to follow the instructions to
13 use nothing except the data on the CD.

14 **MR. GRIFFON:** Absolutely, yeah.

15 **MS. MUNN:** And that's -- seems to be a
16 reasonable method of -- of bounding what the --
17 what information is available in order to make
18 it truly a blind review.

19 **DR. WADE:** Just again, Mark, NIOSH will select
20 cases for blind review -- the Board will select
21 cases for blind review. NIOSH will put what?

22 **MR. GRIFFON:** I -- I'm going to rephrase that
23 second sentence. NIOSH will provide case
24 information on a -- a CD for SC&A review. Or
25 should I just say provide case information in

1 electronic form or on a CD? I don't know.

2 **MS. MUNN:** CD is probably better.

3 **MR. GRIFFON:** On a CD, okay --

4 **MS. MUNN:** That puts it --

5 **MR. GRIFFON:** -- for SC&A review. And last
6 sentence, SC&A will not access the selected
7 case via the NOCTS database, just -- just so
8 we're clear, you know, that -- you know. And
9 then -- and -- does that make sense, Stu? I
10 think that addresses what you were talking
11 about.

12 **MR. HINNEFELD:** Right, I think that's -- that's
13 quite doable.

14 **MR. GRIFFON:** Yeah.

15 **MR. HINNEFELD:** Presumably there will be a
16 subcommittee member or members assigned --
17 normally there's a subcommittee member assigned
18 to the review of each of the normally-reviewed
19 cases. So rather than just say SC&A will not
20 confer, it'd be SC&-- you know, subcommittee
21 members or SC&A will not --

22 **MR. GRIFFON:** Okay. Yeah.

23 **MR. HINNEFELD:** -- you know, something like
24 that.

25 **MR. GRIFFON:** Or -- or -- or the Board and SC&A

1 will not --

2 **MR. HINNEFELD:** Yeah, right.

3 **MR. GRIFFON:** -- yeah.

4 **DR. WADE:** You're saying the Board and SC&A
5 will not access the NOCTS database?

6 **MR. GRIFFON:** Yeah. And in the first sentence
7 I guess we -- NIOSH will provide information on
8 a CD for the Board and SC&A review. Right?

9 **MS. MUNN:** Uh-huh.

10 **MR. GRIFFON:** I guess to be consistent.

11 **MS. MUNN:** Yes.

12 **MR. GRIFFON:** Should I read that whole
13 paragraph back or I want to read the whole
14 motion? I -- it --

15 **MS. MUNN:** Let's read the whole motion.

16 **MR. GRIFFON:** Yeah. I mean are there any other
17 comments to any other parts, and then I'll try
18 to piece this whole thing together in one read.

19 **DR. WADE:** Oh, I got it, if you want me --

20 **MR. GRIFFON:** Oh, you got it? Okay.

21 **DR. WADE:** I'll try.

22 **MR. GRIFFON:** All right, go ahead.

23 **DR. WADE:** Okay. Motion: The purpose of the
24 blind reviews is to determine if required
25 assumptions, comma, application of tools,

1 comma, interpretation of data, comma, and the
2 treatment of data yield consistent and
3 scientifically -- ah, let me read it again.

4 **MR. GRIFFON:** Yeah.

5 **DR. WADE:** The purpose of the blind review is
6 to determine if required assumptions,
7 application of tools, interpretation of data
8 and treatment of data yield consistent and
9 scientifically defensible results for the
10 purpose -- for the dose to the organ of
11 interest.

12 Okay?

13 **MR. GRIFFON:** Yeah.

14 **DR. WADE:** The Board will select cases for
15 blind review. NIOSH will provide case
16 information to the Board and SC&A on a CD. The
17 Board and SC&A will not access the NOCTS
18 database for such cases.

19 **MR. GRIFFON:** That's it.

20 **DR. WADE:** And the third paragraph, the blind
21 review will be conducted using available tools
22 developed by NIOSH/ORAU, but without any case-
23 specific analytical files. The blind reviews
24 will be focused on best estimate cases, to the
25 extent possible.

1 **MS. MUNN:** I would add one caveat. Following
2 the NOCTS database, I would indicate NOCTS or
3 any other available database, because we really
4 don't want -- NOCTS is not the only source of
5 information available.

6 **MR. GRIFFON:** And you -- you mean that
7 regarding NIOSH databases or any other...

8 **MS. MUNN:** I mean --

9 **MR. GRIFFON:** I'm not sure exactly what you're
10 referencing there, like the R drive versus the
11 NOCTS system, is that what you're getting at?

12 **MS. MUNN:** Or original DOE files or original
13 dose -- original badge reading contractors.

14 **MR. GRIFFON:** Oh, okay.

15 **MS. MUNN:** There's -- there's lots of other
16 data out there that's accessible --

17 **MR. GRIFFON:** Yeah --

18 **MS. MUNN:** -- and -- and --

19 **MR. GRIFFON:** -- but I think the main --

20 **MS. MUNN:** -- that the -- isn't -- isn't the
21 point we're trying to make don't use anything
22 except what's on the CD for your review?

23 **MR. GRIFFON:** Yeah, I -- I -- I -- I've got to
24 think about that one. You -- 'cause then we
25 have to -- I mean are all the proced-- all the

1 tools, procedures, site profi-- everything
2 going to be put on that CD or -- or -- I guess
3 they could be.

4 **MS. MUNN:** Well, then say any other claimant
5 database, because procedures and things of that
6 sort are --

7 **MR. GRIFFON:** Yeah.

8 **MS. MUNN:** -- are not the same as --

9 **MR. GRIFFON:** Maybe any other claimant
10 database. I think --

11 **MS. MUNN:** Yeah, any other claimant --

12 **MR. GRIFFON:** -- the main thing we want to
13 restrict SC&A from is looking at any analysis
14 files that NIOSH has done, you know, if -- if,
15 you know, raw records exist, I'm -- I'm not
16 sure that's a problem, you know, but I -- I
17 think any other claimant database is certainly
18 --

19 **MS. MUNN:** Uh-huh, claimant database.

20 **MR. GRIFFON:** -- certainly appropriate, yeah.

21 **MS. MUNN:** Uh-huh.

22 **MR. GRIFFON:** Any other claimant database.

23 **DR. WADE:** Okay.

24 **MR. GRIFFON:** Okay.

25 **DR. WADE:** Okay. One more time then?

1 (No responses)

2 Okay. Ready to vote on the motion?

3 (No responses)

4 All in favor, aye?

5 **MS. MUNN:** Aye.

6 (Affirmative responses)

7 **MR. GRIFFON:** And I guess --

8 **DR. WADE:** (Unintelligible)

9 **MR. GRIFFON:** We got a unanimous vote?

10 **DR. WADE:** So the unanimous vote in favor of
11 the motion.

12 **MR. GRIFFON:** So motion carries to the full
13 Board.

14 **TYPES OF REVIEWS**

15 All right. The next item that I mentioned was
16 at least a preliminary discussion of -- of the
17 types of reviews that we're doing, blind versus
18 advanced, and -- you know, how -- or whether we
19 need to go back to our ori-- well, I was -- had
20 asked that people look back at the original
21 scope and consider the subtasks under the basic
22 and advanced reviews and, to the extent we can,
23 make sure that, going forward, we -- we haven't
24 selected any -- we haven't really defined basic
25 or advanced in the past case selections so far.

1 And I would argue that a lot of the cases have
2 been basic, but certainly the AWE cases fall
3 into an advanced review -- what I would say
4 advanced review construct. And you know, I --
5 I just wondered if in our next sets of cases we
6 need to specifically ask for basic and
7 advanced. And if we do, just make that
8 distinction. I think we need to have a more
9 clear description of how that's going to affect
10 SC&A's review. I think they need to know, you
11 know, what -- what do you want beyond what
12 we've done in the past to consider an advanced
13 review. And -- and I -- the description I gave
14 earlier, you know, might be one way we -- we
15 ask them to modify their approach is that maybe
16 we don't have to make sure every line item
17 equals out so -- so that -- you know, therefore
18 you have less -- less focus on that, but maybe
19 more focus in the question of -- for example,
20 if you have a raw dataset and -- and there's
21 gaps in the individual's records, how were
22 those gaps treated by NIOSH. And given the
23 site dosimetry program, the history, you know,
24 what went on at the site, the badging practices
25 of the site, was that appropriate. And I don't

1 think that -- that next step I don't think
2 currently we take.

3 Now as I say this, also I realize that some of
4 this falls into what we sometimes cover under
5 our site profile reviews, so here we go with
6 this, you know, sort of merging of the -- the
7 two tasks. But I think that -- that -- that
8 does become important because if -- if we stop
9 the review at a point where we say, you know,
10 they had gaps in the data and they -- they
11 chose to assign it using this method and this
12 method is prescribed in the TIB, that's one
13 level of review, certainly. And if it's a --
14 you know, available method to the dose
15 reconstructor in the TIB, that's certainly one
16 method of review. They -- they've -- they, you
17 know, check that they did it according to
18 procedure.

19 The next step is, you know, is that -- is that
20 application of that TIB appropriate for that
21 site, given what we know about the dosimetry
22 program and the, you know, the his-- you know,
23 the history of -- of that site, or the
24 individual's, you know, work and job history.
25 I mean if someone has gaps and -- and they, you

1 know -- I know that we've reviewed a lot of
2 cases where we see, you know, very claim--
3 claimant favorable assumptions that -- that
4 you'd say an individual had -- was monitored
5 and never had a value over LOD and you're --
6 you're slapping on all this missed dose for
7 several years where you -- you probably think -
8 -

9 **MS. MUNN:** Yeah.

10 **MR. GRIFFON:** -- you know, and rightly so, that
11 that was very claimant favorable --

12 **MS. MUNN:** It's unreasonably --

13 **MR. GRIFFON:** -- so then -- then you get down
14 to some cases where you might have small gaps,
15 and did they -- did they use a different
16 approach, did they use a coworker model to fill
17 in that gap or did they still go with the LOD
18 over two approach, when maybe the nearby doses
19 were much higher than LOD over two -- you know,
20 so that -- that's the kind of thing I'm -- I'm
21 seeing as a more advanced probe -- just one
22 example.

23 Other things that I've -- in -- in looking back
24 at the scope and -- I didn't print out our
25 initial -- it's in the original contract to

1 SC&A has the scope in. I don't know if we --
2 **DR. WADE:** (Unintelligible) get it?
3 **MR. GRIFFON:** I have it on disk, but -- I don't
4 think we need it for this discussion really,
5 but one other thing we brought up in there was
6 -- was this question of whether -- whether the
7 -- the -- it was the question of the interview
8 being consistent with -- so the information
9 provided in the interview was consistent with
10 the -- the DR approach. And I think we've --
11 we've touched on that and -- and we do have one
12 -- one obvious problem from the Board's working
13 standpoint is that, you know, this -- this
14 whole question of -- of can we -- can we, the
15 Board, or SC&A approach the claimant and, you
16 know, sort of re-interview them. And I think
17 we've -- we've had a lot of, you know, dialogue
18 about that in -- in past meetings, but we -- we
19 certainly haven't explored -- usually -- and
20 the other thing in the CATI interviews
21 sometimes there's coworkers mentioned in there,
22 and I don't think that our current reviews have
23 said, you know, we've -- you know, certainly we
24 haven't interviewed any of those coworkers, but
25 you know, would -- would -- an advanced review

1 could involve maybe looking at -- looking for
2 those coworkers' radiation files. I mean they
3 may not be claimants, but they might be within
4 the DOE records system, looking at those
5 coworkers' files and saying okay, you know,
6 these people worked in the same operation.
7 Thing-- you know, things look consistent with
8 these workers, so comparing with like workers I
9 guess was another option. That certainly is a
10 more -- more advanced probative review.
11 So those are some things that -- that, you
12 know, sort of jumped out to me as what would be
13 considered advanced. You know, I think one --
14 even in the last subcommittee meeting we had
15 the -- and it was an AWE case, actually, but it
16 was the -- one of the AWE cases where they had
17 an assumption on the -- the neptunium and --
18 and plutonium contamination in the recycled
19 uranium that was used in the plant, and they
20 had a baseline assumption for those
21 percentages. But SC&A didn't go that next step
22 to determine where -- how those were derived,
23 you know, and if they seemed appropriate for
24 that facility. I think that would be another
25 example (unintelligible) --

1 **DR. MAURO:** Yeah, that -- that's a good example
2 --

3 **MR. GRIFFON:** Yeah.

4 **DR. MAURO:** -- and where -- all we did in our
5 review is point out that the justification for
6 those ratios, those part per millions, was not
7 provided --

8 **MR. GRIFFON:** Right.

9 **DR. MAURO:** -- or made reference to, and we
10 stopped at that point.

11 **MR. GRIFFON:** Stopped there, right.

12 **DR. MAURO:** Yeah. A more advanced review would
13 be dive into that --

14 **MR. GRIFFON:** Right.

15 **DR. MAURO:** -- and see if those numbers were in
16 fact valid.

17 **MR. GRIFFON:** Right, right. So ju-- just some
18 examples that I wanted to throw out there, and
19 maybe -- you know, I'm not sure I'm ready to
20 sort of make a motion to clarify what an
21 advanced review should be, but I just wanted to
22 maybe open some dialogue here today, and then
23 maybe for our next subcommittee meeting we can,
24 you know, flesh out what a advanced review is.

25 **DR. MAURO:** There may be another element of

1 this type.

2 **MR. GRIFFON:** Yeah.

3 **DR. MAURO:** I notice that on many occasions I -
4 - I'm just dying to pick up the phone and call
5 up the person who did the dose reconstruction -
6 -

7 **MR. GRIFFON:** Right.

8 **DR. MAURO:** -- as oppo-- not -- not the
9 claimant, but the dose reconstructor, and talk
10 to them a little bit because sometimes the
11 rationale or the explanation is very
12 abbreviated and I know I'm going to spend a lot
13 of time trying to figure out -- and in the end
14 sometimes I'll simply write, you know, I just
15 couldn't match this number and I'm not quite
16 sure why.

17 **MR. GRIFFON:** Yeah.

18 **DR. MAURO:** A more in-depth review would be let
19 me talk to the fir-- because it may be
20 perfectly fine, but it's not self-evident to me
21 as I read the DR report.

22 **MR. GRIFFON:** And we -- and we talked about
23 that the last subcommittee meeting and I -- I
24 think there's -- I'm not sure I like that
25 option, actually, 'cause I think there's a

1 benefit to not having that direct interaction
2 because you can -- I think you can tend to be,
3 you know, steered in the direction that -- that
4 -- and I think a benefit of -- of this review
5 is that you sort of attack a problem outside
6 the box. You're not led down one path
7 immediately. So I think there's trade-offs on
8 that, yeah. I think -- I think the -- the
9 middle ground there is to have these DR guides
10 for each case, and then you sort of, without
11 interviewing the dose reconstructor, you have
12 some insight into what -- why they were going
13 in the path of different decisions. I think
14 that's a -- that's ground I'm more comfortable
15 on, anyway. I can't speak for everyone,
16 obviously.

17 **MS. MUNN:** There is another option, another
18 possibility with regard to situations like
19 that. I certainly have great understanding of
20 the feeling that issues can be easily worked
21 out if there's a direct dialogue between the
22 people who are looking at the same information.
23 But you're point's well taken, Mark.
24 Is there a possibility that in these few
25 extreme cases that we're going to be looking at

1 -- and I'm only talking about these very best
2 estimate, deep review issues -- perhaps a
3 mechanism could be worked out similar to what
4 we do in some of our working groups where the
5 contractor looks at what has been done and
6 states the question that comes to their mind.
7 If we go one step further and allow the dose
8 reconstructor to respond to that question,
9 perhaps that could be done without having the
10 interaction occur on a personal level.

11 **MR. GRIFFON:** Uh-huh.

12 **MS. MUNN:** And it might clear up the question
13 very quickly.

14 **MR. GRIFFON:** I -- I mean the other -- yeah,
15 the other -- and -- and you're saying sort of
16 do that prior to any finding resolution process
17 so that it's not -- is that what you're
18 suggesting maybe?

19 **MS. MUNN:** I would think that you'd want -- if
20 there's going to be a response --

21 **MR. GRIFFON:** Yeah.

22 **MS. MUNN:** If there's a question hanging in the
23 air and there's someone who can answer that
24 question, it would seem logical that we'd want
25 that question answered before it came to us.

1 Would we not?

2 **MR. GRIFFON:** Yeah, I -- yeah, I think so. I -
3 - I was just also thinking that -- how as we'd
4 moved along here, we -- we've almost got a -- a
5 few cases that I can point to, especially in
6 the fourth set of cases, that -- that were sort
7 of turning into advanced reviews, and these are
8 these best estimate cases --

9 **MS. MUNN:** Uh-huh.

10 **MR. GRIFFON:** -- where, you know, NIOSH has
11 come back and basically said, you know, we're
12 going to provide you some, you know, further
13 written analysis to -- you know, because these
14 were very close and it was a question of
15 whether the finding would result in a
16 significantly different dose, you know --

17 **MS. MUNN:** Uh-huh.

18 **MR. GRIFFON:** -- so in tho-- you know, so then
19 they -- there is more in-depth probing there.
20 But that's sort of on the matrix level where
21 we're asking, you know, here's what we -- you
22 know, we have this question, and then -- I mean
23 I'm assuming that in -- you know, Stu's
24 bringing these back to the people that did the
25 cases or people that, you know, reviewed them

1 or whatever and asking them to provide more
2 information or basically a response. But
3 that's all in th-- in this formal level of the
4 matrix. I mean maybe -- maybe you're right,
5 maybe that step can be done prior to -- pri--
6 and then maybe it never gets on a finding level
7 is maybe what you're saying, you know, to --

8 **MS. MUNN:** Worth considering --

9 **MR. GRIFFON:** Right, right.

10 **MS. MUNN:** -- as a possible mechanism.

11 **MR. GRIFFON:** Yeah. I -- yeah, I'm not sure
12 there's an answer there, but it -- that --
13 that's an option, for sure. I -- I do think
14 that -- my personal feeling is that I -- I like
15 that separation of -- of, you know, the auditor
16 from the people that were doing the dose
17 reconstruction. And then if we do the
18 response, I think it's best to have that
19 response in the public for-- you know, on our
20 subcommittee level and then, you know, there's
21 no sense that there was sort of a -- a -- you
22 know, a finding was taken off the table
23 prematurely or whatever, without public
24 scrutiny, I guess would be the word, so -- in
25 my sense would be -- but -- but I certainly

1 think that we have seen that in our -- in our
2 review. In our resolution process we've seen
3 where we've said, you know, we're not getting
4 this number. We're not -- you know, we think
5 there's an issue here and instead of just a
6 verbal explanation, NIOSH has said let -- let's
7 develop -- you know, let's -- let's give you a
8 fleshed out, written response to this so you
9 can see where we're coming from more -- you
10 know, and we've got a bunch of those pending.
11 Right, Stu? I mean right now we're in the
12 process of that. So I think that -- that
13 system works. Go ahead, John.

14 **DR. MAURO:** Another perspective on -- as you
15 correctly pointed out, on -- on many occasions
16 when we're doing a DR audit, very -- we're at
17 the point now where on many of the cases that
18 we're auditing there is an SC&A site profile
19 review -- Hanford, Savannah River, there are a
20 total of 21 right now.

21 **MR. GRIFFON:** Right.

22 **DR. MAURO:** So quite frankly, we've got now a
23 backlog of knowledge regarding the site profile
24 and -- you know, and into it in great depth.
25 So we are the beneficiaries right now of being

1 able to, while we're doing our DR, call up the
2 lead on the site profile and say tell me a
3 little bit more about how they did their
4 neutron dosimetry or whatever.

5 **MR. GRIFFON:** Right.

6 **DR. MAURO:** So on that -- from that respect, we
7 are in a position to go deeper into being --
8 providing the Board with some insight into the
9 strengths and limitations of a given DR. But
10 that's not the case for lots of DRs that we
11 have not performed the dose -- the -- the site
12 profile review. So in those cases I think a
13 good question that needs to be asked is do --
14 does SC&A go into the original D-- do we
15 perform what I would call a mini-site profile
16 review and go into the -- the -- the records,
17 the site profile, the documents that stand
18 behind the site profile, as if part of our DR
19 audit is to probe vertically into selected
20 areas, as we see fit, the site profile and its
21 supporting documentation for those that we
22 haven't done already. And I -- to me, that is
23 the -- the richest place for an advanced
24 movement, by going down that road.

25 **MR. GRIFFON:** Yeah, I tend to agree with that.

1 Do -- do we have -- I mean I -- the other thing
2 I haven't thought through really is -- is to
3 what extent you do have the benefit of all
4 those -- all those site profile reviews that
5 you've done. And then I guess still, even for
6 like Hanford and Savannah River, I'm not sure
7 that you ever get down to like -- 'cause a
8 couple of these came up in recent find-- and I
9 think we're getting -- we're sort of getting at
10 it in this resolution step, because questions
11 are raised about whether someone should have
12 had missed dose assigned for neutrons, and
13 NIOSH's response is -- based on the job history
14 and building history, they put together a
15 compelling argument that, you know, their
16 decision was correct. Well, SC&A hadn't, prior
17 to that, gone to that depth. But maybe this
18 reso-- you know, the resolution step's kind of
19 getting us there anyway, so you know, I -- I
20 don't know, you know. I think -- those were
21 some of the things I was thinking about. Maybe
22 some get covered in this resolution step.
23 Maybe some need to be clarified in the original
24 scope, you know.

25 **DR. MAURO:** But -- but you realize one of the

1 line items in the matrix table, when we hit
2 something like that, it's classif-- let's say
3 we're doing a DR case, and we have a lot of
4 these -- oh, this is a site profile issue;
5 we'll deal with it then.

6 **MR. GRIFFON:** Right, right.

7 **DR. MAURO:** Now in the advanced review, the
8 question -- you know, we're -- sort of like
9 made a big circle now.

10 **MR. GRIFFON:** Yeah.

11 **DR. MAURO:** Are we going to deal with it right
12 there as part of the DR, or are we going to put
13 it off as a site profile issue, when the day
14 comes when we do the site profile.

15 **MR. GRIFFON:** Right.

16 **DR. MAURO:** Yeah.

17 **MR. GRIFFON:** Yeah, and I've got -- I've got
18 some of those currently. I was editing the
19 fifth matrix on the plane out here and, you
20 know, I have some questions in my mind on a few
21 of those, which is -- you know, I -- I think
22 some of them -- we said site profile issue, but
23 I'm not even sure it's in the hopper for SC&A
24 to review that -- that specific site profile,
25 or some of them I think are -- are called

1 exposure matrixes for the sites. They're not
2 quite as big as a site profile, but -- and I --
3 my tendency is for those type of things we
4 should handle it right, you know, in the DR
5 process for those smaller sites. But yeah,
6 it's open to discussion, too, so... I -- I --
7 go ahead.

8 **MS. MUNN:** It sounds as though you anticipate
9 the end result to be the same whether this is
10 done pre-matrix or post-matrix discussion.

11 **MR. GRIFFON:** Yeah, although -- although I
12 think -- I think some clarification -- and I
13 guess what I'd propose now is that before our -
14 - for our next subcommittee meeting I'll try to
15 circulate, before the day of the subcommittee
16 meeting, some -- some draft language to clarify
17 scope for an advanced review, 'cause there's
18 some of these things that I think we might --
19 might want to touch on befo-- you know, some
20 sort of flesh out in the resolution process,
21 but some I think -- specifically the CATI
22 elements and the coworker elements, and I know
23 they're -- they're tricky ones to deal with,
24 but I think we -- they're in our scope and I
25 think we want to -- we need to -- to address

1 them somehow.

2 **DR. WADE:** Is it your sense that we would look
3 at some advanced reviews in the eighth set or
4 hold for next year?

5 **MR. GRIFFON:** Well, I -- it'll probably --
6 since we're selecting the eighth set now, I --
7 I think it would probably hold off.

8 **DR. WADE:** To the -- to next year?

9 **MR. GRIFFON:** Yeah.

10 **DR. WADE:** Okay.

11 **MR. GRIFFON:** Although I think we're getting
12 some -- I think we can retrospectively look
13 back and say this was an advanced review, this
14 is an advanced review. I'm not against that.
15 I think some -- several of the AWE ones --

16 **MS. MUNN:** Uh-huh.

17 **MR. GRIFFON:** -- certainly fall into our
18 classification as advanced reviews. Some of
19 the Savannah River ones that we're asking for
20 written responses back, I think at the end of
21 the day we're going to consider those advanced
22 reviews, you know, 'cause we're actually
23 getting down to, in some of those cases, like I
24 said, the work histories and how they match up
25 with dosimetry and --

1 **MS. MUNN:** Uh-huh.

2 **MR. GRIFFON:** -- so we -- we -- I think we can
3 assess our matrices backwards as to whether
4 they were advanced or basic. But then for this
5 -- this new criteria, I think for the nin--
6 ninth set, try to have it ready for the ninth
7 set.

8 **DR. WADE:** Uh-huh.

9 **MR. GRIFFON:** If that's agreeable.

10 **MS. MUNN:** Seems reasonable, uh-huh.

11 **MR. GRIFFON:** Okay. Maybe -- so that -- that -
12 - that's -- I guess the action is that I'll
13 work with other subcommittee members and have a
14 draft for the next subcommittee meeting of --
15 of a -- I guess clarification of -- of scope of
16 advanced reviews. Right?

17 **MS. MUNN:** Be helpful.

18 **MR. GRIFFON:** And maybe -- I think this might
19 be a good time to sort of insert Wanda's ite--
20 or I -- agenda item of looking at the SC&A data
21 as far as the cases that we've covered. And
22 then we'll go into the fourth, fifth and eighth
23 case selection, if that's okay.

24 **DR. WADE:** Okay. Uh-huh.

25 **MR. GRIFFON:** We got ten -- 10:30 right now?

1 **DR. WADE:** Yeah.

2 **MR. GRIFFON:** We've got about another hour.

3 **MS. MUNN:** Are we going to take a break at any
4 point?

5 **MR. GRIFFON:** Yeah, you want to -- I'm getting
6 a look for a break. Let's -- let's take a ten-
7 minute break and come back.

8 **MS. MUNN:** Just a quick one, thanks.

9 (Whereupon, a recess was taken from 10:30 a.m.
10 to 10:50 a.m.)

11 **DR. WADE:** Okay, we're back in session.

12 **DISCUSSION OF REVIEWED CASES**

13 **MR. GRIFFON:** Okay, I think where we left it
14 off, we were going to just have a discussion I
15 think of -- SC&A provided us with a summary
16 report -- statistics of the first 60 cases, and
17 sort of a look at how many cases per site,
18 different statistics like that. I think --
19 well, I -- I'll let Wanda take ov-- after she
20 swallows, I'll let Wanda take over here.

21 **DR. WADE:** Well done, Wanda.

22 **MR. GRIFFON:** And I -- I'm just looking at
23 these now. I actually -- I apologize, but I'll
24 let Wanda take the floor.

25 **MS. MUNN:** And actually I was not looking at

1 that printout. I was looking at the graphic
2 display that covered the first 148 cases that
3 gave us a better feel of -- for example, the
4 cases that we've reviewed by years of
5 employment, as opposed to our goal. Did you
6 receive those?

7 **DR. WADE:** Yes, they were in that material that
8 I gave you.

9 **MS. MUNN:** Yeah, uh-huh.

10 **MR. GRIFFON:** Are these available for everyone
11 --

12 **DR. WADE:** Yes, they're on the table.

13 **MR. GRIFFON:** Okay, they are available.

14 **MS. MUNN:** Because those were so easily
15 identifiable as to where we are, the printed
16 list that was provided with this shows us very
17 clearly that we have overestimated our
18 requirements for some of the sites, and in
19 other sites we still have quite a ways to go if
20 we're going to meet our intended goal of 2.5
21 percent. Whether or not we actually have the
22 kinds of cases in those particular sites that
23 we feel needs the most attention, that are most
24 problematical in our minds, is another issue.
25 And perhaps we may not quite yet be ready to

1 discuss that. But the breakdown of cases as
2 reviewed by site is I think pretty indicative
3 of where we have to go with a half-dozen of the
4 sites and how we've overshot with others.

5 **MR. GRIFFON:** Yeah, I -- that --

6 **MS. MUNN:** Categories of POCs, we had
7 originally expected to review about 40 percent
8 in the zero to 44.9 percent area. We have 65
9 percent instead, of the current cases, which
10 indicates that the 45 to 49.9 percent that we
11 were looking at as 40 percent probably needs to
12 be increased --

13 **MR. GRIFFON:** Yeah, I --

14 **MS. MUNN:** -- and --

15 **MR. GRIFFON:** I think to some extent that's
16 been driven by our available cases --

17 **MS. MUNN:** Yeah, to some --

18 **MR. GRIFFON:** -- obviously.

19 **MS. MUNN:** -- to some, it has. But I think it
20 would be wise for us to keep those clearly in
21 mind as we --

22 **MR. GRIFFON:** All right. These are certainly
23 helpful in our looking at the eighth set
24 selection.

25 **MS. MUNN:** Very especially that 45 to 49.9

1 percent POC group. Clearly we only have eight
2 percent of our currently-reviewed cases that
3 fall into that category. That's pretty low.

4 **MR. GRIFFON:** Can I ask -- I think Kathy and
5 Hans, are you on the line?

6 (No responses)

7 Kathy and Hans Behling, are you available on
8 the phone line?

9 (No responses)

10 **DR. WADE:** Kathy and Hans, hopefully you're not
11 muted.

12 **MS. BEHLING:** (Unintelligible)

13 **DR. WADE:** Yes, we're starting to hear you,
14 Kathy. Speak up, please.

15 **MS. BEHLING:** Yes, we're on the line.

16 **DR. WADE:** All right.

17 **MR. GRIFFON:** All right. Thank you. I just
18 had a -- a question in your table of the
19 numbers of cases by site, the -- it -- the 2.5
20 percent, it says 2.5 percent of available
21 cases, is that overall cases or is that --
22 that's not just final adjudicated cases, is it?
23 That's --

24 **MS. BEHLING:** That number was actually provided
25 to me by Stu Hinnefeld and I am under the

1 impression -- and Stu, do correct me if I'm
2 wrong -- that that is the number of cases with
3 final decisions. I believe there is a number
4 referred to OCAS by the DOL, minus ones that
5 have been pulled, and then there is this number
6 of final decisions. So I -- I believe that
7 that number represents the number of cases with
8 final decisions. Is that correct, Stu?

9 **MR. HINNEFELD:** That's my understanding. I
10 sent, by site, essentially two numbers. I sent
11 the number of cases available for review,
12 meaning there's a final adjudication in place.
13 And I also sent the total number of cases that
14 had been referred to us for dose
15 reconstruction, minus any cases that were
16 pulled by DOL, which is the case -- that's the
17 population which presum-- well, at some point
18 will be available for review.

19 **MR. GRIFFON:** Right.

20 **MR. HINNEFELD:** So I sent both those numbers.
21 This looks to me to be the numbers that are
22 currently available for review.

23 **MR. GRIFFON:** The lower number then, so the--

24 **MR. HINNEFELD:** The lower number, that's what
25 this looks like to me.

1 **MR. GRIFFON:** 'Cause I mean all of our scope
2 was based on the projected totals, you know,
3 sort of popu-- population of cases for each
4 site.

5 **MR. HINNEFELD:** Presumably, all the cases --

6 **MR. GRIFFON:** And they look low to me, that's
7 why I was wondering --

8 **MR. HINNEFELD:** Presumably, all the cases will
9 someday be adjudicated --

10 **MR. GRIFFON:** Right.

11 **MR. HINNEFELD:** -- and if you want to review
12 two and a half percent of everything that's
13 done, then --

14 **MR. GRIFFON:** At some point it has to stop, I
15 understa-- yeah.

16 **MR. HINNEFELD:** -- two and a half percent
17 (unintelligible) -- okay.

18 **MR. GRIFFON:** But -- yeah, so I -- I think we -
19 - when we look at these numbers, I don't know
20 if this is possible, but it might be worthwhile
21 also to update this table for -- to include
22 that other denominator, all cases available by
23 site. I don't know how quickly that can be
24 provided, but might be useful.

25 Kathy, is that something you -- you could --

1 you have or...

2 **MS. BEHLING:** I'm sorry, ask me the question
3 again -- I apologize.

4 **MR. GRIFFON:** Is -- that -- that 2.5 percent of
5 available cases, I'd like to see the 2.5
6 percent of all referred cases or -- or is that
7 the language, all referred cases?

8 **MR. HINNEFELD:** It's all referred cases minus
9 pulls, is what it is. But if you just want to
10 call it all referred cases --

11 **MR. GRIFFON:** Yeah.

12 **MR. HINNEFELD:** -- it's understood that a case
13 that gets pulled, we're never going to do.

14 **MR. GRIFFON:** Right.

15 **MR. HINNEFELD:** You know, that's our
16 expectation.

17 **MR. GRIFFON:** Right, right.

18 **MS. MUNN:** What are you calling referred cases,
19 Stu?

20 **MR. HINNEFELD:** Cases that the Department of
21 Labor sent to us to do a dose reconstruction
22 on.

23 **MR. GRIFFON:** Now these are only --

24 **MS. MUNN:** Okay.

25 **MR. GRIFFON:** -- final adjudicated cases --

1 **MS. MUNN:** Right.

2 **MR. GRIFFON:** -- here, right.

3 **MS. MUNN:** Right, I understand that. We --
4 we've -- that's all we've had to work with from
5 the outset.

6 **MR. GRIFFON:** Thus far --

7 **MS. MUNN:** Yeah.

8 **MR. GRIFFON:** -- but we're looking at our
9 overall scope -- you know, when -- when we
10 projected our initial numbers, we did it based
11 -- and that -- and that database has obviously
12 grown, but we based it on -- on the initial --
13 I know the spreadsheet I made we based it on
14 all the sites that were in the NOCTS syst-- all
15 the cases that were in the NOCTS system --

16 **MR. HINNEFELD:** I would suspect --

17 **MR. GRIFFON:** -- not -- not just the ones that
18 had final dose reconstructions, obviously,
19 'cause we were just starting.

20 **MR. HINNEFELD:** Going back -- yeah, going back
21 to when that was done, there were -- I would
22 think -- very few finally adjudicated cases --

23 **MR. GRIFFON:** Right, right.

24 **MR. HINNEFELD:** -- so you almost surely worked
25 from the ones --

1 **MR. GRIFFON:** Would not have --

2 **MR. HINNEFELD:** -- that had been referred to us
3 for dose reconstruction.

4 **MR. GRIFFON:** Right.

5 **DR. WADE:** When the Board does its long-range
6 planning, it needs to look at the -- the total
7 population. When it does its selection, it has
8 to look at what's available.

9 **MR. HINNEFELD:** What's available now.

10 **MR. GRIFFON:** That was my point. For our long-
11 range projections more, we want to look at that
12 other denominator.

13 **DR. WADE:** So -- so, Kathy --

14 **MR. HINNEFELD:** Kathy, I just wondered, did I -
15 - did I in fact send you two numbers for each
16 site?

17 **MS. BEHLING:** Yes, you did.

18 **MR. HINNEFELD:** Okay.

19 **DR. WADE:** And could you add to your table that
20 looks at comparison of number of cases by site,
21 add an additional column that would show 2.5
22 percent of the referred cases?

23 **MS. BEHLING:** I will do that, yes.

24 **DR. WADE:** Thank you.

25 **MR. GRIFFON:** Thank you. Anyway, I -- I think

1 Wanda's correct that this -- this -- we should
2 certainly reflect on this as we select the
3 eighth set and -- is there any other --
4 anything else you want to add to this?

5 **MS. MUNN:** No.

6 **MR. GRIFFON:** No? Okay. We're going to get to
7 the eighth set really quickly here. I'm just -
8 - I wanted to give a brief review of the fourth
9 and fifth set of matrix (sic). We had a
10 meeting in Cincinnati in between the last full
11 Board meeting and -- a meeting of the
12 subcommittee, and we did -- the main agenda
13 items were discussing the fourth set. We're --
14 we're in, as I said, comment resolution phase
15 for the fourth set and the fifth set. The
16 fourth set -- you know, the -- the brief update
17 is that we -- we're at a point where -- we have
18 several best estimate cases, I'd say three or
19 four, maybe five -- where NIOSH is coming back
20 with some more in-depth written responses
21 because these are -- you know, because they're
22 best estimate and they're fairly high POCs, but
23 they're not over 50, the -- the -- these
24 findings could be significant enough to --
25 could have significant impact on the dose and -

1 - and, you know, have a significant effect on
2 the case. So we -- we've asked for a more in-
3 depth response on some of those cases and more
4 -- that's sort of where we stand. Go ahead,
5 Stu.

6 **MR. HINNEFELD:** Yeah, that -- the information's
7 being compiled. We want to make sure it's, you
8 know, complete and explanatory and then we'll
9 share it with all the workgroup or --

10 **DR. WADE:** Stand a little closer to...

11 **MR. HINNEFELD:** -- the subcommittee members.
12 The -- I had one question, though, is that in
13 several cases the additional explanatory
14 information is IMBA-filed -- an IMBA file that
15 demonstrates the internal dosimetry -- you
16 know, the bioassay that was there --

17 **MR. GRIFFON:** Right.

18 **MR. HINNEFELD:** -- what does the curve look
19 like that is used in the dose reconstruction,
20 you know --

21 **MR. GRIFFON:** Yeah.

22 **MR. HINNEFELD:** -- so I'm not sure, does -- do
23 all the -- do all the Board -- or the
24 subcommittee members have IMBA on their
25 computer 'cause, you know, you have to have

1 IMBA to open this IMBA file and see it.

2 **MR. GRIFFON:** I think -- I think it -- it was
3 made available. I'm not sure if everybody's
4 loaded it on or whatever, but --

5 **MR. HINNEFELD:** Okay. And then --

6 **MR. GRIFFON:** Did everyone get copies of that
7 early -- early on I know I got a copy.

8 **MS. MUNN:** That's --

9 **MR. CLAWSON:** I don't.

10 **MS. MUNN:** -- a lot of heavy-duty wading.

11 **MR. GRIFFON:** Yeah.

12 **MR. HINNEFELD:** The second part of it is just
13 opening the IMBA file, so --

14 **MR. GRIFFON:** Yeah.

15 **MR. HINNEFELD:** -- in addition to providing the
16 file, we need to make sure we have sufficient
17 explanation to interpret what you're looking at
18 because --

19 **MR. GRIFFON:** Right.

20 **MR. HINNEFELD:** -- it's -- even to a health
21 physicist, it's not particularly intuitive --

22 **MR. GRIFFON:** No, I -- I --

23 **MR. HINNEFELD:** -- the program is not
24 particularly intuitive --

25 **MR. GRIFFON:** Yeah.

1 **MR. HINNEFELD:** -- and so --

2 **MR. GRIFFON:** I agree, a narrative to go along
3 with the IMBA file --

4 **MR. HINNEFELD:** -- so there's -- we want to
5 make sure we have not just the file, but
6 sufficient explanation that --

7 **MR. GRIFFON:** Yeah.

8 **MR. HINNEFELD:** -- you know, says this is what
9 we're demonstrating here.

10 **MR. GRIFFON:** And I think it's more important
11 that SC&A, you know -- we're probably not going
12 to get down into the details of the IMBA model
13 --

14 **MR. HINNEFELD:** Right.

15 **MR. GRIFFON:** -- but SC&A will probably do
16 that, and if we have the narrative and --

17 **MR. HINNEFELD:** Right, okay.

18 **MR. GRIFFON:** -- you know, I think that --
19 that's the way that will proceed, I believe.

20 **MR. HINNEFELD:** Okay.

21 **MR. GRIFFON:** Yeah.

22 **MS. MUNN:** The IMBA model information is very
23 high-level technical detail.

24 **MR. HINNEFELD:** Well, it's -- it's kind of
25 esoteric. We keep the -- we keep it secret.

1 You know, we don't talk about the secrets --

2 **MS. MUNN:** Well --

3 **MR. HINNEFELD:** -- of the craft so that way
4 we're more valuable as health physicists.

5 **MS. MUNN:** No, it -- it's really difficult to
6 get through, for those of us who don't do it on
7 a daily basis.

8 **MR. HINNEFELD:** And it's not really -- it's not
9 really intuitive for those of us who do.

10 **MS. MUNN:** No, no, it isn't.

11 **MR. GRIFFON:** That's right. Okay. So anyway,
12 that -- that -- the fourth set is -- you know,
13 I'd say we've closed out many of the action--
14 many of the findings we've closed out, but we
15 have several still on the table that -- that
16 are requiring this more in-depth response and
17 we'll -- we'll pull that up at our next
18 subcommittee meeting, which I -- I do like to
19 have these subcommittee meetings in between the
20 Board meetings. I think we can get down into
21 the details of those meetings, where it's a
22 little harder at -- at this meeting.

23 The fifth set, we did go through the entire
24 matrix at the last meeting and we have at least
25 begun the -- the resolution process. I've

1 actually edited the matrix, including a NIOSH
2 resolution -- actually it's more -- more of a -
3 - it should just be resolution, because in some
4 cases the resolution was that SC&A was in
5 agreement. In other cases, NIOSH is going to
6 provide more information. But I have edited
7 the matrix. I will -- that was done on the
8 plane and last night when I got here at the
9 hotel. I'll provide that. It -- it's -- I
10 really -- at this stage of the game I think
11 it's for the other subcommittee members and
12 NIOSH and SC&A to look at and make sure that we
13 -- that I accurately understood the -- where we
14 stand. I do have some question -- remaining
15 question marks on that, so the fourth and fifth
16 I'm assuming when we reconvene this
17 subcommittee, probably in Cincinnati, we'll
18 take those up and try to clo-- you know, try to
19 come to closure. And I -- I think we have a
20 good shot at closing both those matrices at the
21 next meeting, so that's sort of an update on
22 the backlog.

23 And the six and seventh, I -- I don't know --
24 John, maybe you can just give us an update on
25 where -- or Kathy and Hans, where we stand with

1 the sixth and seventh ma-- or cases. We're not
2 at the matrix level yet, I don't think -- or
3 are we?

4 **MS. MUNN:** I don't have one.

5 **MR. GRIFFON:** No.

6 **MS. BEHLING:** This is Kathy. I believe -- I'm
7 trying to remember if I have generated the
8 matrix for the sixth set or not. I'm -- quite
9 honestly, I'm not sure at the moment. The --
10 the issue with the eighth set is we're current-
11 - or the seventh set, I'm sorry, we're
12 currently in the progress of working on those
13 and I'm hoping that possibly we will have a
14 draft of those cases prepared maybe at the end
15 of May, beginning of June, so that we can hold
16 our conference calls at that point in time.
17 And I apologize for not remembering that and
18 sixth set matrix, put together or not, but I --
19 I will certainly do that within a day or two if
20 I haven't.

21 **MR. GRIFFON:** Okay, that -- that's okay.
22 You're not the only one that doesn't remember.
23 Anyway, those sixth and seventh case -- sets of
24 cases are in process, but they're in sort of
25 the pre-resolution stage right now, but we're -

1 - we'll continue working on those.

2 SELECTION OF CASES TO BE REVIEWED

3 And then I think the remainder of our time I
4 want to focus on the eighth set selection, and
5 we've been provided -- Stu Hinnefeld, NIOSH,
6 provided two spreadsheets for us --

7 **DR. WADE:** Stu, could you briefly --

8 **MR. GRIFFON:** Yeah, briefly describe these,
9 Stu.

10 **DR. WADE:** -- what people have (unintelligible)
11 have two.

12 **MR. HINNEFELD:** Okay, there -- there were two
13 lists provided. One is -- at the heading it
14 says "full internal and external". That is the
15 list of all the finally-adjudicated cases that
16 are identified in our database as being full
17 internal and external dose reconstructions,
18 which is essentially best estimate, or as close
19 as we can identify best estimate case, based on
20 -- that's -- that field in the database is
21 populated by the HP reviewer when he or she
22 reviews the case and -- and approves the draft
23 dose reconstruction. They will indicate
24 whether this is an overestimate or an
25 underestimate, you know, in a particular

1 component, or whether it seems to be pretty
2 much, you know, that's just the best we can do
3 and it's called full internal and external. So
4 that is the one list. It has the normal
5 selection information and I have sorted this
6 list based on the date approved. Now that's
7 the date the draft dose reconstruction is
8 approved, so the newest cases are at the top,
9 and that's why the selection numbers are kind
10 of dis-- you know, a jumbled order, actually.
11 They probably run kind of -- kind of backwards,
12 but not exactly. So these are sorted based on
13 date approved, thinking that the more recent
14 cases -- if -- if you get into very old cases,
15 sometimes procedures and OTIBs were used that
16 have been superseded, so those are sorted in
17 that fashion.

18 The second list is a random selection of some
19 200 cases, regardless of whether they're full
20 internal or external or overestimates or
21 underestimates. And so anything on this second
22 list, the random selection list, that says full
23 internal and external, you should -- you know,
24 if you look real hard you can probably find it
25 on the other list, as well. So if you --

1 **MR. GRIFFON:** And the -- also sorted by date
2 approved I see. Right? Yeah.

3 **MR. HINNEFELD:** Yes, also sorted by date
4 approved.

5 **MR. GRIFFON:** Okay.

6 **MR. HINNEFELD:** So those are the two lists here
7 for --

8 **MR. GRIFFON:** Does the first list, the full
9 internal/external, does that exclude ones we've
10 already selected?

11 **MR. HINNEFELD:** Yes. Both lists exclude cases
12 that are already selected for review. They
13 also exclude cases that the Department of Labor
14 has identified as having post-final
15 adjudication activity on and therefore may be
16 reopened. And so there are about maybe ten to
17 15 on each list that were removed by the
18 Department of Labor because there's some post-
19 final decision activity on the case.

20 **MR. GRIFFON:** Okay. Maybe -- I think it makes
21 most sense, given the statistics we just looked
22 at, that we want to focus on the best estimate
23 cases to start with and -- and I -- I agree,
24 I'm glad you sorted it this way, Stu, that we
25 should try to focus on the most recently

1 approved cases since a lot of our past reviews
2 we've seen, you know -- NIOSH agrees, but a
3 TIB's already been revised or whatever, so I
4 think this would avoid some of those redundant
5 findings that we've been coming up with. So
6 maybe just -- I think we'll just throw this
7 open as people look down the list.

8 **DR. WADE:** And John Mauro, for the record, how
9 many cases are we trying to -- to find to give
10 you your full year's --

11 **DR. MAURO:** The full year -- 32 -- if we could
12 identify 32 cases today, we will have our full
13 cadre cases for the fiscal year 2007.

14 **DR. WADE:** Okay, thank you.

15 **MS. MUNN:** (Off microphone) (Unintelligible)
16 question?

17 **MR. GRIFFON:** No, I was going to ask that -- of
18 these 32, we might consider two to be blind,
19 given the way we defined it, our blind review
20 criteria. Do we need to do that off of a
21 separate list or we're going to get... I'm
22 unclear on my own motion.

23 **MR. HINNEFELD:** I would suggest that we can --
24 you know, bef-- when we -- before we generate
25 the list for blind selection --

1 **MR. GRIFFON:** Yeah.

2 **MR. HINNEFELD:** -- we can remove the ones
3 selected for this --

4 **MR. GRIFFON:** Right.

5 **MR. HINNEFELD:** -- and then do our sort and re-
6 prepare the lists. So the ones selected in
7 this -- this arena would not be available on
8 the selection for blinds.

9 **MR. GRIFFON:** Right.

10 **MR. HINNEFELD:** You know, that would be a way
11 to not trip over ourselves, I guess is what --

12 **MR. GRIFFON:** No, I was going to propose to
13 select -- try to shoot for 30 today and then
14 save two for this blind review selection, if
15 that's agreeable with folks.

16 **MS. MUNN:** We're not going to try to do two
17 blind selections today?

18 **MR. GRIFFON:** Well, I -- I think we didn't want
19 to have the exact POC number and stuff when we
20 did the blind review selection. Is that still
21 -- still correct?

22 **DR. MAURO:** Any hel-- maybe I can help out a
23 little here. The 32 would cover all of the
24 cases that we are obligated to perform that are
25 considered basic and advanced. The blind

1 reviews really are over and above that.

2 **MR. GRIFFON:** Oh, okay.

3 **DR. MAURO:** And I believe there is -- total of
4 six, on that order --

5 **MR. GRIFFON:** Yeah.

6 **DR. MAURO:** -- I forget the -- I'd have to go
7 back. So therefore the additional blinds are
8 over and above the 32.

9 **MR. GRIFFON:** Okay, so -- so I guess we can do
10 32 today, if we find 32 reasonable cases here.

11 **DR. WADE:** And then when they're removed, we
12 try to do the blinds at the next subcommittee
13 meeting.

14 **MR. GRIFFON:** Right, right. Okay, that sounds
15 good.

16 All right. So anybody -- we're all looking at
17 this real time, so going page by page.

18 **MS. MUNN:** I'd suggest the fourth -- the first
19 one might be the one, two, three, four, five,
20 six -- oh, 05289. That's one that falls in --
21 recall that we were really short, if we're
22 looking at the same goal, on cases between 45
23 and 49.99 --

24 **MR. GRIFFON:** Yeah.

25 **MS. MUNN:** -- percent. That one falls there

1 and --

2 **MR. GRIFFON:** 289 looks good to me.

3 **MS. MUNN:** INE--

4 **MR. GRIFFON:** Okay, people?

5 **MS. MUNN:** Yeah, INEL, our goal of available
6 cases was 13; we've only looked at five so far,
7 so that seems a logical fit.

8 **MR. GRIFFON:** I als-- also think the second one
9 on the list, which is K-25 and Mound, 48.38
10 percent.

11 **DR. WADE:** That's 295?

12 **MR. GRIFFON:** 295, people, agree, disagree?

13 (No responses)

14 **DR. WADE:** Okay.

15 **MR. GRIFFON:** Okay? Going down the list,
16 any...

17 (Pause)

18 **MS. MUNN:** 48 dot 649 on page 2.

19 **MR. GRIFFON:** What's that number again, Wanda?

20 **MS. MUNN:** 48.689 (sic) --

21 **MR. GRIFFON:** Oh, POC 48.649?

22 **MS. MUNN:** Uh-huh.

23 **DR. WADE:** That's case 260.

24 **MR. GRIFFON:** 260?

25 **DR. WADE:** Paducah Gaseous Diffusion.

1 hear --

2 **MS. MUNN:** I think so.

3 **MR. GRIFFON:** Okay.

4 **DR. WADE:** I've got it.

5 **MR. GRIFFON:** That's number five, right, Lew?

6 **DR. WADE:** Right, number five.

7 **MR. GRIFFON:** Okay. What about -- you -- Bob,
8 you were saying 249?

9 **MR. PRESLEY:** As an outsider.

10 **DR. WADE:** You can say that.

11 **MR. GRIFFON:** Yeah, okay.

12 **DR. WADE:** 249?

13 **MR. GRIFFON:** 249, Portsmouth.

14 **MR. PRESLEY:** Yeah, that kind of gives us a --
15 a look at two sites on that type of cancer.

16 **DR. WADE:** Okay.

17 **MR. GRIFFON:** Okay.

18 (Pause)

19 **MR. CLAWSON:** 240?

20 **MR. GRIFFON:** 240, yeah.

21 **MR. CLAWSON:** (Off microphone) (Unintelligible)

22 **MR. GRIFFON:** Yeah, 240 looks good.

23 **MR. CLAWSON:** How about 239?

24 **MR. GRIFFON:** 239, Hanford? We've done a lot
25 of Hanfords and Savannah Rivers, but that's --

1 that is -- does look like a decent case.

2 **DR. WADE:** Uh-huh.

3 **MS. MUNN:** But we're still -- if I'm -- if I'm
4 looking at the number of cases that we've
5 looked at as opposed to the ones that we had
6 for our goal --

7 **MR. GRIFFON:** Yeah.

8 **MS. MUNN:** -- we're still --

9 **MR. GRIFFON:** Yeah, we could still do --

10 **MS. MUNN:** -- we're still low on those.

11 **MR. GRIFFON:** Yeah, I say add that one, number
12 239, that is.

13 **DR. WADE:** Okay.

14 **MR. GRIFFON:** That's eight cases total?

15 **DR. WADE:** Right.

16 **MR. GRIFFON:** That first Savannah River one on
17 the third page looks good to me.

18 **MS. MUNN:** Number?

19 **MR. GRIFFON:** 236.

20 **MS. MUNN:** That's -- that's in that POC range
21 where we have a surplus of --

22 **MR. GRIFFON:** Oh, where we have a lot, yeah.

23 **MS. MUNN:** Yeah.

24 **MR. GRIFFON:** I'll put a star by that one,
25 Wanda, given your comment that number -- that

1 236 --

2 **MS. MUNN:** Yeah.

3 **DR. WADE:** Okay.

4 **MR. GRIFFON:** It's still over 40, but we did
5 say 45 to 50 was what we were targeting.

6 **MS. MUNN:** Yeah.

7 **MR. GRIFFON:** Yeah.

8 **DR. WADE:** We can go -- we can go back.

9 **MR. GRIFFON:** Yeah, we -- yeah.

10 **MS. MUNN:** That's Savannah River...

11 **MR. GRIFFON:** Yeah -- I mean we do have other
12 criteria we've got to remember, too, and the --
13 a lot of these are best --

14 **MS. MUNN:** Yeah.

15 **MR. GRIFFON:** -- estimate cases, which we
16 certainly want to look at, you know.

17 **MS. MUNN:** Yeah, we do.

18 **MR. GRIFFON:** So we don't want to be driven
19 completely by the POC.

20 **MS. MUNN:** No, but my feeling is that the small
21 number that we're going to have that falls in
22 between that -- that guideline that we've
23 established is going to be so small that we're
24 still going to have room for whatever we want
25 to do to fill in with that --

1 **MR. HINNEFELD:** Mark, I just might offer that I
2 recall the last time we did a selection we did
3 a large group to get more specific dose
4 reconstruction information about --

5 **MS. MUNN:** Uh-huh.

6 **MR. HINNEFELD:** -- and bring that back for the
7 ultimate selection. So could be -- you might
8 want to do this in two phases. Pick some that
9 you're cer-- pretty certain you want to look at
10 --

11 **MR. GRIFFON:** Yeah.

12 **MR. HINNEFELD:** -- and then pick a larger group
13 the addi-- you know, another group for the
14 additional information maybe. You know what
15 I'm saying? Last time you picked more than
16 what was ultimately going to be selected --

17 **MR. GRIFFON:** Right.

18 **MR. HINNEFELD:** -- to get additional
19 information about how the dose reconstruction
20 was done.

21 **MR. GRIFFON:** Yeah.

22 **MR. HINNEFELD:** And so just a -- a thought to
23 keep in mind is there may be more --

24 **MR. GRIFFON:** Yeah, we talked about doing the
25 same phase --

1 **MS. MUNN:** (Unintelligible) go back.

2 **MR. GRIFFON:** -- here --

3 **MR. HINNEFELD:** Okay.

4 **MR. GRIFFON:** -- but I don't know if that would
5 be...

6 **MR. HINNEFELD:** Well, you can apply it where
7 you want. I just occurs to me that, you know,
8 we're really focusing on 45 to 50 percenters
9 here, grabbing the majority of those -- not
10 every one but, you know, the majority --

11 **MR. GRIFFON:** Right.

12 **MR. HINNEFELD:** -- and the ones -- the ones
13 that we're picking seem like they're going to
14 be -- you know, they're not dose model.
15 They're going to be full internal and
16 externals, the ones we're picking.

17 **MR. GRIFFON:** Right.

18 **MR. HINNEFELD:** But at some point you may want
19 to, you know, pick more cases for us to go get
20 the additional data on.

21 **MR. GRIFFON:** Right, right, and that -- and we
22 did talk about doing those. I mean what --
23 what are the logistics of that, Stu? We
24 probably can't expect to have that like
25 overnight for the Board --

1 **MR. HINNEFELD:** No.

2 **MR. GRIFFON:** -- to consider tomorrow or --

3 **MR. HINNEFELD:** No, not for the remainder of
4 this meeting --

5 **MR. GRIFFON:** Right, right, right.

6 **MR. HINNEFELD:** -- because there's at least a
7 partially manual search or --

8 **MR. GRIFFON:** Right.

9 **MR. HINNEFELD:** -- you know, kind of a
10 laborious search to find that information.

11 **MR. GRIFFON:** So we did talk about picking this
12 eighth set in a similar way that we did --

13 **MR. HINNEFELD:** Right.

14 **MR. GRIFFON:** -- the seventh set.

15 **MR. HINNEFELD:** Right.

16 **MR. GRIFFON:** We -- we could probably do that
17 on a phone -- a Board phone call, though.
18 Right?

19 **MR. HINNEFELD:** Yes.

20 **DR. WADE:** We could.

21 **MR. GRIFFON:** So I -- I -- 'cause I don't want
22 to delay this three months, you know, but I
23 think we could --

24 **DR. WADE:** Right.

25 **MR. HINNEFELD:** Right.

1 **MR. GRIFFON:** -- have a mid step and then make
2 a vote on a Board phone call, like we did last
3 time, so --

4 **DR. WADE:** Right.

5 **MR. GRIFFON:** -- so I think we still want to do
6 the same thing, give you these, maybe shoot for
7 40 or 45 --

8 **MR. HINNEFELD:** Okay.

9 **MR. GRIFFON:** -- broaden our -- our lens a
10 little bit here --

11 **MR. HINNEFELD:** Uh-huh.

12 **MR. GRIFFON:** -- and then have Stu get more
13 information on those, come back and then we can
14 cull it down to 32. Right?

15 **DR. WADE:** Okay.

16 **MR. HINNEFELD:** Right.

17 **MS. MUNN:** Since we're --

18 **MR. GRIFFON:** I'm --

19 **MS. MUNN:** -- since we're --

20 **DR. WADE:** On the top of -- on the top of page
21 4 there's a number of 45-pluses.

22 **MR. GRIFFON:** Yeah.

23 **MR. PRESLEY:** Got that.

24 **MR. GRIFFON:** Right. I was still back on page
25 3, actually -- 224, halfway down. It's an X-10

1 case, it's 42.6. It's not 45, but it's fairly
2 high POC.

3 **DR. WADE:** Okay.

4 **MR. GRIFFON:** Colon cancer's supposed to be
5 best estimate, so...

6 **MR. CLAWSON:** What was the number on that?

7 **MR. GRIFFON:** 224. And I'm on to page 4. I'm
8 sorry, I was a little behind everyone. Bob
9 mentioned 209, the fourth one down.

10 **MS. MUNN:** And actually there's 210, just above
11 it, as well.

12 **MR. GRIFFON:** And 210, right above it? Yeah, I
13 actually think they're both -- both reasonable
14 selections -- 209 is a multiple cancer with
15 pancreatic cancer and -- and so I think --
16 okay, so 210 and 209.

17 **MS. MUNN:** 195.

18 **MR. GRIFFON:** Where's that at, Wanda, one --

19 **MS. MUNN:** Down near the bottom.

20 **MR. GRIFFON:** Got it.

21 **DR. WADE:** 195?

22 **MR. GRIFFON:** Yeah, multiple site. Looks like
23 a good -- good one to look at.

24 **MS. MUNN:** And I would -- I would not do 191,
25 simply because we have three cases already

1 reviewed from there and --

2 **MR. GRIFFON:** Yeah.

3 **MS. MUNN:** -- only one --

4 **MR. GRIFFON:** My sense is --

5 **MS. MUNN:** -- required.

6 **MR. GRIFFON:** -- that -- we're in the middle of
7 reviewing Bridgeport on one of our matrices
8 right now, I think, and --

9 **MS. MUNN:** Yeah.

10 **MR. GRIFFON:** -- is that a site-wide model,
11 John, do you recall -- or Stu? Or is it a --

12 **MS. MUNN:** I thought it was.

13 **MR. GRIFFON:** Is there individual data or is
14 more of a site --

15 **DR. MAURO:** I think it has an exposure matrix
16 specific for it.

17 **MS. MUNN:** Yeah.

18 **DR. MAURO:** Yeah, so there is a --

19 **MR. GRIFFON:** Yeah, so I think if we reviewed
20 some cases, they're all going to use the same -
21 -

22 **DR. MAURO:** They're all going to look that way.

23 **MR. GRIFFON:** Yeah.

24 **MS. MUNN:** Yeah, and we've already done three.

25 **MR. GRIFFON:** I think we've got that covered.

1 Yeah.

2 **MS. MUNN:** Okay.

3 **MR. GRIFFON:** I'm on to page 5.

4 **MS. MUNN:** Yeah, that first one, another
5 Paducah -- yeah, 185.

6 **DR. WADE:** 185?

7 **MS. MUNN:** Uh-huh.

8 **MR. GRIFFON:** When -- when we looked at
9 Bridgeport Brass, did we combine Havens Lab and
10 Adrian? Are those...

11 **MR. HINNEFELD:** I don't -- I don't recall right
12 off hand, and I don't even recall right off
13 hand whether the profile describes them both or
14 if it's specific --

15 **MR. GRIFFON:** Yeah.

16 **MR. HINNEFELD:** -- to one or the other, so I --
17 I don't remember right now.

18 **MR. GRIFFON:** Kathy or Hans, do you know if --
19 if the three cases you mention on your matrix
20 here in your presentation -- or in your table
21 there, if they were Havens Lab or Adrian or --
22 or you don't know?

23 **MS. BEHLING:** This is Kathy. I believe that
24 one of the Bridgeport Brass cases, the most
25 recent one we had looked at, was only the

1 Havens Lab. And the exposure matrix does
2 discuss both the Havens Lab and the Adrian
3 Plant. But the current one that we're working
4 on, that we (unintelligible) just worked on,
5 only discussed the Havens Lab.

6 **MR. GRIFFON:** So the cases we reviewed only
7 cover Havens Lab right now?

8 **MS. BEHLING:** (Broken transmission) previous
9 (unintelligible) cases have (unintelligible)
10 exposure matrix was not available at the time
11 we reviewed (unintelligible) were actually done
12 under the OTIB-4 and so we've only had I
13 believe one case where we've actually reviewed
14 Bridgeport Brass using the exposure matrix.

15 **MR. GRIFFON:** So I think it might be worth
16 getting a Bridgeport Brass Adrian -- although
17 this one may not be the one, it's number 184,
18 stomach cancer at 21 percent. With these type
19 of cases I'm not sure the -- the POC is as
20 important because we're really reviewing the
21 exposure matrix, in a sense, so -- I don't know
22 what people think about that one, 184.

23 **MS. MUNN:** Well, but if you're going to look at
24 Adrian, we have 187, as well.

25 **MR. GRIFFON:** Is there a better one? Okay.

1 **DR. WADE:** That's 52 percent.

2 **MS. MUNN:** Compensated.

3 **DR. WADE:** Bottom of page 4.

4 **MR. GRIFFON:** Bottom of page 4? Oh, I missed
5 it. Yeah, either -- that's fine with me.

6 **DR. WADE:** 187 it is then?

7 **MR. GRIFFON:** So that'll give is 14 total, Lew,
8 or...

9 **MS. MUNN:** That'll bring us up --

10 **DR. WADE:** Now we're at 14.

11 **MR. GRIFFON:** Fourteen, that gives us a --

12 **DR. WADE:** You haven't decided on 185 yet.

13 **MR. CLAWSON:** (Off microphone) (Unintelligible)
14 on page 5.

15 **MR. GRIFFON:** Page 5 we have a recommendation
16 of 172, which is halfway down the page. This
17 is Mound and Rocky combined.

18 **MR. CLAWSON:** Yeah.

19 **MR. GRIFFON:** Almost at 45. Looks okay to me.
20 Again, we -- we're broadening our lens here so
21 we're shooting for maybe 40 or 45 cases.

22 **DR. WADE:** Right.

23 **MR. GRIFFON:** So that's 15.

24 **MS. MUNN:** Another -- 157.

25 **MR. GRIFFON:** Oh, where's that at -- oh, at the

1 bottom of the page, page 5?

2 **MS. MUNN:** Yeah.

3 **MR. GRIFFON:** Paducah?

4 **MS. MUNN:** Bottom of page 5.

5 **MR. GRIFFON:** Okay, that looks all right.

6 **MS. MUNN:** Three in a row, Paducah we need
7 more, Y-12 we can use more, Savannah we can use
8 more, so --

9 **DR. WADE:** So 156 and 155 as well?

10 **MS. MUNN:** 157, 156 and 155 all fall in the
11 range we're looking at.

12 **DR. WADE:** Proposal for the last three --

13 **MR. GRIFFON:** Last three?

14 **DR. WADE:** -- on page 5.

15 **MR. GRIFFON:** And I agree, yes, so 16, 17, 18
16 that gives us.

17 **MS. MUNN:** 153 on page 6?

18 **MR. GRIFFON:** That's okay, yeah. We have a lot
19 of Savannahs, but we need a lot more. Right?
20 So yeah.

21 **MS. MUNN:** Yeah, we can use a bunch.

22 **MR. GRIFFON:** That's 19.

23 **MS. MUNN:** At least eight or ten.

24 **MR. GRIFFON:** Right.

25 (Pause)

1 We've got an awful lot of Savannah on the next
2 couple of pages here.

3 **MS. MUNN:** Yeah.

4 **MR. GRIFFON:** Yeah.

5 **MS. MUNN:** On page 7 --

6 **MR. GRIFFON:** Page 7, that's where I'm at, too.

7 **MS. MUNN:** -- the next -- 120 up there is the
8 first one that falls in the --

9 **MR. GRIFFON:** 120 works, yep.

10 **MS. MUNN:** -- category.

11 **MR. GRIFFON:** That's number 20.

12 **MS. MUNN:** And 101.

13 **DR. WADE:** 101 is proposed?

14 **MR. GRIFFON:** 101? Where is that, Wanda -- oh,
15 yeah. Again Savannah River, 46,37 percent?
16 Anybody at -- think it's okay?

17 **UNIDENTIFIED:** Yeah.

18 **MR. GRIFFON:** Going on to page 8, unless I hear
19 otherwise.

20 **MR. CLAWSON:** That doesn't look like a very
21 good page.

22 **MS. MUNN:** No, but if we are going to broaden
23 our -- our view there, we might consider 083.

24 **DR. WADE:** 083 has been asked for.

25 **MS. MUNN:** That site, we don't have very many.

1 **MR. GRIFFON:** Where is that at, on --

2 **MS. MUNN:** Top of the page --

3 **MR. GRIFFON:** -- up top, okay.

4 Oh, Iowa, though, that -- I think we've avoided
5 that, didn't we, 'cause it was an SEC -- but is
6 this -- is this non-SEC? I don't understand,
7 Stu. Can you help me out with this one? Was
8 that a non-SEC ti-- I thought an SEC was
9 proposed for the entire...

10 **MR. HINNEFELD:** There may be some people who
11 worked at Iowa Ordnance who didn't have enough
12 time on the AEC portion of Iowa Ordnance and
13 therefore didn't qualify for the class.

14 **MR. GRIFFON:** 'Cause bladder's a listed cancer.

15 **MR. HINNEFELD:** Bladder's a listed cancer, I
16 believe.

17 **MR. GRIFFON:** Yeah.

18 **MR. HINNEFELD:** An SEC cancer, so from a time
19 frame, I don't think any part of Iowa Ordnance
20 is excluded. You know, I think it's the entire
21 period of operation is included in the class,
22 so it must be that this person was determined
23 not to have sufficient time in the AEC -- AEC
24 portion of the -- of the plant.

25 **MR. GRIFFON:** I guess we can look at it. I'd

1 be curious how this would be a --

2 **MR. HINNEFELD:** Yeah.

3 **MR. GRIFFON:** -- best estimate case, but --

4 **DR. WADE:** We can find out. So 083 --

5 **MR. GRIFFON:** Yeah.

6 **DR. WADE:** -- is that it?

7 **UNIDENTIFIED:** (Unintelligible) 083?

8 **MR. GRIFFON:** Yeah. That's 22, Lew, is -- are
9 we in agreement there?

10 **DR. WADE:** Yes, 22.

11 **MR. GRIFFON:** Okay.

12 **MS. MUNN:** (Unintelligible) anything else in
13 there. Everything else is very low or
14 compensable.

15 **MR. GRIFFON:** I'm on page 9, unless I hear
16 otherwise.

17 **MS. MUNN:** Uh-huh.

18 **MR. GRIFFON:** Close to our time to close the
19 meeting, too.

20 **DR. WADE:** It's okay.

21 **MS. MUNN:** Nothing there.

22 **MR. GRIFFON:** We're okay, we're okay.

23 **MS. MUNN:** Nothing inside the box. There's
24 nothing to the end of the list that's inside --

25 **MR. GRIFFON:** Yeah, where's this Anaconda

1 now we have only 17 percent. And for the '70s
2 was 25 percent; we have only 14 percent. So
3 perhaps -- perhaps the '60s and '70s might be -
4 -

5 **DR. WADE:** Question?

6 **MR. HINNEFELD:** I was just --

7 **MS. MUNN:** -- criterion.

8 **MR. HINNEFELD:** I was just going to mention
9 that it would be probably pretty rare to see a
10 POC in the 45 to 50 percent range that's an
11 overestimate. You shouldn't see any that are
12 an underestimate, and if you find something on
13 this list that is in the 45 to 50 percent
14 range, more than likely it's a full internal
15 and external, in which case you may have
16 selected it off the list we just looked at. It
17 would have had a different tracking number on
18 the -- selection number on the other list. So
19 I think -- I don't think you'll find any in the
20 45 to 50 percent range --

21 **MR. GRIFFON:** Right.

22 **MR. HINNEFELD:** -- on this list.

23 **MR. GRIFFON:** If you did an overestimate and it
24 fell into 45 to 50, you would then do -- go
25 back to doing a best estimate. Right? You

1 wouldn't --

2 **MR. HINNEFELD:** For some time now we've not
3 accepted them.

4 **MR. GRIFFON:** Yeah.

5 **MR. HINNEFELD:** There may be some early-on ones
6 that were done that way --

7 **MR. GRIFFON:** Right.

8 **MR. HINNEFELD:** -- but for the --

9 **MS. MUNN:** Yeah.

10 **MR. HINNEFELD:** -- lately it's -- they're not
11 really accepted that way or --

12 **MR. GRIFFON:** Okay.

13 **MR. HINNEFELD:** -- only on very rare occasions.

14 **MR. GRIFFON:** All right. So Wanda -- I'm
15 sorry, my attention drifted there. Did you
16 have a proposed case or did I miss a --

17 **MS. MUNN:** No.

18 **MR. GRIFFON:** No, not --

19 **MS. MUNN:** You heard what I was saying about
20 the years?

21 **MR. GRIFFON:** Yes.

22 **MS. MUNN:** Yeah.

23 **MR. GRIFFON:** 1960 -- yeah.

24 **MS. MUNN:** Other than that, I was just agreeing
25 with what Stu was saying.

1 **MR. GIBSON:** Mark?

2 **MR. GRIFFON:** Yeah.

3 **MR. GIBSON:** It doesn't seem like we have a lot
4 of cases that they maybe started their work
5 careers in like the '70s and they worked
6 through the cleanup phase.

7 **MR. GRIFFON:** Right.

8 **MR. GIBSON:** And even though the ones that are
9 listed have a -- most of them have a low
10 probability of causation, I just --

11 **MR. GRIFFON:** I think you're right, it's
12 another period we want to examine. Wanda's
13 point, too, that the '60s we're missing -- you
14 know, so we should keep that in mind. We're
15 getting a lot of the early start dates, the
16 '50s.

17 **MS. MUNN:** I'm sorry, where --

18 **MR. GRIFFON:** If you see some of those, you
19 know, ma-- make sure we get them.

20 **MS. MUNN:** We're -- we're working on random
21 now. Right?

22 **MR. GRIFFON:** Random, yeah.

23 **MS. MUNN:** And we have chosen one?

24 **MR. GRIFFON:** Two of them, 690 --

25 **DR. WADE:** And 684.

1 **MR. GRIFFON:** -- and 684, and both of these
2 were 1950, unfortunately, but we -- you know, I
3 think you're right, we should look at that
4 decade worked or --

5 **MR. GIBSON:** Mark?

6 **MR. GRIFFON:** -- you know, carefully.

7 **MR. GIBSON:** What about case 227 on page 3?

8 **MR. GRIFFON:** Page 3 of the random?

9 **MR. GIBSON:** The person started work in the
10 '80s and worked 22 years, so that'd put them up
11 to 2002.

12 **MR. GRIFFON:** I'm not sure I find the number,
13 Mike.

14 **MR. CLAWSON:** What number was that?

15 **DR. WADE:** Was this on the first list, Mike?

16 **MR. GIBSON:** 227 is the case. It's on page 3.

17 **MS. MUNN:** Oh --

18 **MR. GRIFFON:** Oh, of the first list? Okay.

19 **DR. WADE:** Okay.

20 **MR. GRIFFON:** Of the first full estimate list?

21 **MS. MUNN:** Yeah.

22 **MR. GRIFFON:** Okay.

23 **DR. WADE:** 227, 41.

24 **MS. MUNN:** Uh-huh.

25 **MR. GRIFFON:** Yeah, and I think your point is a

1 start date of 1980, too, so that is different.

2 **MR. GIBSON:** (Off microphone) (Unintelligible)
3 through the cleanup phase.

4 **MR. GRIFFON:** Okay, let's add that on. That'll
5 be number 26. Thank you.

6 **MS. MUNN:** 26?

7 **DR. WADE:** 26.

8 **MR. GRIFFON:** Did you find that one, Wanda?

9 **MS. MUNN:** 27.

10 **MR. PRESLEY:** You got another one there
11 (unintelligible) Savannah River.

12 **MR. GRIFFON:** That's the 26th one we selected.
13 Right?

14 **DR. WADE:** Right.

15 **MS. MUNN:** But we could -- we could actually do
16 both of them, they both fall in the category
17 we're --

18 **MR. GRIFFON:** Which -- which -- which is both?

19 **MS. MUNN:** -- looking at.

20 **MR. GIBSON:** 27.

21 **MS. MUNN:** 27 and 26.

22 **MR. GRIFFON:** Oh, and 26, the next one's --

23 **MS. MUNN:** Yeah.

24 **MR. GRIFFON:** -- starts in 1970?

25 **MS. MUNN:** Right.

1 **MR. GRIFFON:** Yep, yep, that's okay. That'll
2 be the number -- this 27th case selected. The
3 numbers are confusing me.

4 **DR. WADE:** Only briefly.

5 **MR. GRIFFON:** Okay. I'm looking back at --
6 I'll -- I'll take offers from any -- any list,
7 but I'm back on the random list at this point.

8 **DR. WADE:** No reasonable offer refused.

9 **MR. GRIFFON:** Yeah.

10 **MS. MUNN:** There's -- on the first page there's
11 678 from NTS, a 1960s case.

12 **MR. GRIFFON:** What --

13 **DR. WADE:** 678, first page of random.

14 **MR. GRIFFON:** First page of random.

15 **DR. WADE:** 678, Nevada Test Site.

16 **MR. GRIFFON:** Yeah, I was just looking at that,
17 starting in 1960s, three years -- at least
18 worth looking at to -- yep. Number -- how many
19 is that?

20 **DR. WADE:** 28.

21 **MR. GRIFFON:** Twenty-eight?

22 **MS. MUNN:** On the next page -- no, that's a --

23 **UNIDENTIFIED:** No.

24 **MR. GRIFFON:** What page, Wanda? Page 2 --

25 **MS. MUNN:** Page 2 --

1 **MR. GRIFFON:** -- on the random --

2 **MS. MUNN:** -- of the randoms. We have several
3 from the '60s in there. How about 649?

4 **MR. GRIFFON:** At the bot-- near the bottom --

5 **MS. MUNN:** Yeah.

6 **MR. GRIFFON:** -- Paducah?

7 **DR. WADE:** Right.

8 **MS. MUNN:** Uh-huh, Paducah.

9 **MR. GRIFFON:** This is another skin cancer case,
10 you realize.

11 **MS. MUNN:** Yeah, we've had a number of them in
12 this batch.

13 **MR. GRIFFON:** People want that one?

14 **MS. MUNN:** There's 644.

15 **DR. WADE:** 649, yes or no?

16 **MR. GRIFFON:** 649, yes or no, anybody object to
17 that one?

18 **UNIDENTIFIED:** (Off microphone)

19 (Unintelligible)

20 **MR. GRIFFON:** Yeah, it's in an SEC, but this is
21 a non-listed cancer --

22 **DR. WADE:** Non-covered cancer.

23 **MR. GRIFFON:** -- non-covered cancer.

24 **DR. WADE:** So say yes to it?

25 **MR. GRIFFON:** Yeah, for now.

1 **DR. WADE:** Okay.

2 **MR. GRIFFON:** -- first -- first list, anyway.

3 **DR. WADE:** And then, Wanda, you said 6...

4 **MS. MUNN:** Somebody said 649. I'm trying to
5 have a -- trying to find 649.

6 **MR. GRIFFON:** We haven't done (unintelligible)
7 at Simonds?

8 **MS. MUNN:** And I'm not seeing it.

9 **MR. GRIFFON:** We can probably do another one.

10 **MS. MUNN:** There it is.

11 **MR. GRIFFON:** Wanda, which one did you say?

12 **MS. MUNN:** 649 is what I was looking at.

13 **DR. WADE:** We got it.

14 **MR. GRIFFON:** Okay. I would go back up the
15 list, maybe 666, 17.36 POC, breast cancer,
16 Savannah River. The only reason -- particular
17 interest -- back to what Mike was pointing out,
18 the decade worked is 1980, so a later case.

19 **MS. MUNN:** Okay.

20 **DR. WADE:** 666?

21 **MR. GRIFFON:** Might be an overestimating
22 approach, but at least we can look at it.

23 **DR. WADE:** All right.

24 **MS. MUNN:** Evil number.

25 **DR. WADE:** That's 30.

1 we've --

2 **MS. MUNN:** I saw that.

3 **DR. WADE:** What number?

4 **MR. GRIFFON:** We've got one case from there

5 alr-- done out of -- well, one based on

6 available cases. I don't know --

7 **MS. MUNN:** Yeah.

8 **MR. GRIFFON:** This is number 644.

9 **MS. MUNN:** We had one in --

10 **MR. GRIFFON:** At the bottom of page 2.

11 **DR. WADE:** Okay.

12 **MS. MUNN:** We had one and one already.

13 **DR. WADE:** Put it down?

14 **MR. CLAWSON:** How about on page 3, 6--

15 **MR. GRIFFON:** I'd say at least initially put it

16 down.

17 **DR. WADE:** Okay.

18 **MR. GRIFFON:** Yeah. Hold on now, let me --

19 Brad had one, or --

20 **MR. CLAWSON:** 632.

21 **MR. GRIFFON:** 632, same thing, okay. Where's

22 that at?

23 **MR. CLAWSON:** It's on page 3.

24 **MR. GRIFFON:** Page 3.

25 **MR. CLAWSON:** Los Alamos.

1 **MS. MUNN:** That's a good one.

2 **MR. GRIFFON:** Yeah, that looks good, 1970s
3 start date.

4 **MS. MUNN:** Yeah.

5 **MR. GRIFFON:** That'll be 34?

6 **DR. WADE:** 33, I have.

7 **MR. GRIFFON:** 33? Okay.

8 **MS. MUNN:** And --

9 **MR. PRESLEY:** The other one was
10 (unintelligible) --

11 **MR. GRIFFON:** And again, we're shooting for 40
12 and anticipating we'll lose a few.

13 **MR. PRESLEY:** (Off microphone) (Unintelligible)
14 is 1970 at the Nevada Test Site, which is a
15 nervous system (unintelligible) low POC but
16 still (unintelligible).

17 **MR. GRIFFON:** 1970, yeah.

18 **DR. WADE:** 627?

19 **MR. PRESLEY:** Yeah.

20 **MR. GRIFFON:** Any objections?

21 **DR. WADE:** No? Okay.

22 **MR. CLAWSON:** Robert, let's look at 623, too,
23 that's --

24 **DR. WADE:** 623 is asked to be looked at.

25 **MR. GRIFFON:** 623?

1 **MR. CLAWSON:** Nevada Test Site.

2 **MR. GRIFFON:** Nevada Test site, four years,
3 1960.

4 **DR. WADE:** Okay?

5 **MR. GRIFFON:** That's okay for first cut.

6 **DR. WADE:** All right.

7 **MR. GRIFFON:** Now we've got several more pages.
8 Let's not limit ourselves here.

9 **MS. MUNN:** Well, there's --

10 **UNIDENTIFIED:** -- page 4?

11 **MS. MUNN:** -- the very bottom one on page 3,
12 though, 613, is also --

13 **MR. GRIFFON:** Lawrence Livermore, we haven't
14 done that many from there. We just picked one
15 today.

16 **DR. WADE:** Okay, 613?

17 **MR. GRIFFON:** 613, yeah, put that on the list.

18 **DR. WADE:** Okay.

19 **MR. GRIFFON:** Thirty-six -- page 4, Bob has
20 something.

21 **MR. PRESLEY:** Yeah, it's 588, Mound, 1980,
22 breast cancer, (unintelligible) point six.

23 **MR. GRIFFON:** From the 1980s. Any objections
24 to that?

25 **MS. MUNN:** Isn't that -- isn't that pretty much

1 **MR. PRESLEY:** (Off microphone) (Unintelligible)
2 bladder, Los Alamos, (unintelligible).
3 **MR. GRIFFON:** Is that on page 6?
4 **MR. PRESLEY:** Six.
5 **MR. GRIFFON:** At the bottom, yeah, okay.
6 **DR. WADE:** What number?
7 **MR. GRIFFON:** 528, POC 30.2, Los Alamos.
8 **DR. WADE:** Okay.
9 **MR. GRIFFON:** That's number 40, ri-- or no --
10 **DR. WADE:** No --
11 **MR. GRIFFON:** -- 3--
12 **DR. WADE:** -- 39.
13 **MR. GRIFFON:** -- 39.
14 **DR. WADE:** One more.
15 **MR. CLAWSON:** What about 52-- 525? I know it's
16 breast, but we've got Y-12, Pantex --
17 **MR. GRIFFON:** Where is that at, Brad?
18 **MR. CLAWSON:** Very bottom of --
19 **DR. WADE:** Very bottom of the page.
20 **MR. CLAWSON:** -- page 6.
21 **MR. GRIFFON:** Okay.
22 **MR. PRESLEY:** (Off microphone) (Unintelligible)
23 site.
24 **MR. GRIFFON:** Yeah, Y-12 and Pantex, 1980s.
25 **MR. PRESLEY:** (Off microphone) (Unintelligible)

1 **MR. GRIFFON:** Looks okay.

2 **DR. WADE:** Might as well just do the last page.

3 **MR. GRIFFON:** That's 40, why don't we just go
4 through the last page and get a couple extra if
5 we need them.

6 **MR. GIBSON:** Mark, what about 551, Hanford?

7 **MS. MUNN:** Yeah, I looked at that.

8 **MR. GRIFFON:** 551?

9 **MR. GIBSON:** (Off microphone) (Unintelligible)
10 '70 (unintelligible).

11 **MR. GRIFFON:** 1970s, yep, yep.

12 **MS. MUNN:** Yeah.

13 **DR. WADE:** Doesn't hurt.

14 **MR. GRIFFON:** Okay.

15 **MS. MUNN:** Looks good.

16 **MR. GRIFFON:** That's 41.

17 **DR. WADE:** And on the last page.

18 **MR. GRIFFON:** Going to the last page --

19 **MR. PRESLEY:** Livermore, look at 545, 1970.
20 It's a Lawrence Livermore breast cancer.

21 **MR. GRIFFON:** That looks okay, 42.

22 **DR. WADE:** Five -- is that 545 or --

23 **MR. GRIFFON:** 545 on page 6 of 7.

24 **DR. WADE:** 545, okay.

25 **MR. GRIFFON:** Yeah.

1 **DR. WADE:** Okay.

2 **MR. GRIFFON:** On the last page, just to --
3 might as well go through, for completeness.

4 (Pause)

5 **MR. GRIFFON:** Yeah, nothing -- I don't see
6 anything there. We don't have to add any more.

7 **MS. MUNN:** No.

8 **DR. WADE:** We have 42.

9 **MR. GRIFFON:** We have enough to get our 32.

10 **MS. MUNN:** There --

11 **MR. GRIFFON:** We have 42 pre-selected cases
12 here to bring back to the Board. Is everybody
13 happy with that list? Mike, do you have a --

14 **MR. GIBSON:** 514.

15 **MR. GRIFFON:** What page?

16 **MR. GIBSON:** The last page, page 7.

17 **MR. GRIFFON:** 514, 16.95, Idaho National Lab?

18 **MR. GIBSON:** POCs low, but we can compare it to
19 Wanda's.

20 **MR. GRIFFON:** Yeah.

21 **MR. GIBSON:** It's in that -- same decades.

22 **MR. GRIFFON:** In that time period, 1980.

23 **DR. WADE:** Okay.

24 **MR. GRIFFON:** We can certainly add that one on.
25 That gives us 43.

1 **MR. GRIFFON:** Thank you. Meeting adjourned on
2 the subcommittee.
3 (Whereupon, the meeting was concluded at 11:55
4 a.m.)

1

CERTIFICATE OF COURT REPORTER

STATE OF GEORGIA

COUNTY OF FULTON

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of May 2, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 26th day of July, 2007.

STEVEN RAY GREEN, CCR
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102

2

3