

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

NEVADA TEST SITE

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held telephonically on Sept. 5, 2006.

C O N T E N T S

Sept. 5, 2006

WELCOME AND OPENING COMMENTS	6
DR. LEWIS WADE, DFO	
COMMENT 1: RADIONUCLIDE LISTS	19
COMMENT 2: TBD INADEQUATE GUIDANCE	28
COMMENT 3: NON-RESPIRABLE PARTICLES	38
COMMENT 4: ORO-NASAL BREATHING	42
COMMENT 5: RESUSPENSION MODEL	44
COMMENT 6: AIR CONCENTRATION VALUES	70
COMMENT 7: RESUSPENSION OF DOSE	80
COMMENT 8: EXTERNAL DOSE FOR 1963 TO 1966	81
COMMENT 9: ENVIRONMENTAL EXTERNAL DOSE, 1968 - 1976	81
COMMENT 10: PRE-1963 EXTERNAL ENVIRONMENTAL DOSE	104
COMMENT 11: GEOMETRY OF ORGANS RELATED TO BADGE	109
COMMENT 12: RADON DOSE AND G TUNNELS	112
COMMENT 13: RADIUM 131	113
COMMENT 14: INTERNAL MONITORING	114
COMMENT 15: RESUSPENSION OF RADIONUCLIDES	116
COMMENT 16: PHOTON DOSE	116
COMMENT 17: INGESTION OF DOSE	116
COMMENT 18: OTIB O-2	117
COMMENT 19: BETA DOSE DATA UNTIL 1966	118
COMMENT 20: INTERNAL NON-USE OF BADGES	120
COMMENT 21: EXTREMITY DOSIMETRY	120
COMMENT 22: NEUTRON DOSE DATA	122
COMMENT 23: ADEQUACY OF SOIL DATA	124
COMMENT 24: HIGH FIRED OXIDES	125
COMMENT 25: INTERVIEW DATA	128
COURT REPORTER'S CERTIFICATE	143

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSEXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

1  
2  
3

CLAWSON, Bradley

Senior Operator, Nuclear Fuel Handling

Idaho National Engineering & Environmental Laboratory

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

PARTICIPANTS

ANSPAUGH, LYNN, SC&A  
ARENT, LAURIE, ORAU  
BRACKETT, LIZ, ORAU  
ELLIOTT, LARRY, NIOSH  
GRIFFITH, RICHARD, ORAU  
HOMOKI-TITUS, LIZ, HHS  
KOTSCH, JEFF, LABOR  
MAKHIJANI, ARJUN, SC&A  
MAURO, JOHN, SC&A  
ROLFES, MARK, ORAUT  
ROLLINS, EUGENE, ORAU  
SHOCKLEY, VERN  
SMITH, CHERYL, DADE MOELLER  
STAUDT, DAVID, CDC

## P R O C E E D I N G S

(2:00 p.m.)

WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO

1 DR. WADE: This is Lew Wade and I have the continuing  
2 pleasure to serve as the designated federal  
3 official for the Advisory Board. And this is a  
4 meeting of the work group of the Advisory  
5 Board. Particularly this is the work group  
6 that look -- is looking at issues related to  
7 the Nevada Test Site's site profile. As  
8 currently constituted that work group is  
9 chaired by Robert Presley with Gen Roessler and  
10 Brad Clawson as members. There is a nuance to  
11 that that I'll get into briefly that -- that  
12 speaks to Wanda's role with the Board and with  
13 the work group but right now I want to make  
14 sure as to Board members on the call so Robert,  
15 I know you're on the call. Gen, I know you're  
16 on the call. Wanda, I know that you're on the  
17 call. Are there any other Board members on the  
18 call at the moment?

19 (No response)

1           **DR. WADE:** Any other Board members?

2           (No response)

3           **DR. WADE:** Brad, I assume that you're not with  
4 us at the moment?

5           (No response)

6           **DR. WADE:** Okay, let me deal with the situation  
7 with regard to Wanda. I harken you back to  
8 some time ago when we received notification  
9 that Wanda was going to be respectfully retired  
10 from the Board. Following that announcement  
11 and based upon that information the Board did  
12 reconfigure its work groups and in particular  
13 on this work group it constituted with Brad,  
14 Gen and Robert as chair without Wanda's  
15 membership. We have since been notified by the  
16 White House that Wanda was to be rotated back  
17 on the Board and I am now operating on  
18 instruction that Wanda is a member of the  
19 Board. And that's good news for all of us I  
20 believe. But since the Board took the  
21 legitimate action to reshuffle its working  
22 group, and Wanda was removed from the Board --  
23 from this working group, only the Board can  
24 restore her to this working group. Therefore,  
25 technically today Wanda is not a member of the

1           working group. What I would decide, absent  
2           comment from anyone on the call, is that I  
3           believe very strongly that it's in the best  
4           interests of this process to have Wanda  
5           participate as fully and completely as she is  
6           willing to do. I discussed this with the chair  
7           of the working group, that's Robert Presley,  
8           and he concurs. So it is my intention, again  
9           not prejudging any comments I might hear in the  
10          next two or three minutes, to have Wanda  
11          function fully on this working group  
12          interaction, not as a member of the working  
13          group but as a member of the Board. And since  
14          again the working group will not be taking any  
15          formal action I see no reason not to do that.  
16          But before I do I would like to hear from  
17          anyone who would like to speak to that issue.  
18          So again, what -- what I'm proposing is that  
19          Wanda participate fully in this call on issues  
20          related to the Nevada Site site profile. Is  
21          there anyone who wishes to speak to that issue?

22          (No response)

23          **DR. WADE:** Okay. Hearing no comment, then  
24          Wanda, please join us to the degree that --  
25          that you would like. You've always made

1           tremendous contribution to these activities.  
2           Let me then ask for NIOSH and members of the  
3           NIOSH team to identify themselves, and  
4           particularly to specify whether or not they are  
5           conflicted on issues related to the Nevada Test  
6           Site.

7           **MR. ELLIOTT:** This is Larry Elliott. I have no  
8           conflicts for the Nevada Test Site.

9           **MR. ROLFES:** This is Mark Rolfes. I have no  
10          conflict.

11          **MR. SHOCKLEY:** This is Vern Shockley. I do  
12          have a conflict. I worked at the test site for  
13          the University of California -- Lawrence  
14          Radiation Laboratories from 1964 to 1974 as a  
15          member of the Health and Safety Organization.

16          **THE COURT REPORTER:** Excuse me. This is the  
17          court reporter. Could I get the spelling of  
18          your last name, please?

19          **MR. SHOCKLEY:** S-H-O-C-K-L-E-Y.

20          **THE COURT REPORTER:** Thank you.

21          **DR. WADE:** Thank you for joining us, sir.

22          **MR. ELLIOTT:** And Vern, you're currently  
23          helping NIOSH out with its site profile there  
24          for --

25          **MR. SHOCKLEY:** Right. I am in Spokane,

1 Washington, and Ron Kathryn (ph) and I wrote  
2 the Section 3 of the site profile, which is  
3 occupational medical.

4 **MR. PRESLEY:** Vern, we're glad to have you.

5 **MR. SHOCKLEY:** Okay. Thanks.

6 **DR. WADE:** Are there members of the NIOSH team,  
7 the broad NIOSH team?

8 **MS. HOMOKI-TITUS:** This is Liz Homoki-Titus  
9 with the General Counsel's Office of Health and  
10 Human Services, and I don't have a conflict.

11 **DR. WADE:** Any other federal employees who are  
12 on this call in an official capacity?

13 **MR. STAUDT:** This is David Staudt in contracts,  
14 and I do not have a conflict.

15 **DR. WADE:** Hi, David. Welcome.

16 **THE COURT REPORTER:** I'm sorry. Who was that?

17 **MR. STAUDT:** This is David Staudt, S-T-A-U-D-T,  
18 and I'm a contracting officer.

19 **THE COURT REPORTER:** Oh, okay. Thank you.

20 **DR. WADE:** Thank you.

21 **MR. KOTSCH:** Jeff Kotsch from Labor's on the  
22 line.

23 **DR. WADE:** Welcome, Jeff. It's always a  
24 pleasure to have you with us. Any other  
25 federal employees on official duty?

1 (No response)

2 **DR. WADE:** SC&A team members? John?

3 **DR. MAURO:** Yes, this is John Mauro from SC&A.  
4 I do not have a conflict, but as everyone knows  
5 we do have a firewall separating folks at SC&A  
6 that are working on the Defense Threat  
7 Reduction Agency program for dose  
8 reconstruction and the NIOSH dose  
9 reconstruction work. I just wanted to let  
10 everyone know that that firewall is in place  
11 and this side of the firewall does not have any  
12 conflict.

13 **DR. MAKHIJANI:** This is Arjun Makhijani. I do  
14 not have a conflict.

15 **DR. ANSPAUGH:** This is Lynn Anspaugh from --  
16 working with SC&A. I have a conflict that has  
17 been disclosed, and I did work at Lawrence  
18 Livermore National Laboratory from '63 through  
19 '96. I did participate as an expert witness in  
20 the Prescott case which involved NTS workers,  
21 and I am funded by DOE to do work on dose  
22 reconstruction in Russia at the present time.

23 **DR. WADE:** I thank the professor. We're glad  
24 to have you with us. Other members of the SC&A  
25 team?

1 (No response)

2 **DR. WADE:** Anyone else on the line who wishes  
3 to identify themselves for the record?

4 **MR. ROLFES:** We have -- Lew, this is Mark  
5 Rolfes. We have other members of the ORAU team  
6 on the line as well.

7 **DR. WADE:** Okay. Please identify.

8 **MR. ROLFES:** Gene?

9 **MR. ROLLINS:** This is Eugene Rollins, R-O-L-L-  
10 I-N-S. I am with -- subcontracted to NIOSH  
11 from Dade Moeller and Associates. I was the  
12 team lead on the production of the NTS TBD.

13 **DR. WADE:** Any conflicts?

14 **MR. ROLLINS:** No conflicts.

15 **DR. WADE:** Thank you. Other members of the  
16 broad NIOSH team, ORAU -- ORAU?

17 **MS. SMITH:** Cheryl Smith. I'm Dade Moeller and  
18 Associates and I have no conflict.

19 **DR. WADE:** Other members of NIOSH, ORAU,  
20 federal employees on an official capacity, SC&A  
21 team, anyone who wishes to identify?

22 **MR. CLAWSON:** Yes, Lew Wade, this is Brad  
23 Clawson. I apologize. I just got on.

24 **DR. WADE:** Welcome, Brad. Brad is a member of  
25 the subcommittee. Brad, if you -- if you

1           didn't hear my long monologue, Wanda, who is  
2           now again a member of the Board but not  
3           formally a member of this working group will be  
4           fully participating in the working group as it  
5           leads to in my opinion a considerably better  
6           product. I assume you're okay with that.

7           **MR. CLAWSON:** That's fine.

8           **DR. WADE:** Okay.

9           **MS. MUNN:** Good morning, Brad.

10          **MR. CLAWSON:** Hi, Wanda. It's good to have you  
11          back.

12          **MS. MUNN:** Thank you. It's good to be here.

13          **DR. WADE:** Okay, Robert. I think it's all  
14          yours.

15          **MR. PRESLEY:** All righty.

16          **MR. ELLIOTT:** Robert, this is Larry Elliott.  
17          Before you start if I could make an  
18          announcement, and also I have a question for  
19          Mark. Mark, do we have the document owner  
20          online here, on the call today for the Nevada  
21          Test Site? The document owner at ORAU?

22          **MR. ROLFES:** Gene, are you the document owner  
23          or are you just simply the team leader?

24          **MR. ROLLINS:** I'm not sure what the distinction  
25          is. I'm not exactly sure what the distinction

1 is. I am the team lead and --

2 **MR. ELLIOTT:** This is a -- This is the  
3 proposed conflict of interest policy that is  
4 currently out for review and comment. We would  
5 like, you know, to make sure that we have  
6 identified an individual on the ORAU team who  
7 is serving as what is called the document owner  
8 or the -- the editor of the full site profile,  
9 the owner if you will of -- of all of the  
10 information that is not only included but that  
11 which is excluded from the -- from the site  
12 profile. I just think it's important that --  
13 that, you know, we identify somebody that steps  
14 up and takes the lead in that regard.

15 **MR. ROLLINS:** Okay, Larry. I just checked the  
16 -- the signature sheet and I am listed as  
17 document owner.

18 **THE COURT REPORTER:** And excuse me. Who was  
19 that speaking?

20 **MR. ELLIOTT:** That was Gene Rollins.

21 **THE COURT REPORTER:** Right. Okay, thank you.

22 **MR. ELLIOTT:** And Gene's not conflicted in that  
23 -- in that regard?

24 **MR. ROLLINS:** That's correct.

25 **MR. ELLIOTT:** Okay, Bob. The other -- The

1 other announcement that I had to make -- I'm  
2 sure there are -- there are working group  
3 members of the Board online that are not aware  
4 of this current situation. Dr. Jim Neton last  
5 week underwent colon surgery. He was diagnosed  
6 on Wednesday afternoon I believe with two --  
7 two cancers in his colon and so they removed a  
8 large portion of his colon. He's doing fine  
9 but I just wanted to pass the word along that  
10 he will be out of -- out of the picture so to  
11 speak for awhile, for about four or five weeks.  
12 So just pass that announcement on for everyone  
13 who might be so interested.

14 **MR. PRESLEY:** And Larry, when you talk to him,  
15 which I know you will be doing, please tell him  
16 we're thinking about him.

17 **MR. ELLIOTT:** He gives his best regards to  
18 everyone and encourages everyone to get a  
19 colonoscopy at age 50.

20 **MR. PRESLEY:** That's right.

21 **MS. MUNN:** Yes.

22 **DR. WADE:** God bless him.

23 **MR. ELLIOTT:** Thank you for the time.

24 **DR. WADE:** Thank you, Larry.

25 **MR. PRESLEY:** Thank you, Larry. Mark?

1           **MR. ROLFES:** Yes?

2           **MR. PRESLEY:** When we -- when you sent out your  
3 comment sheet --

4           **MR. ROLFES:** Yes.

5           **MR. PRESLEY:** -- I have comments from comment 1  
6 and 24 and 25. Were -- Were there anything in  
7 between those?

8           **DR. MAKHIJANI:** Mr. Presley, I have -- this is  
9 Arjun. I had some questions in between, mostly  
10 I don't.

11           **MR. PRESLEY:** I have some questions, too. The  
12 problem is, is I just want to make sure that --  
13 that's all there was was the two pages.

14           **MR. ROLFES:** We did send out about 30 pages of  
15 the matrix with the comments back and forth but  
16 there weren't too many outstanding issues I  
17 believe.

18           **MR. PRESLEY:** Okay. The problem that I have,  
19 for some reason what I got only printed the  
20 first and the last page so -- and I -- I was  
21 not able to get any more for some reason on  
22 that. I don't know why, whether it's my  
23 computer or something with the email.

24           **DR. WADE:** Are you in front of the computer  
25 terminal now, Robert?

1           **MR. PRESLEY:** I am but I -- but I'm on -- Lew,  
2 I'm on dial-up so it's not going to help us.  
3 So Mark, what I suggest is why don't I take the  
4 comments from the working group --

5           **MR. ROLFES:** Uh-huh.

6           **MR. PRESLEY:** -- and we will start with comment  
7 1 and just work all our way down through here.

8           **MR. ROLFES:** Okay.

9           **DR. MAKHIJANI:** Mr. Presley, are you working  
10 from -- from the matrix or from your sheet?

11          **MR. PRESLEY:** I'm working from my sheet.

12          **DR. MAKHIJANI:** Oh, okay.

13          **MS. MUNN:** Oh, okay. So that -- Okay.

14          **MR. PRESLEY:** The one that went out on the  
15 28th.

16          **MS. MUNN:** All right.

17          **DR. WADE:** Is there anyone else that -- that  
18 needs that sheet e-mailed to them right now?

19          **MR. CLAWSON:** This is Clawson. I just want to  
20 make sure that I've got the right one. I've  
21 got it 8/30/06, Summary NIOSH Responses to  
22 SC&A. It's 30 pages long.

23          **MR. PRESLEY:** Okay. You got -- You got the  
24 good one. I can't -- for some reason I cannot  
25 -- have not been able to get that off my

1 computer.

2 **MR. CLAWSON:** But this is the correct one that  
3 we needed, correct?

4 **MR. PRESLEY:** What I'm going to do, Brad, we're  
5 going to use that one, but I'm also going to  
6 use the one that, just to start down through  
7 here with our comments.

8 **MR. CLAWSON:** Okay.

9 **MR. PRESLEY:** The one that -- that we sent out  
10 that's got everything on it that -- that the  
11 last time I sent it out was 8/27/06.

12 **MR. CLAWSON:** Okay.

13 **MR. PRESLEY:** Then we can -- we can  
14 interchange.

15 **DR. WADE:** Do you want that sent to you, Brad,  
16 or do you have it?

17 **MR. CLAWSON:** No, I -- I have it. I just  
18 wanted to make sure that I had the most current  
19 revision there that -- that mine states that  
20 it's a essence Summary of NIOSH Responses  
21 revised of 8/30/06, so I think I've got the --  
22 I've got the right one.

23 **MS. MUNN:** I'm sorry we can't work from that  
24 matrix because I --

25 **MR. PRESLEY:** Yeah.

1           **MS. MUNN:** -- the thing I like most about the  
2           matrix is -- is having so many of them shown as  
3           complete. That -- That format is very helpful  
4           as we're going through this --

5           **MR. PRESLEY:** Right.

6           **MS. MUNN:** -- complex data here.

7           **MR. PRESLEY:** When I read one off, if nobody  
8           has a comment and it's complete, what we'll do  
9           is I'll just mark it complete with no comment  
10          on my sheet and we'll go on.

11          **MS. MUNN:** Okay.

12          **DR. MAKHIJANI:** Mr. Presley, it might be  
13          possible to work from both simultaneously.

14          **MR. PRESLEY:** Right.

15          **DR. MAKHIJANI:** This is Arjun. Because your  
16          numbering is the same as in the matrix. I've  
17          got both of them in front of me and if you like  
18          I can -- I can just prompt if there's anything  
19          in the matrix that you're not going through  
20          because I do have both of them in front of me.

21          **COMMENT 1: RADIONUCLIDE LISTS**

22          **MR. PRESLEY:** Let's do it that way, and I'll  
23          start with comment 1 and we will -- we will go  
24          through it, and then we'll -- we'll go right on  
25          down through there if that's all right with

1           everybody. Comment 1 was about the  
2           radionuclides and on -- on that NIOSH has  
3           agreed that the nuclides will be added for  
4           response 1a. And I think that has been  
5           accepted by SC&A; is that correct?

6           **DR. MAKHIJANI:** Yes.

7           **MR. PRESLEY:** Is there any more or further  
8           comment that we need to discuss on that?

9           **DR. MAKHIJANI:** There is one small  
10          clarification in the matrix in item 1d of  
11          8/30/06, the one that Mark Rolfes sent out. It  
12          -- It says, or generally it says because of  
13          the pending petition. I presume this refers to  
14          the atmospheric SEC petition that the Board  
15          already voted on; or is this the next NTS  
16          petition?

17          **MR. ROLFES:** Arjun, I believe that was the  
18          atmospheric weapons testing pre-1963 time  
19          period.

20          **DR. MAKHIJANI:** Okay. I think that's a  
21          clarification, since this is I think probably  
22          going to be a public document, that might be  
23          important. I -- I understood it that way but  
24          I think maybe it ought to be --

25          **MR. PRESLEY:** Mark, can you go ahead and just

1 add that comment on there, please?

2 **MR. ROLFES:** Yes.

3 **DR. ANSPAUGH:** This is Lynn Anspaugh. I also  
4 have a question about that. It -- It seems to  
5 me that as long as this 250-day rule is in  
6 effect that the SEC petition does not remove  
7 the need for some of this information to be  
8 used for what I guess is known as a partial  
9 dose reconstruction?

10 **MR. PRESLEY:** I would say that that's correct.  
11 Would you not -- would everybody agree with  
12 that until we can get this 250-day question  
13 answered?

14 **MR. CLAWSON:** This is Clawson. I agree with  
15 you on that. That's still a pending question  
16 we've got out there.

17 **MR. CLAWSON:** Do we have any history on where  
18 we're at with that?

19 **MR. ELLIOTT:** This is Larry Elliott. The --  
20 Yes, the -- the atmospheric testing SEC  
21 petition pre-1963 should become I believe  
22 effective as a designated class later this  
23 week, the 7th. Am I right on that? Mark or  
24 anybody, help me out there. And the 250-day  
25 health endangerment criteria remains a topic of

1           general concern, certainly one that would be  
2           specific to any class where that type of health  
3           endangerment has been prescribed.

4           **MS. MUNN:** Didn't we identify a working group  
5           for that, Larry?

6           **MR. ELLIOTT:** I believe you did.

7           **DR. WADE:** Yes, we have. And to my knowledge  
8           that working group has yet to get to meet.

9           **MR. PRESLEY:** That -- That would tell me that  
10          we really can't do anything with this response  
11          until after they make their decision and it  
12          comes back to the Board. This is Bob Presley.

13          **DR. MAURO:** Bob, this is John Mauro at SC&A. I  
14          think the -- at least with regard to this list  
15          of radionuclides and the need to complete the  
16          list as thoroughly as possible. Certainly  
17          there's agreement that that in fact is going to  
18          be acted upon by NIOSH and certainly is  
19          applicable to issues related to the site  
20          profile. However, what I would say is that  
21          it's -- the degree to which having that  
22          information, namely these other radionuclides,  
23          will only help us when the day comes when we  
24          have to deal with the less than 250 work day  
25          issue. So I think that it's -- I hate to say

1           it this way but I think that we're moving --  
2           moving forward in the way we planned to move  
3           forward on this particular issue is only going  
4           to benefit us not only here on the site profile  
5           but also on any issues that might arise from  
6           the 250 work day new task order that we're  
7           going to be engaging in.

8           **MR. ROLLINS:** John, this is Gene Rollins. I --  
9           I went back and looked at the original comment,  
10          ld. It -- It was more or less specific to  
11          internal dose, and that's the reason we decided  
12          we could -- we could drop it off with the SEC  
13          petition because we'll not be doing internal  
14          doses prior to '63. However, in response to  
15          your concern about how we're going to calculate  
16          external doses, we are working on that. As we  
17          move through our discussions today I think  
18          we'll be able to explain to you how we're going  
19          to approach that. But we're not -- We're not  
20          throwing these radionuclides away. We're just  
21          -- We're just trying to address the comment as  
22          it was originally written, and it was  
23          concerning specifically internal dose.

24          **DR. MAKHIJANI:** This is Arjun. I -- I agree  
25          with Gene because NIOSH has said they cannot

1 calculate internal dose up to and including  
2 1962, so however the 250-day issue is resolved,  
3 NIOSH hasn't said that they can calculate for  
4 less than 250 days but not for more than 250  
5 days. So -- So the 250-day issue is going to  
6 just have to -- resolution of that will have to  
7 take into consideration the fact that NIOSH has  
8 said they cannot calculate the doses and it's a  
9 separate thing from dose reconstruction. It's  
10 a -- It's how do you estimate health  
11 endangerment when you cannot do dose  
12 reconstruction in a particular category. I  
13 mean maybe -- maybe Mr. Elliott might -- might  
14 correct me if my understanding of that is  
15 wrong.

16 **MR. ELLIOTT:** Arjun, I think you said it very  
17 clearly and much better than I tried and  
18 attempted to earlier.

19 **MR. PRESLEY:** This --

20 **MR. ELLIOTT:** Right on target.

21 **MR. PRESLEY:** Okay. This is Bob Presley. And  
22 with what's been said it looks like that 1a,  
23 1b, 1c and 1d are all answered and that the  
24 250-day change will come down the road and fall  
25 out where it may.

1           **DR. MAKHIJANI:** Yes, I -- I think, Mr. Presley,  
2           it might be useful to just specify that that  
3           issue is pending but that NIOSH has already  
4           said that internal doses can't be calculated.  
5           Maybe -- Maybe some editorial clarification is  
6           necessary here so this misunderstanding doesn't  
7           arise.

8           **MR. PRESLEY:** What we can do then is put a  
9           comment there that says that we will add  
10          something to this comment after that concerning  
11          the 250-day decision when it comes down.

12          **MS. MUNN:** The current NIOSH response on the  
13          matrix is NIOSH will add the radionuclides that  
14          concern this table 2-2 along with the areas of  
15          concern. It shouldn't be a problem to add a  
16          comment about the 250-day there, should it? It  
17          already says NTS TBD tables that identify  
18          radionuclides of concern will be reviewed and  
19          revised as appropriate. That's probably the  
20          appropriate place to add a comment about 250 as  
21          well, is it not?

22          **MR. PRESLEY:** Right. Where's that at, Wanda?

23          **MS. MUNN:** That's the original NIOSH response.

24          **MR. PRESLEY:** Okay.

25          **MS. MUNN:** Similarly under the meeting comments

1 from the 25th we indicated that -- that the  
2 nuclides are going to be added. So are we  
3 going to add another column to our matrix or  
4 are we going to perhaps put a dash underneath  
5 our site profile comments from 7/25 and add  
6 comments from this meeting? It might be  
7 simpler to do that.

8 **MR. PRESLEY:** Simpler to do that or, Mark, do  
9 we want to go back and use your matrix and put  
10 another column there or not?

11 **MS. MUNN:** The problem with adding columns is  
12 we end up with a new column every time we have  
13 a work group meeting.

14 **MR. PRESLEY:** Right.

15 **MS. MUNN:** And in other -- other work groups we  
16 found that to be a bit too cumbersome.

17 **MR. PRESLEY:** Why don't we just add a comment  
18 then about the 250 days at the end of this  
19 where we have those other comments started.  
20 And I'll add something in there, a comment  
21 about that before --

22 **MS. MUNN:** Perhaps we could change the -- the  
23 title of the column to comments from the most  
24 recent working meeting.

25 **MR. PRESLEY:** Yeah, comments from the --

1                   comments from today's meeting.

2           **MS. MUNN:** Yeah.

3           **MR. PRESLEY:** Okay. We can do that.

4           **MS. MUNN:** Should work. Mark?

5           **MR. ROLFES:** Yes?

6           **MS. MUNN:** Will that work for you?

7           **MR. ROLFES:** That works fine for me.

8           **MS. MUNN:** Good.

9           **MR. ROLFES:** That'd be great.

10          **MR. PRESLEY:** Okay.

11          **DR. ROESSLER:** This is Gen. It's somewhat  
12          difficult to hear. It sounds like someone  
13          maybe is on a speakerphone and there's a lot of  
14          noise in the background.

15          **DR. WADE:** Unfortunately that's me at an  
16          airport. If it's really difficult then --

17          **DR. ROESSLER:** Okay.

18          **DR. WADE:** -- then I'll hang up and I think  
19          with Liz and Larry on the line you'll be okay  
20          but --

21          **DR. ROESSLER:** No, I think we need you.

22          **DR. WADE:** Okay. I'm trying as best I can to  
23          shield that but I -- I'm somewhat limited.  
24          Sorry.

25          **MR. PRESLEY:** Okay. Are we ready to move on to

1           Comment 2?

2           **MR. ROLFES:**   Yep.

3           **COMMENT 2:   TBD INADEQUATE GUIDANCE**

4           **MR. PRESLEY:**   Okay.  With this we said that  
5           NIOSH will revisit and evaluate this item and  
6           revise the TBD to reflect the findings and  
7           right now the Board has no further -- or the  
8           working group has no further action.  Mark, did  
9           you have anything marked?

10          **MR. ROLFES:**   I may have, saying that we'll  
11          revisit and evaluate this and revise the TBD to  
12          reflect any findings.

13          **MR. PRESLEY:**   Arjun, did you have anything?

14          **DR. MAKHIJANI:**   Mark?  Mark?

15          **MR. PRESLEY:**   No, NIOSH.

16          **DR. MAKHIJANI:**   Makhijani?  Were you asking me,  
17          Mr. Presley?

18          **MR. PRESLEY:**   Yes, uh-huh.

19          **DR. MAKHIJANI:**   Mr. Makhijani?

20          **MR. PRESLEY:**   Yes, sir.

21          **DR. MAKHIJANI:**   Arjun?

22          **MR. PRESLEY:**   Yes, Arjun.

23          **DR. MAKHIJANI:**   Yeah, I -- I didn't have any --  
24          I had a question between item 2a and 2b and c,  
25          the new notes that have been added by the ORAU

1 team. Under 2a it said, this is not a complex-  
2 wide issue. And then under 3 -- 2a and -- I'm  
3 sorry, excuse me -- 2b and 2c it says this is a  
4 complex-wide issue. I kind of got a little  
5 confused about how those distinctions are being  
6 made and what that means in the context of this  
7 complex -- this resolution. Does it mean that  
8 when it's complex-wide there will be some kind  
9 of complex-wide technical information bulletin  
10 or will it be -- I -- I got confused as to --  
11 as to the nature of those notes and the comment  
12 resolution process.

13 **MS. MUNN:** I can see how that would be a  
14 problem. When I -- When I read it myself,  
15 Arjun, I took that to mean that they were being  
16 very specific with respect to the -- to that  
17 portion of the comment but I can see your  
18 concern with respect to the complex-wide issue  
19 because we have the same thing with the 250-day  
20 issue, all of the hot particle issues, the  
21 mouth breathing issues.

22 **DR. MAKHIJANI:** Right.

23 **MS. MUNN:** All of those things (phone static)  
24 complex-wide.

25 **DR. MAKHIJANI:** Ms. Munn, some -- some of these

1 things are -- seem to be specific; the 2b and  
2 2c seem to be very specific to NTS. And some  
3 of them do have implications for other sites --

4 **MS. MUNN:** Yeah.

5 **DR. MAKHIJANI:** -- like Hanford and Idaho.

6 **MS. MUNN:** Yeah.

7 **DR. MAKHIJANI:** But like the Idaho reactor got  
8 stationed there but I -- I did get confused as  
9 to what it means about our comment resolution.

10 **MR. ELLIOTT:** Mark, or Gene Rollins, can you  
11 help out with some understanding on what is  
12 meant by these terms in this matrix?

13 **MR. ROLLINS:** Well, this is Gene Rollins. From  
14 our point of view we will take the data as it  
15 was presented in the referenced report and we  
16 will apply that as appropriate to the Nevada  
17 Test Site. That methodology, if it is deemed  
18 to be useful -- I would imagine if it is deemed  
19 to be useful across the complex or across the  
20 project then that would be up to OCAS to decide  
21 whether or not they wanted to try and take  
22 those same methods and use them for other  
23 applications at other sites. We -- We fully  
24 intend to -- to do it specifically for NTS.

25 **DR. MAKHIJANI:** Oh, okay. That -- That

1 clarifies it for me anyway. And then maybe  
2 from -- if that might be, yeah, actually useful  
3 in that context to identify what might be  
4 applicable to other sites and I -- and I do  
5 agree that, you know, these 2b -- 2b and -- and  
6 2c may be applicable to other sites but  
7 drillback and tunnel re-entry and so on may not  
8 be applicable to other sites. I agree with  
9 that.

10 **DR. ROESSLER:** This is Gen. Gene, what report  
11 are you referring to?

12 **MR. ROLLINS:** This is the NRDL report.

13 **DR. ROESSLER:** Okay. That's what I assumed.  
14 Thank you.

15 **DR. MAKHIJANI:** Yeah, it's -- it's -- I have  
16 enough explanation for me.

17 **MR. ROLLINS:** Okay.

18 **DR. MAKHIJANI:** That's fine.

19 **MS. MUNN:** This is Wanda. From a -- From a  
20 Board point of view this raises again the --  
21 again the same question that we've wrestled  
22 with in other working groups with respect to  
23 the Board's follow-up and understanding of  
24 whether these actions have in fact been taken.  
25 And I'm -- I'm not certain we are clear yet on

1           how the Board is going to be able to track  
2           that.  Lew or -- or Larry, do either of you  
3           have any better information than I do about our  
4           system for assuring that these potential action  
5           items like this one where we're discussing the  
6           possibility of some process being incorporated  
7           into perhaps a workbook or a TIB?  Do we have  
8           any current information on exactly how the  
9           Board is going to track those?

10          **MR. ELLIOTT:**  I think that is something that we  
11          need to work out together with the -- with the  
12          Board and across all the working groups of the  
13          Board.  We are -- are finding ourselves dealing  
14          with whether a comment -- a review comment is  
15          site specific or does it have general, more  
16          broader impact and application across sites.  
17          And so I think we're going to have to talk  
18          through a process of identifying and tracking  
19          those generic issues so that they don't get  
20          lost and so that we do keep momentum in  
21          resolving those issues.

22          **MS. MUNN:**  We talked about this before but to  
23          my knowledge we have never actually put  
24          anything in place.

25          **DR. WADE:**  This is Lew.  We've talked about

1           this sort of overarching matrix but I think at  
2           the September meeting we need to have a formal  
3           agenda item to decide not only that that's a  
4           good idea but who is going to carry that out  
5           and right now it's falling through -- between  
6           the cracks for working groups. I think it's  
7           something on NIOSH's agenda though. So I'll  
8           make sure that that's an agenda item for  
9           September.

10          **MS. MUNN:** Thank you, Lew. That was what I was  
11          going to suggest.

12          **DR. MAKHIJANI:** I had one other comment in this  
13          regard. It says here under 2b in the middle  
14          column that this evaluation will need to  
15          reflect current Project positions related to  
16          hot particle dose reconstruction at other DOE  
17          sites. I -- I didn't -- I thought that there  
18          would be an evaluation for NTS that would be  
19          reflected at other sites. This seemed to say  
20          the opposite thing.

21          **MR. ROLLINS:** We're actually -- this is Gene  
22          Rollins again. There is currently in existence  
23          guidance on how to assess particle -- doses  
24          from discrete particles. And this would be  
25          strictly from an external skin point of view.

1           **DR. MAKHIJANI:** Uh-huh.

2           **MR. ROLLINS:** What we want to make sure of is  
3           that we don't get crosswise with that guidance.  
4           That if that guidance needs to be changed we'll  
5           review that but we -- we want to stay in  
6           concert with it as much as possible.

7           **DR. MAKHIJANI:** Right. So that -- you might  
8           change that guidance or draw from it?

9           **MR. ROLLINS:** Correct.

10          **DR. MAKHIJANI:** Okay. All right.

11          **MR. PRESLEY:** Any more comments on 2?

12          **MR. ELLIOTT:** I think -- This is Larry Elliott  
13          again. I think we have a tendency to talk in  
14          jargon here. And Gene and Mark, maybe this  
15          point and 2b in the middle column that Arjun  
16          just raised would be better served if we  
17          provided an edit for clarity. I think you --  
18          you guys can read this and understand what it  
19          means but -- but folks on the outside perhaps  
20          get lost in our jargon and we need to be very  
21          clear and specific in -- in our intent, in our  
22          words.

23          **MR. ROLLINS:** Okay.

24          **MS. MUNN:** True. Project position doesn't mean  
25          much to me.

1           **MR. ELLIOTT:** Right. I know it means something  
2           to these guys but on the outside, to everybody  
3           else it means nothing perhaps.

4           **MR. ROLFES:** Okay. I think we can work on  
5           clarifying that language a little bit, Larry.

6           **MR. ELLIOTT:** Thank you.

7           **MR. PRESLEY:** Okay. Thank you, Mark.

8           **MR. ROLFES:** Thank you, Bob.

9           **MR. PRESLEY:** Are we ready to go on to Comment  
10          3?

11          **DR. MAKHIJANI:** Mr. Presley, I'm sorry. This  
12          is Arjun. I see on Comment 2d some work has  
13          been completed and I wonder whether the reports  
14          that have been digitized can be put on the O  
15          drive so they can be looked at.

16          **DR. ROESSLER:** Did you say 2b or --

17          **DR. MAKHIJANI:** 2d as in David.

18          **DR. ROESSLER:** David? Okay. Thank you.

19          **DR. MAKHIJANI:** In the middle column there,  
20          four of the reports have been fully digitized.

21          **DR. ROESSLER:** I see it. Thank you.

22          **DR. MAKHIJANI:** And then later on something  
23          else; 2e is also completed. And so there are a  
24          number of completed items and I just had that  
25          request.

1           **MR. ELLIOTT:** Well, Mark and Gene, can you help  
2 me out here? I don't know what these reports  
3 contain. Are they something that we can put on  
4 the -- the drive, the shared drive for folks to  
5 view?

6           **MS. MUNN:** This is Wanda. My question was do  
7 they need to be -- do they need to be scanned  
8 for content?

9           **MR. ROLLINS:** I was just going to make the  
10 comment this is -- this is pretty fresh data --  
11 this is Gene Rollins again -- and I'm not sure  
12 that it's been -- had a complete internal  
13 review.

14          **MS. MUNN:** Yeah.

15          **MR. ROLLINS:** And I'd be hesitant to put it up  
16 there until internally we were satisfied with  
17 it.

18          **DR. MAKHIJANI:** Oh, okay. I thought that some  
19 work had been completed.

20          **MS. MUNN:** Well --

21          **DR. MAKHIJANI:** That one -- I guess 2e is  
22 completed.

23          **MS. SMITH:** This is Cheryl Smith. The reports  
24 that this data is based on are on the O drive.

25          **DR. MAKHIJANI:** Oh, okay.

1           **DR. MAURO:** This is John Mauro. I'd just like  
2           to emphasize that the subject we've been  
3           talking about is probably going to be an  
4           extremely important one in terms of superficial  
5           dose from -- in talking about the Hicks tables  
6           and the Baneberry test and the fact that we're  
7           concerned with superficial exposures to skin.  
8           So this issue is going to be -- how we come to  
9           grips with the dealing with the particles of  
10          skin dose and superficial dose; it's going to  
11          be very important because as you know, those  
12          particular cancers are -- we will have to deal  
13          with, notwithstanding the fact that we have --  
14          will have in the future perhaps an approved SEC  
15          for the pre-'63. So I see this particular  
16          subject as being something that we're going to  
17          need to look at real closely as we move through  
18          the process.

19          **MR. PRESLEY:** Okay. Any more comments?

20          (No response)

21          **MR. PRESLEY:** Are we ready to move on to 3?

22          **MS. MUNN:** The -- Under response to the TBD  
23          Team Input, that it's the -- essentially the  
24          same note that we discussed earlier with  
25          reference to Project position. Any change in

1 language that we make to the preceding  
2 statement perhaps should be carried through to  
3 2f plank as well.

4 **MR. PRESLEY:** Okay. We can do that. Any more  
5 comments?

6 (No response)

7 **COMMENT 3: NON-RESPIRABLE PARTICLES**

8 **MR. PRESLEY:** Well, let's move on to Comment 3.  
9 NIOSH had a response that they agreed that  
10 large particle ingestion and skin deposition  
11 could be important for individuals resolved --  
12 or involved in underground testing. On that  
13 one the comment was --

14 **MS. MUNN:** They're going to revise the TBD.

15 **MR. PRESLEY:** That's correct. Yes. Has  
16 anybody got any comments to the fact that the  
17 TBD will be revised?

18 **DR. MAKHIJANI:** No, I didn't have any comment  
19 about that, but I just want to make sure. This  
20 -- This relates to internal dose, right? No,  
21 it says actually skin also so the atmospheric  
22 testing should -- should -- should make that  
23 exception to skin dose because it says NIOSH  
24 does not intend to extend these evaluations for  
25 individuals involved with atmospheric testing

1 but I thought that NIOSH is -- I'm a little  
2 confused because from the second column talks  
3 about internal dose but the first column talks  
4 about skin dose also.

5 **MR. PRESLEY:** First the original response  
6 mentioned ingestion and skin dose.

7 **DR. MAKHIJANI:** Right.

8 **MR. PRESLEY:** Mark, do you have a comment on  
9 this?

10 **MR. ROLFES:** Well, for the pre-1963 SEC period  
11 we won't be constructing internal doses;  
12 however we will still evaluate any external  
13 doses received from large particle deposition  
14 on the skin surface. So I believe we are  
15 working on that. Just haven't approached it in  
16 a technical basis document.

17 **DR. MAKHIJANI:** Yeah. So the 3a response  
18 actually doesn't say that. It said due to  
19 pending SEC petition for workers involved NIOSH  
20 does not intend to extend these evaluations.  
21 And above it's talking about -- it says  
22 internal and external dose guidance in that  
23 same item there.

24 **MR. ROLLINS:** We should probably qualify that  
25 response to only refer to internal dose prior

1 to '63. I see where your concern is.

2 **MS. MUNN:** That would be helpful.

3 **MR. ROLLINS:** Yeah.

4 **THE COURT REPORTER:** Was that Mr. Rollins?

5 **MR. ROLLINS:** Yes, it was.

6 **THE COURT REPORTER:** Yes, thank you.

7 **MR. PRESLEY:** You all will change that response  
8 then?

9 **MR. ROLFES:** Yes, we can do that. That will  
10 update the response to show that it's for  
11 external dose reconstruction.

12 **MR. PRESLEY:** Good. Okay. Does anybody have  
13 any problem with 3c?

14 **MS. MUNN:** Did we jump over 3b?

15 **MR. PRESLEY:** Yes, I did. I'm sorry. 3b,  
16 large particle ingestion and skin disposition  
17 (sic).

18 **MS. MUNN:** The same -- ditto response from 3a I  
19 think.

20 **MR. PRESLEY:** Right.

21 **DR. MAURO:** This is John Mauro. I had -- just  
22 had a thought that I'd like to throw out to the  
23 working group. As NIOSH works through these  
24 issues the degree to which consideration is  
25 given while they're in the literature and

1           developing methodologies looking at data to  
2           address these issues and the associated I'll  
3           say revisions to the site profile, the extent  
4           to which the -- the time period of exposures  
5           might be relevant -- for example, whether we're  
6           dealing with underground testing or we're  
7           dealing with above ground testing, the degree  
8           to which the kinds of information we'll be  
9           looking at will shed some light on this 250  
10          work day issue. We may be able to what I call  
11          -- kill two birds with one stone. Rather than  
12          going back to revisiting that issue again later  
13          on when we are engaged into the less than 250  
14          work day issue, it would be very helpful to  
15          accomplish as much as we could on -- because  
16          these issues are going to surface again with  
17          the 250 work day issue. So while you're in the  
18          literature looking at that it might be helpful  
19          to the other working group to keep that in the  
20          forefront while you're working the problem.

21          **MS. MUNN:** Good comment, John.

22          **MR. PRESLEY:** That's a good comment. Anybody  
23          have any more comments about 3b?

24          (No response)

25          **MR. PRESLEY:** Okay. 3c?

1           **MS. MUNN:**     And response is applied.

2           **MR. PRESLEY:**   Anybody have any more comment?

3           (No response)

4           **COMMENT 4:   ORO-NASAL BREATHING**

5           **MR. PRESLEY:**   Okay.   Moving on to comment 4.

6           Comment 4 is one that we had issue with.   It

7           has to do with oral nasal breathing.   And the

8           working group and SC&A has a issue with oral

9           nasal breathing.   NIOSH will revisit and

10          evaluate -- and evaluate comments and prepare

11          written comments for the next working group

12          meeting.   Mark, is this going to take effect?

13          Is somebody going to give a report at the next

14          meeting on this?

15          **MR. ROLFES:**   I will have to speak with Larry

16          about this, and I guess Brant Ulsh, to see what

17          we can have by the next working group.

18          **MS. MUNN:**   This is another of those complex-

19          wide issues that keeps coming back to haunt us.

20          **MR. ROLFES:**   Yes, I think we can get you an

21          update maybe in September.   We are evaluating

22          the oro-nasal breathing issue and that will be

23          -- be able to get some updates for you.

24          **MR. PRESLEY:**   Is that going to be in a -- in a

25          working group meeting or do you want to discuss

1 anything like that with the full Board?

2 **MR. ROLFES:** Larry, is this something that we  
3 could discuss -- or Lew?

4 **MR. ELLIOTT:** I think we would be making a  
5 presentation to the full Board on how to handle  
6 oro-nasal breathing as a general issue cutting  
7 across many sites.

8 **MR. PRESLEY:** That's what I'd like to see done.

9 **MR. ELLIOTT:** And then, you know, we'll take up  
10 whatever changes need to be made or reflected  
11 upon that or referenced to that particular  
12 technical basis or technical information  
13 bulletin, whatever it may be in certain site  
14 profiles where it's become an issue.

15 **MS. MUNN:** It would be very helpful to put this  
16 to bed, Larry. Thank you.

17 **MR. PRESLEY:** Is -- Larry, can that be done at  
18 -- at Nevada or --

19 **MR. ELLIOTT:** Well, I don't -- I don't imagine  
20 it's going to be something we're ready to  
21 present in Las Vegas this next Board meeting.  
22 Jim Neton, as I mentioned earlier, is going to  
23 be out for a few weeks and I know he was -- he  
24 had been working on the framework for this. I  
25 don't know exactly where it's at but it's

1                   certainly not ready I don't believe for prime  
2                   time yet.

3                   **MR. PRESLEY:** Okay. Then what we can say is  
4                   that -- that this will be given to the full  
5                   Board sometime in the -- in the future; is that  
6                   correct?

7                   **MR. ELLIOTT:** If you would, please.

8                   **DR. WADE:** Correct.

9                   **MS. MUNN:** Hopefully we can do that at the  
10                  meeting following Nevada.

11                  **DR. WADE:** All right.

12                  **MS. MUNN:** I think it holds up several things.

13                  **DR. WADE:** Yeah, I'll put it on the agenda for  
14                  the meeting after Nevada.

15                  **MS. MUNN:** That would be helpful.

16                  **MR. PRESLEY:** Thank you, Lew.

17                  **MS. MUNN:** It's good to know Jim has something  
18                  going on it. That's -- Thank you.

19                  **COMMENT 5: RESUSPENSION MODEL**

20                  **MR. PRESLEY:** Comment 5 has to do with the  
21                  resuspension model, and the response was way  
22                  too long on this to -- to list. The working  
23                  group had a issue with this that SC&A used Dr.  
24                  Anspaugh to help with this and I think this is  
25                  being acted on and worked on as we speak; is

1           this not correct?

2           **MR. ROLLINS:** This is Gene Rollins. I can give  
3           you an update as to where we are on this. I  
4           have developed a mass loading model and have  
5           proposed a revision to section 4.2.2. That  
6           proposed revision is under review right now but  
7           I believe it will -- and in addition to new air  
8           concentrations and intakes predicted by the  
9           mass loading model I also have provided some  
10          guidance to dose reconstruction about  
11          considerations for minimizing and maximizing  
12          for -- for compensable and non-compensable  
13          cases. I think once we get -- once we finish  
14          internal review on this I think we -- we can  
15          probably provide that to you for your review.  
16          So that's -- that's where we are on this right  
17          now.

18          **MS. MUNN:** This is Wanda. Gene, do you have  
19          any -- any reasonable feel for how long your  
20          internal review is likely to take?

21          **MR. ROLLINS:** I don't -- I don't think it's  
22          going to take very long because this is -- this  
23          is pretty straightforward -- pretty  
24          straightforward calculations.

25          **MS. MUNN:** Okay. Excellent. Thank you.

1           **DR. ANSPAUGH:** This is Lynn Anspaugh and I -- I  
2           also wanted to say that I'm preparing a report  
3           for review by the SC&A folks, and my report  
4           should be done sometime next week.

5           **MS. MUNN:** Good.

6           **DR. ANSPAUGH:** Okay.

7           **MS. MUNN:** Thank you.

8           **MR. PRESLEY:** The working group going to be  
9           able to get a copy of that?

10          **DR. ANSPAUGH:** Certainly.

11          **MR. PRESLEY:** Okay. Anybody else have any  
12          comments or anything to --

13          **DR. ROESSLER:** Does that --

14          **MR. PRESLEY:** Go ahead, Gen.

15          **DR. ROESSLER:** Does that report -- this is Gen.  
16          I'm sorry, Bob. That report will come from  
17          Lynn before the September meeting?

18          **DR. ANSPAUGH:** Well, I'm going to send it to  
19          Arjun and John and if they agree with it I  
20          suppose it could be, but it's up to them.

21          **MS. MUNN:** Okay.

22          **MR. PRESLEY:** Okay. If not then maybe they can  
23          supply us with a copy when we get to Nevada.

24          **DR. MAKHIJANI:** Mr. Presley, we were being  
25          extra cautious in this case to subject this to

1 appropriate review before giving it to you as  
2 our report because of the conflict.

3 **MR. PRESLEY:** No problem.

4 **DR. MAURO:** Yeah, we -- This is John Mauro.  
5 We have only recently went through a vetting  
6 process for the conflict issues. But I'm very  
7 happy to hear from Lynn right now that he has  
8 made some progress on that. Let me ask a  
9 question though of the working group. It  
10 sounds like we've got two work products in the  
11 middle right now dealing with this issue of  
12 resuspension. One is the work that -- the new  
13 work that Gene is working on in terms of using  
14 what I believe to be a mass loading approach.  
15 But in parallel, Lynn Anspaugh is looking at  
16 the problem as characterized in the site  
17 profile as it currently exists, which is based  
18 on a resuspension approach. Bear with me for a  
19 minute. Are we in a -- in a position where the  
20 process would be best served is once we get  
21 these two work products in the hands of the  
22 working group, we may very well be at a point  
23 where a special conference call could be held  
24 if it's, you know, where well in advance --  
25 let's say a week before the -- the meeting in

1 Las Vegas because I have a funny feeling that  
2 what's going to happen here is we're going to  
3 be critiquing a work that's currently in the  
4 site profile based on -- based on conventional  
5 resuspension factors. Meanwhile Gene will be  
6 coming out with a -- a new model which  
7 basically say, listen, we're not doing that any  
8 more. And it'd be great if we could sort of  
9 get together, maybe for an hour or so and say I  
10 think we've got this problem licked or -- or  
11 where does the problem actually -- whether or  
12 not there's still some residual problems we  
13 have to deal with.

14 **MR. ROLLINS:** John, I think your point is --  
15 This is Gene Rollins. I think your point is  
16 well taken because it sounds like Dr. Anspaugh  
17 is going to be critiquing a -- a method that we  
18 have abandoned.

19 **DR. MAURO:** That -- That -- Thank you.  
20 That's exactly what I was saying.

21 **DR. MAKHIJANI:** Well, I have a slightly  
22 different suggestion because I -- I -- I really  
23 am concerned that we should have some internal  
24 review because when you -- we've set up a  
25 process to deal with the conflict of interest

1 question and -- and I think as a person who did  
2 the TBD review I'd like a chance for -- for  
3 John and me to -- to -- to -- to look over Dr.  
4 Anspaugh's material before -- before because it  
5 should be presented to the public as -- as --  
6 and so I guess if I'm supposed to be the  
7 document owner of this thing so I'm especially  
8 concerned that -- that I should be. And so --  
9 And I think that if we get the report from Dr.  
10 Anspaugh sometime next week we have to digest  
11 this material and then compare it to what Gene  
12 Rollins is doing. It might be better as a  
13 process since NIOSH has abandoned their  
14 resuspension approach for -- and Dr. Anspaugh's  
15 earlier paper anyway recommended that  
16 resuspension not be used many years down the  
17 line for calculating doses many years after  
18 initial deposition, that maybe Dr. Anspaugh  
19 should review what -- what NIOSH is currently  
20 doing. And if it's close to ready maybe --  
21 maybe we ought to suspend that part of Dr.  
22 Anspaugh's review while the rest of his review  
23 goes on until we see something from NIOSH. I  
24 don't know; that seems like a -- like a better  
25 process rather than reviewing something that's

1 no longer being used.

2 **MR. PRESLEY:** This is Bob Presley. Arjun, I  
3 agree.

4 **DR. MAURO:** Lynn, how far away -- did you say  
5 you were about a week away or less to delivery?  
6 See, it sounds like you're in the home stretch  
7 to getting something to Arjun and I, might as  
8 well let that finish. But if you feel as if  
9 you've got a lot more to do maybe we should sit  
10 tight and wait for Gene's work to come through  
11 the pipeline.

12 **DR. ANSPAUGH:** Well, I'm kind of in the home  
13 stretch and I'm -- I might say I'm also quite  
14 concerned about how the source term is treated  
15 in terms of what radionuclides at what time.  
16 So it's not just mass loading versus  
17 resuspension factors.

18 **DR. MAKHIJANI:** Right.

19 **DR. ANSPAUGH:** So I think it might be better to  
20 just proceed because I think there are some  
21 significant issues other than just mass loading  
22 versus resuspension factor.

23 **DR. MAKHIJANI:** Oh, yeah, I agree with you, Dr.  
24 Anspaugh, that there are -- there are lots of  
25 other issues and I also agree with your

1           characterization of them. I was just -- my  
2           comment was more oriented to -- but I'm also --  
3           I've got some other commitments next week and  
4           it's going to be very, very difficult for me to  
5           give this the kind of time it needs. But I  
6           wasn't aware the -- this is a new development  
7           for me in terms of my own agenda and so it --  
8           it's a little bit complicated unless it can be  
9           done sometime this week.

10          **DR. MAURO:** This is John Mauro. It sounds like  
11          we have to let this play out because we're  
12          dealing with not only resuspension factor  
13          versus mass loading but also issues related to  
14          I guess the picocuries per gram vertical  
15          profile in any given location --

16          **DR. MAKHIJANI:** Right.

17          **DR. MAURO:** -- at Nevada Test Site, upon which  
18          the dust loading or the resuspension factor  
19          would operate. Yeah, I guess we let -- we just  
20          -- we let -- let nature take its course and let  
21          -- let Lynn finish up and deliver his report.  
22          Arjun and I will do our best to quickly review  
23          it and get it into the hands -- finalize it,  
24          get it into the hands of the working group.  
25          Gene, I guess you -- you -- you do the same and

1           then we'll just take it from there.

2           **MR. CLAWSON:** But -- This is Clawson. You  
3 know, something that's been happening that I  
4 may not be as astute at this as my colleagues  
5 but I sure get an awful lot of stuff at the  
6 very last minute that we're expected to work  
7 on. I would really like to be able to have  
8 some time to be able to review this and give it  
9 the inspection that it needs, too.

10          **MS. MUNN:** It's the problem we have universally  
11 perhaps. There's just nothing we -- we have  
12 too much material to deal with for each of our  
13 -- our meetings and we've -- as working groups  
14 we have to get through them before the full  
15 Board meets, and as contributors to the  
16 process, both our contractor and our NIOSH and  
17 ORAU people, have an enormous amount of work to  
18 do before they can produce material for us to  
19 look at. So we're constantly behind the curve.  
20 And you are not alone in your desire to have  
21 the material earlier but I think everyone who's  
22 involved in this feels the pressure of time and  
23 -- and none of us has quite the time we'd like.

24          **DR. ROESSLER:** So Wanda, I think addressing  
25 both comments, one question I have on this

1           issue, and I'm looking at the notes that we  
2           have on it and I think this is probably my  
3           wording. I was wondering at the time of our  
4           working group meeting how significant this  
5           particular evaluation was when -- when it comes  
6           to the compensation issue. Is this high on the  
7           priority list or is it down a ways? Is it  
8           something that we really need to push to -- to  
9           get a resolution?

10          **MS. MUNN:** And I have the same concern that you  
11          have, Gen. My problem is that without a work  
12          product like the things that Dr. Anspaugh and  
13          Dr. Rollins are producing, without the two to  
14          compare I'm at a loss to try to evaluate  
15          whether it really and truly is a large enough  
16          factor to be taking this kind of resource  
17          space.

18          **MR. ROLLINS:** This is Gene Rollins again. The  
19          -- The first time we responded to this concern  
20          I provided to you some tables that gave some  
21          examples of dose to various organs under  
22          certain assumptions. And the mass loading  
23          model that I'm currently working on is -- is  
24          probably going to end up on the higher end of  
25          the doses that were given to you in those

1 tables. So if you have the responses that were  
2 -- that were sent out on a 7/16/06 document  
3 then you can go in there and see doses that  
4 would result to various organs for 30 years of  
5 exposure. And these are 50-year CEDE doses.

6 **DR. ROESSLER:** I don't have that with me. I  
7 remember we had it at the work group but what I  
8 recall is that those doses were very, very low.

9 **MR. ROLLINS:** They are with the exception of  
10 certain respiratory organs.

11 **MS. MUNN:** And how would you rank those?

12 **MR. ROLLINS:** Well, the highest would be the  
13 thoracic lymph nodes. And -- And this would  
14 be on the upper end of -- of all the types of  
15 intakes that we discussed which would be  
16 comparable to what my mass loading model is --  
17 is producing now. We're talking -- to the  
18 thoracic lymph nodes we're talking six rem. To  
19 the lung we're talking one rem. Now, one rem  
20 to the lung may sound like a lot but over 30  
21 years, especially if an individual was a  
22 smoker, that's not going to do much to the POC.

23 **MS. MUNN:** No..

24 **MR. ROLLINS:** I really don't see that these  
25 would make much of a difference in very many

1 cases. However, in the instructions that I  
2 have recommended to be put into the TBD there  
3 are instructions about what to do to minimize  
4 and maximize, and when it appears that these  
5 doses may make a difference in the probability  
6 of causation between compensable and non-  
7 compensable. That's when they -- That's when  
8 the dose reconstructor has to -- has to sharpen  
9 his pencil. And I provide instructions in  
10 there about how to do that. So it's really a  
11 package that's based on -- it's not going to be  
12 just a hardwire thing, you -- you either use it  
13 or you don't. But I -- I do have what I think  
14 is a simplistic way to over estimate that I  
15 believe most of us could agree would be an  
16 overestimate. Any underestimate really is not  
17 -- is not really an issue. But until we can  
18 get it reviewed and in your hands I don't know  
19 if we can discuss it. But the point that I'm  
20 making is I don't see it as a huge issue from a  
21 probability of causation viewpoint. Something  
22 else that I would like to point out, and I ran  
23 these calculations, that the upper end of the  
24 intakes that the current mass loading model is  
25 assuming -- let me find that piece of paper

1 now.

2 **MS. MUNN:** While you're looking --

3 **MR. ROLLINS:** If you -- If you -- If you  
4 assume that the material was a Super Type S  
5 material, and these intakes are going to be  
6 just for your information a little over 200  
7 becquerels per year for plutonium 239/240.  
8 Using the chest count NDAs that were in effect  
9 at NTS, if they had constant exposure at that  
10 level then chest count would detect it in 1.3  
11 years. And if you were just analyzing urine  
12 and it was Type S material, then these large  
13 magnitude intakes would be detected after two  
14 years of exposure. So I -- I really do  
15 believe that these may represent an upper  
16 bound.

17 **DR. MAURO:** Gene, this is John Mauro. Are we  
18 talking about post-'62 or does -- do these  
19 statements also apply to --

20 **MR. ROLLINS:** This wouldn't -- This wouldn't  
21 matter. I'm just talking about plutonium right  
22 now and that could be anytime.

23 **DR. MAURO:** Okay. Now, the reason I -- I -- I  
24 hear what you're saying related to the doses  
25 that you're coming up with. You see, I sort of

1           have an eye on toward the less than 250 --  
2           whatever models and approaches strategy that's  
3           developed here, the scenarios and the  
4           assumptions. That's going to be our first step  
5           toward dealing with internal exposures for less  
6           than 250 days.

7           **MR. ROLLINS:** Well, internal exposures we're  
8           not going to do for the SEC group.

9           **DR. MAURO:** But they -- But there is an issue  
10          there as it relates to the less than 250 days  
11          unless I'm -- unless I'm incorrect. In other  
12          words, the day will come when we're going to be  
13          looking at resuspension as an issue for people  
14          who worked at the Nevada Test Site pre-'60 or  
15          pre-'63 for a few weeks, you know, less than  
16          the 250 workday time period. And these models  
17          in the approach that you're taking would have  
18          applicability there. And I guess my -- what I  
19          have in my head right now for better or worse  
20          is that there might be relatively short periods  
21          of time post- above ground test where the  
22          exposures from resuspended material could be  
23          relatively high for a short period of time, all  
24          of which would be missed by either, you know,  
25          subsequent urinalysis or chest count. Is that

1 something that's on the table right now? In  
2 other words, am I bringing something up that  
3 really is overreaching? Should we only be  
4 worried about the post-'62 chronic type of a  
5 situation where the short-lived radionuclides  
6 have in fact decayed away and we're on into a  
7 stable situation? Or are we engaged in a  
8 discussion that is going to have implications  
9 related to the above ground testing less than  
10 250-day scenarios?

11 **MR. ROLFES:** John, this is Mark Rolfes. I  
12 believe the less than 250-day issue is being  
13 addressed separately and what we are speaking  
14 about right now only concerns 1963 forward.

15 **DR. MAURO:** Okay. That's very helpful. It  
16 does help parse out the problem so that we can  
17 deal with it in, you know, appropriately  
18 because I think that the -- the less than 250-  
19 day pre-'63 resuspension exposure is going to  
20 be very important, and the models that are  
21 used, the approach that's taken to look at that  
22 problem may very well be very different than  
23 the way you're coming at the problem let's say  
24 for the -- for the more chronic situations  
25 post-'62.

1           **DR. ANSPAUGH:** This is Lynn Anspaugh and I'd  
2           like to remind you that some of these  
3           situations may not have been chronic. For  
4           example, in area 19 the source term was  
5           actually laid down in 1968. And so if you were  
6           there in 1968 the situation would have been  
7           very different than what you're assuming for  
8           the chronic. And likewise if you were in area  
9           11 in 1956 you could have gotten a very big  
10          snootful (phonetically) of plutonium.

11          **DR. MAURO:** Yeah.

12          **DR. ANSPAUGH:** And I'm -- I would venture to  
13          guess that it might not have been detected.

14          **MS. MUNN:** And that's a potential for  
15          significant acute dose, wouldn't it?

16          **MR. ROLLINS:** Haven't we already agreed that we  
17          can't do internal dose prior to '63?

18          **MS. MUNN:** I thought we had pretty much  
19          discussed that and come to the conclusion that  
20          that was -- right. I thought there was even a  
21          comment in our -- in our matrix somewhere to  
22          that effect.

23          **MR. ROLLINS:** It's my understanding --

24          **DR. MAURO:** This is John Mauro. That's  
25          correct. And like I said, I may be raising an

1 issue but I'm thinking in terms of, well, the  
2 people, the cohort that's covered pre-'63 has  
3 to have worked at the site for more than --  
4 more than 250 work days currently as the  
5 current evaluation report stands. And --

6 **MS. MUNN:** As the law requires.

7 **DR. MAURO:** Right. But we have been asked, and  
8 you folks have seen our proposal of work, to  
9 look at the -- all these folks that worked at  
10 the site for less than 250 days which are  
11 automatically excluded from the cohort unless  
12 somehow a demonstration can be made that the  
13 exposures to those people pre-'63 for less than  
14 250 days could very well have been substantial.  
15 And I -- I realize I'm blending -- blending  
16 into this conversation the 250 days only  
17 because I realize that it's going -- it's going  
18 to be very important when we move into that  
19 phase of work. And the models that are being  
20 developed right now, I just want to make sure  
21 it's clear, models that are being looked at and  
22 being developed now are in fact being developed  
23 not -- not specifically to deal with the pre-  
24 '63, although Lynn points out it may also -- we  
25 may have some surprises post-'63. I think the

1 degree to which we all understand what the --  
2 this particular issue is and once Gene finishes  
3 the work what its constraints are; that is, it  
4 will be used for a particular purpose. And it  
5 may not be designed or intended to be used and  
6 that's fine, for these pre-'63 short term  
7 exposure scenarios.

8 **DR. ROESSLER:** Thank you, John. I think that's  
9 what we're looking for is when they finish this  
10 work, Lynn and Gene, that we have some  
11 explanation and evaluation of the significance  
12 of it.

13 **MR. ELLIOTT:** This is Larry Elliott. Let me  
14 try to clarify something here. The -- The  
15 class designation that the Secretary has made  
16 for pre-1963 Nevada Test Site workers is based  
17 on the evaluation reports claim that we find it  
18 not feasible to do internal dose reconstruction  
19 for that time period. So what -- what Gene is  
20 working on now as I understand it will deal  
21 with post-1963 intakes.

22 **MR. ROLFES:** That's correct, Larry. This is  
23 Mark.

24 **MR. ELLIOTT:** It was not -- It will not be  
25 developed to say -- let me say what it won't do

1 at this point in time because we're not --  
2 we're not expending resources at this point in  
3 time on a site specific basis to attend to  
4 health endangerment for less than 250 days.  
5 **DR. MAKHIJANI:** Okay. John, you know, and --  
6 and Mark -- Mark, I think it really would be  
7 helpful if there were a general note with this  
8 matrix that says, does not cover any issues  
9 relating to internal dose up to December 31,  
10 1962 because of the SEC petition; that none of  
11 the new methods will apply to that. And  
12 really, you know, John, you -- you and Dr.  
13 Anspaugh and I and -- and Jim Melius and the  
14 working group will have to define the  
15 parameters for what we're going to consider in  
16 the development of this less than 250-day issue  
17 because, you know, Dr. Anspaugh said this  
18 before, that -- that the -- the chronic doses  
19 are not the issue. Perhaps in that case it  
20 might be the impulse doses, you know, very  
21 short term doses like in 1956. And -- And  
22 exactly how we're going to consider that should  
23 -- should really be developed on -- on its own  
24 merits and -- and we need -- we need a chance  
25 to look -- look at the -- and Dr. Anspaugh,

1           you're going to do a separate paper for us on  
2           that question, right? That was my  
3           understanding that we were going to approach  
4           this in two discrete steps. Or are you rolling  
5           -- if you're rolling the things into one that's  
6           all right, too. I mean we can -- we can look  
7           at it.

8           **DR. ANSPAUGH:** I intended to roll a lot of  
9           stuff into one report.

10          **DR. MAKHIJANI:** Oh, okay, fine. All right.  
11          Then -- Then -- Then I expect we'll -- we'll  
12          see this from you next week and -- and -- and --  
13          -- and when we're done we can -- we can share  
14          that both with Mr. Presley as well as with Dr.  
15          Melius so that both working groups can look at  
16          it.

17          **MR. PRESLEY:** I think that would be a good  
18          idea.

19          **DR. MAKHIJANI:** Is that okay, John?

20          **DR. MAURO:** That's perfect. I just needed that  
21          clarification so we know where we are. Thank  
22          you. And I -- I'm okay now.

23          **DR. WADE:** This is Lew. With regard to the  
24          250-day issue that's still open, that's a  
25          question of whether health was endangered for

1 workers who worked less than an aggregate of  
2 250 days. That's a judgment that the Board  
3 will have to make and a recommendation they'll  
4 make to the Secretary. It's not that NIOSH is  
5 proposing to do partial dose reconstructions to  
6 people exposed to less than 250 days so they're  
7 very different questions and they really need  
8 to be dealt separately. And I -- I realize  
9 that there's sort of an overlap of the  
10 questions, but you have to keep that clearly in  
11 your mind.

12 **MR. PRESLEY:** Lew, this is Bob Presley. Let me  
13 ask a question. When -- When we do this 250  
14 days or less than 250 days, are we going to  
15 make that site specific?

16 **DR. WADE:** Really you have to follow the  
17 evidence and the materials presented. I don't  
18 think there's anything limiting you from being  
19 as fine in your definition as the data  
20 supports.

21 **MR. PRESLEY:** Okay.

22 **MS. MUNN:** My memory of discussions that have  
23 taken place is that this has focused primarily  
24 on the site that we're looking at now because  
25 of the different method of -- of employment and

1 the fact that people actually lived on-site.

2 **DR. WADE:** I took Robert's question as site  
3 specific to be sites within the Nevada Test  
4 Site.

5 **MR. PRESLEY:** No. No, what I mean on that is  
6 we have the Nevada Test Site and we have the  
7 Bikini-Atoll where people lived on site. And  
8 then we also have the area up at Amchitka where  
9 people lived on site that would be less than  
10 250 days.

11 **DR. WADE:** I think these issues as currently  
12 identified are -- are being looked at for the  
13 Nevada Test Site period for Pacific Proving  
14 Grounds period. And then there is an issue for  
15 the Ames site that's being looked at. So I  
16 mean I think a judgment needs to be rendered on  
17 each of those specifically. Whether or not the  
18 Board chooses to draw broader conclusions from  
19 its efforts and extend them beyond is for the  
20 Board to consider. I thought your question was  
21 inwardly focused, Robert, to say, might this  
22 250-day judgment be made on sub-areas of the  
23 Nevada Test Site. All of that is open to the -  
24 - to the Board and the working group's  
25 prerogative at this point.

1           **MR. PRESLEY:** We can -- We can do the sub-  
2 sites too because that's no problem. But I had  
3 a -- I was wondering because we had discussed  
4 the other sites as well.

5           **DR. WADE:** I think the Board will render a  
6 judgment on the Nevada Test Site. It will  
7 render a separate judgment on Pacific Proving  
8 Grounds and a separate judgment on Ames. Now,  
9 maybe those judgments will be the same but I  
10 think it's appropriate for the Board to take up  
11 each in turn.

12           **MR. PRESLEY:** Thank you. I agree with that.

13           **MS. MUNN:** That certainly is reasonable.

14           **MR. PRESLEY:** Yes.

15           **MS. MUNN:** It would be a mistake I think to  
16 make an -- an overarching statement with  
17 respect to the 250-day issue that covers all  
18 sites. They're so -- so unique in their  
19 character.

20           **DR. WADE:** Yes.

21           **MR. PRESLEY:** Do we have anything else on Issue  
22 5 then?

23           **DR. MAKHIJANI:** Just as a -- John, I think that  
24 this is correct. Correct me if I'm wrong --  
25 This is Arjun -- that the proposal as it is

1           currently written and I believe approved or in  
2           the process of approval, and Dr. Melius has  
3           seen this I think, is that we would do these  
4           three sites -- do a technical study of these  
5           three sites, Nevada, BPG and Ames. And if  
6           there are any lessons that might be more  
7           broadly useful that we would try to draw them  
8           technically without arriving at any -- it's not  
9           -- I understand that we're not making any  
10          policy judgments or anything and are not  
11          authorized to go there. But if there are any  
12          technical pointers that we -- we might draw  
13          some technical conclusions as to what areas of  
14          inquiry or how -- what the procedure might be  
15          to address this issue at other sites. I do  
16          believe that that much generalization  
17          potentially is part of the current scope of  
18          work as I understand it.

19          **DR. MAURO:** Yes, Arjun, you're correct. That  
20          language is in fact in our proposal of work and  
21          certainly the full Board has our proposal  
22          before them; if there's any aspect of that  
23          proposal of work that's overreaching -- we've  
24          been there before. We will, you know, make the  
25          appropriate changes. But right now certainly

1 at a minimum we are going to look very closely  
2 at what the potential short term doses, high  
3 end doses might be at the three loca--  
4 facilities and characterize them. Say this --  
5 whether they're external, whether they're  
6 internal, and the magnitude of the -- the  
7 annual doses and the committed doses. And --  
8 And then that story will be told. What it  
9 means in terms of whether or not that  
10 constitutes something that one would consider  
11 comparable to a criticality exposure, that's --  
12 that's going to be a subject that I think the  
13 working group and the Board will, you know, be  
14 engaged in. The degree to which, you know, we  
15 take it a step further and say, okay, here's  
16 the results of our investigations which will be  
17 just quantitative or semi-quantitative in terms  
18 of doses and durations of exposure, you know,  
19 time periods over which they occur. Then  
20 taking it that next step is really -- if you'd  
21 like us to try to reach some generalizations of  
22 what we found that might be helpful, great. We  
23 can try to do that. Or if you feel as if it  
24 would be overreaching we certainly will  
25 withdraw that.

1           **DR. WADE:** This is Lew. I don't want to pre-  
2           judge that, John. I -- I think it's just  
3           important that we look at what needs to be done  
4           at -- at a minimum and that is the Board will  
5           need to render judgments on those three sites  
6           individually. Beyond that I leave it to the  
7           Board's wisdom in terms of how it might want to  
8           provide guidance. But it's critical that the  
9           Board be in a position to render judgment on  
10          each of those three sites individually.

11          **MR. PRESLEY:** Thank you, Lew. Any more  
12          comments?

13          **MR. CLAWSON:** Bob, this is Brad Clawson. Is  
14          there any time frame that we have got set for  
15          the group to be able to look into this 250  
16          days? The only reason I throw that out is it  
17          sure seems like this is coming up an awful lot.  
18          It seems like a stumbling block every time we  
19          kind of address it. And I was just wondering  
20          if there's any kind of in the foreseen future  
21          the opportunity for this group to be able to  
22          get together?

23          **DR. WADE:** I think there is pressure for the  
24          group to get together. I mean you have to  
25          understand that this was waiting the clearance

1 of the issues with regard to SC&A's conflicts  
2 so we started a bit behind. But I know that  
3 Dr. Melius, the chair of the working group,  
4 feels the pressure and is looking at scheduling  
5 an interaction as soon as possible.

6 **MR. CLAWSON:** Okay.

7 **MR. PRESLEY:** Are we looking for something  
8 prior to our meeting in Nevada or are we  
9 looking for something after the Nevada meeting,  
10 Lew?

11 **DR. WADE:** I don't want to pre-judge. I mean  
12 my hope is before but I don't want to pre-judge  
13 what reality would actually be.

14 **MR. PRESLEY:** I understand. We have a lot to  
15 do before -- before Nevada as it is.

16 **DR. WADE:** I understand.

17 **MR. PRESLEY:** Okay. Any more comments on  
18 Response 5, Comment 5?

19 (No response)

20 **COMMENT 6: AIR CONCENTRATION VALUES**

21 **MR. PRESLEY:** Let's move on to Number 6; the  
22 issue had air concentration values and this is  
23 one that SC&A agreed with NIOSH's belief that  
24 dose reconstruction involved ambient internal  
25 dose at the test site and there was no further

1           action required on this subject. Anybody have  
2           any more comments?

3           **DR. MAKHIJANI:** Isn't this part of the  
4           resuspension review? I'm a little confused.

5           **MR. PRESLEY:** I don't think so. Not on this  
6           one.

7           **MR. ROLLINS:** This is Gene Rollins. I think  
8           we'll find that it is part of the resuspension  
9           model -- I mean of the mass loading model. And  
10          it'll probably hinge to some degree on some of  
11          the -- some of the work that Dr. Anspaugh is  
12          doing.

13          **DR. MAKHIJANI:** Okay.

14          **MR. ROLLINS:** I think we'll find that's true or  
15          at least that's what my notes indicate for --  
16          for our response to Comment 7 also.

17          **DR. MAKHIJANI:** Yes, because it says here  
18          resolution will be included in work performed  
19          for Item 5. In Item 6 in the middle column you  
20          wrote resolution will be included in work  
21          performed for --

22          **MR. ROLLINS:** I think if I -- if I could come  
23          up with a model that we can agree on is  
24          bounding, then I think that takes -- that will  
25          take care of Comments 6 and 7.

1           **DR. MAKHIJANI:** I agree. I had a question  
2 about the column 2 in the middle where you say  
3 table 4.2.2-3 represents a reasonable  
4 underestimate. Actually what is a reasonable  
5 underestimate? I thought we did reasonable or  
6 best estimates and maximum estimates and  
7 minimum estimates. I have not come across  
8 reasonable underestimates before.

9           **MR. ROLLINS:** That may have been just me trying  
10 to find the right word to describe it but the  
11 minimum intakes represent trivial doses to all  
12 organs.

13           **DR. MAKHIJANI:** Okay. Yeah, because this is  
14 not a category that belongs in the regulation.  
15 But for compensable cases you're supposed to  
16 make a best estimate giving the claimant the  
17 benefit of the doubt. But an underestimate  
18 doesn't do that.

19           **MR. ROLLINS:** The -- The revised guidance that  
20 I have proposed basically says for compensable  
21 cases we need not consider these intakes.

22           **MS. MUNN:** And Arjun, one of the --

23           **DR. MAKHIJANI:** Oh, okay.

24           **MS. MUNN:** One of the concerns some have is  
25 with respect to unreasonable overestimates and

1           underestimates regardless of which is being  
2           done. I guess the -- again we're probably hung  
3           up a little bit on our own wording and the way  
4           we use terms in one group as opposed to the way  
5           we use terms in another group. That -- That  
6           was one that made perfect sense to me. But I  
7           can understand why you have concern with the  
8           language.

9           **DR. MAKHIJANI:** Yeah, I -- I -- I agree with  
10          you regarding unreasonable either way. We  
11          should not be making unreasonable estimates in  
12          either direction because the idea should be  
13          scientifically sound. But I -- this -- I had  
14          not come across this term before and so I got  
15          puzzled by it. Maybe it's one of those  
16          editorial things. Maybe Larry can clarify  
17          where this belongs in the larger scheme of  
18          things.

19          **MS. MUNN:** We probably need to consult a  
20          (unintelligible).

21          **MR. ROLLINS:** This is Gene Rollins. Don't read  
22          too much into that word because that was just  
23          whatever happened to come off -- came off the  
24          end of my pencil when I wrote it.

25          **MS. MUNN:** Well, that would have been the same

1 word that came off the end of my pencil had I  
2 been writing it but the way we were using the  
3 term perhaps we -- perhaps Arjun has a point.  
4 It might be wise for us to adjust the term just  
5 a little bit.

6 **MR. PRESLEY:** Gene, this is Bob Presley. Can  
7 you -- Can you do that? Can you look for a  
8 better word there than unacceptable?

9 **MR. ROLLINS:** How about reasonable? Reasonable  
10 underestimate.

11 **MR. PRESLEY:** Yeah, reasonable underestimate.

12 **MR. ROLLINS:** What if we just take the word  
13 reasonable out, just say underestimate?

14 **MR. PRESLEY:** That's fine. Does anybody have a  
15 problem with that?

16 **MS. MUNN:** Well, the only --

17 **MR. ELLIOTT:** I'm lost. I'm trying to figure  
18 out where -- where this reference is. Can you  
19 help me out Arjun or somebody?

20 **DR. MAKHIJANI:** Page 11, Larry, in column 2 in  
21 the middle.

22 **MR. ELLIOTT:** Comment 6 or Comment 7?

23 **MS. MUNN:** Response 6, halfway down. Page 11,  
24 response 6, halfway down, column 2 under NIOSH  
25 Response. Table 4.2.2-3.

1           **MR. ELLIOTT:** Okay. Is this the second column?

2           **DR. MAKHIJANI:** Yes.

3           **MS. MUNN:** Uh-huh. Yes, under NIOSH Response.  
4           First column is SC&A Comment Summary. Then  
5           it's NIOSH Response.

6           **MR. ELLIOTT:** Represent a reasonable  
7           overestimate?

8           **MS. MUNN:** No, that's under it.

9           **DR. MAKHIJANI:** That's a little bit further  
10          down.

11          **MS. MUNN:** That's two lines above it.

12          **MR. ELLIOTT:** Represent a reasonable  
13          underestimate.

14          **MS. MUNN:** Reasonable underestimate. And I, as  
15          I said, I understand that but the way we've  
16          been using reasonable in a more --

17          **MR. ELLIOTT:** I think it's best if you just  
18          delete reasonable and then it would read  
19          correct I believe. Read, represent an  
20          underestimate.

21          **DR. MAKHIJANI:** That would correspond to the  
22          minimum dose, right?

23          **MR. ELLIOTT:** Right, right. If the claim is  
24          compensable just based on the dose at hand  
25          that's an under -- underestimate.

1           **MR. ROLLINS:** Then we'll just remove the word  
2 reasonable from the response there.

3           **MR. PRESLEY:** Thank you, Lynn (sic).

4           **MR. ELLIOTT:** I think the word reasonable is  
5 appropriate when used in conjunction with  
6 overestimate. We want to make sure that our  
7 overestimates are plausible and reasonable.

8           **MS. MUNN:** Hey, you just used a good word. You  
9 just used the word that would be -- well, it  
10 would certainly be acceptable to me and I think  
11 have the same connotation. A plausible  
12 underestimate.

13           **MR. PRESLEY:** Right.

14           **MS. MUNN:** May we put plausible instead of  
15 reasonable? We can use reasonable  
16 overestimates and plausible underestimates.

17           **DR. MAKHIJANI:** I -- I am really confused by  
18 this discussion because the regulations  
19 specified three different kinds of doses and  
20 here we've got only two. And that's part of my  
21 confusion is that the best estimate in which  
22 you give some benefit of the doubt in terms of  
23 parameters which makes an overestimate but it's  
24 not a maximum efficiency type of estimate. And  
25 then there's a minimum efficiency type of

1 estimate. And the thing that is confusing me  
2 is here we've got a whole new lexicon that is  
3 replacing our regulatory lexicon that we've  
4 been dealing with for all this time. And --  
5 And it's con-- that's -- and there are only two  
6 terms here where in -- in the actual regulation  
7 I presume, in the way the calculations are  
8 being done, there are three different types of  
9 calculations.

10 **MR. ELLIOTT:** Well, in the regulation we only  
11 talked about efficiency measures and best  
12 estimate doses.

13 **DR. MAKHIJANI:** Right. But there are two  
14 efficiency methods.

15 **MR. ELLIOTT:** The efficiency methods would  
16 cover an underestimate or an overestimate.

17 **DR. MAKHIJANI:** Yes. But here there is no --  
18 not -- no talk of a best estimate.

19 **MR. ELLIOTT:** That's true. Yes, you're right,  
20 Arjun.

21 **DR. MAKHIJANI:** So that's what's confusing me  
22 is they only talk about a method for efficiency  
23 as I understand it, and that's okay, if that's  
24 -- that's the intent. And that we're not doing  
25 anything for best estimate in this context.

1           That's -- That's my question I guess is that  
2           is this going to apply only to minimum and  
3           maximum cases or is it going to be -- include  
4           the best estimate type of case?

5           **MR. ELLIOTT:** No, a best estimate dose  
6           reconstruction would in and of its nature  
7           consider all types of radiation dose. And  
8           certainly I think this comment deals with the  
9           average air concentration values. And so in a  
10          best estimate sense we'd want to include that.  
11          So I think that -- I think this needs to be re-  
12          couched to reflect how this information would  
13          be used in any type of dose reconstruction  
14          whether it be an efficiency measure or a best  
15          estimate case.

16          **MR. ROLLINS:** This is Gene Rollins. That  
17          information and that guidance has been proposed  
18          in my revision that hopefully you'll be seeing  
19          soon.

20          **MR. ELLIOTT:** Okay.

21          **MR. ROLLINS:** That was not meant to be done in  
22          this matrix.

23          **DR. MAKHIJANI:** Okay.

24          **MS. MUNN:** And Arjun, I don't think there is  
25          any question in anyone's mind with respect to

1 the, as you stated, the lexicon of the -- of  
2 the statute and what we're doing here, of the  
3 guidance that we're following. But if we see  
4 this language as explanatory rather than  
5 specifically related to the guidance then from  
6 a purely explanatory point of view when a  
7 person like me reads it, I see plausible  
8 underestimate; that means something very clear  
9 to me. It doesn't have anything to do with the  
10 guidance that's being followed. That's just an  
11 explanation of whether or not this is in fact a  
12 reasonable number to use for an underestimate  
13 or an overestimate either for that matter.

14 **DR. MAKHIJANI:** Ms. Munn, I agree with you. I  
15 think I'm clear after what Larry said. I have  
16 no problem now.

17 **MR. ELLIOTT:** I think the sentence that I guess  
18 this is Gene's wording; or I don't know whose  
19 wording it is but, you know, this is -- and  
20 later on in that same passage it says for cases  
21 where compensability is affected by the maximum  
22 intake a dose reconstructor must make every  
23 effort to obtain work locations and apply  
24 intakes for those locations provided in Table  
25 4.2.2.2. To me that goes to the best estimate

1 issue.

2 **MS. MUNN:** Agreed.

3 **DR. MAKHIJANI:** All right. I guess I -- I -- I  
4 guess I just got confused. I'm -- Yeah.

5 **MR. ELLIOTT:** It's easy to get hung up on  
6 words, isn't it?

7 **MS. MUNN:** It sure is. Semantics just kills  
8 us.

9 **MR. PRESLEY:** Moving right along --

10 **MS. MUNN:** Please do.

11 **COMMENT 7: RESUSPENSION OF DOSE**

12 **MR. PRESLEY:** Comment 7 has to do again with  
13 resuspension of dose. And again I think that  
14 what we did here with 6 also applies to 7; is  
15 that correct?

16 **MS. MUNN:** And it's all in the draft response  
17 that's in internal review right now, correct?

18 **MR. PRESLEY:** That's, as I understand it, that  
19 is correct.

20 **DR. MAURO:** This is John. Yes, I see that I  
21 guess 5, 6, and 7 are all -- all the same  
22 cloth. And once we get through this process  
23 with Lynn and -- and Gene we'll probably be  
24 able to address all three issues.

25 **MR. PRESLEY:** Okay. That'd be great.

1           **COMMENT 8:   EXTERNAL DOSE FOR 1963 TO 1966**

2           **MR. PRESLEY:**   Comment 8 has to do with external  
3           dose for 1963 to 1966, that it is not claimant  
4           favorable.   And NIOSH agrees -- or SC&A agrees  
5           with NIOSH's response and we have no further  
6           action required.   Does anybody have a question  
7           with this?

8           **MS. MUNN:**   This is another one of those issues  
9           we discussed earlier that leaves us with the  
10          understanding that some change is going to take  
11          place but we don't have the feedback mechanism  
12          for the Board to be aware that -- when it's  
13          complete.

14          **MR. PRESLEY:**   That's correct.   Arjun, do you  
15          have any -- any other response on this?

16          **DR. MAKHIJANI:**   No, Mr. Presley, I don't.

17          **MR. PRESLEY:**   All right.

18           **COMMENT 9:   ENVIRONMENTAL EXTERNAL DOSE, 1968 TO 1976**

19          **MR. PRESLEY:**   How about let's go back -- go  
20          down to 9, lack of internal environmental dose  
21          for '68 through '76.

22          **DR. MAKHIJANI:**   External.

23          **MR. PRESLEY:**   External I mean.   I'm sorry.  
24          SC&A agreed with NIOSH's response and we had no  
25          further action required.   Anybody have

1 anything?

2 **MS. MUNN:** From the matrix it shows completed.

3 **MR. PRESLEY:** Right.

4 **DR. MAURO:** This is John Mauro. I just want to  
5 point out the reason this is a non-problem is  
6 universal badging beginning in '57.

7 **MS. MUNN:** Uh-huh.

8 **MR. PRESLEY:** That's correct.

9 **DR. MAURO:** Universal badging puts us in a  
10 position -- puts us all in a very good position  
11 to address external doses.

12 **MS. MUNN:** Yeah.

13 **DR. MAURO:** I guess this would be partial dose  
14 reconstructions for pre-'63 people and post-  
15 '63. So the key here is this universal badging  
16 after 1957. The degree to which -- so we're --  
17 we're in agree-- we're in agreement that that  
18 certainly will solve the problem with universal  
19 badging. The degree to which the Board or the  
20 working group would like us to look into that  
21 data set, that statement, you know, we're -- at  
22 this time we're not taking any action; we'll  
23 look for direction from the Board as to whether  
24 or not you'd like us to follow up, perhaps  
25 going on the O drive and looking at that data.

1           That -- The type of thing we're doing, for  
2           example, at Rocky in terms of following up on  
3           data sets for air sampling and urinalysis and  
4           that sort of thing.

5           **MS. MUNN:** This is true of Comment 9 and  
6           Comment 10; is that correct?

7           **MR. PRESLEY:** That's what I was going to say.  
8           Nine and 10 are almost the same thing.

9           **MS. MUNN:** John raises a good issue with  
10          respect to whether or not the Board is going to  
11          feel follow-up is required with respect to the  
12          data itself. This is a bit of a sticky wicket  
13          and it's both a time consuming issue and almost  
14          an ethical issue in terms of the reliability of  
15          the data. I have a tendency to feel that  
16          unless there are very clear evidence cited  
17          which leads us to believe that there's some  
18          sort of pervasive shortcoming in this data,  
19          that we can spend an enormous amount of time  
20          looking at it and find some shortcomings one  
21          place or another but seldom find any ongoing,  
22          continual site-wide problems with data  
23          reporting. I don't know how the rest of the  
24          working group feels about that. Certainly in  
25          some other working groups an enormous amount of

1 time has been spent on this question.

2 **DR. MAURO:** Wanda, I can help add a little bit.  
3 You'll see as we move on, as we move into  
4 Comment 10 and 11, the fact that we have  
5 universal badging post-'57, and then of course  
6 the implications being we can -- all -- all  
7 workers and all claimants who, you know --  
8 where you would want to do a partial dose  
9 reconstruction. But there's still issues  
10 related to, for example, correction factors  
11 associated with Number 11. There are issues  
12 related to Number -- Comment 10 which have to  
13 do with co-worker models where you're going to  
14 use the post-'50 to '57 data as a surrogate for  
15 pre-'57 external exposures. So I -- All I  
16 want to do is alert the working group that this  
17 universal monitoring of data, film badge data  
18 for all workers post-'57 -- '57 and onward is a  
19 rock that we're all going to stand on and --  
20 because from there everything will flow. And  
21 the working group and the Board has to be  
22 confident and comfortable with -- with that  
23 rock.

24 **MR. PRESLEY:** John, this is Bob Presley. I  
25 know we're on 9 but when you get into 10 the

1 working group asked NIOSH to develop a co-  
2 worker model for workers from '51 to '57.

3 **DR. MAURO:** Right.

4 **MR. PRESLEY:** And I'm just wondering if this  
5 could be used, if they -- if NIOSH does this  
6 then if we could go back and look at this and  
7 use it as a model to say that yeah, everything  
8 is going to be all right to use this data after  
9 1957.

10 **DR. MAKHIJANI:** Well, this is Arjun. I think -  
11 - I think this -- this -- the -- the --  
12 problem of data integrity regarding what's on  
13 the badges and what portion of the worker's  
14 dose was actually recorded on the badge because  
15 they were taking it off because of work rules  
16 and financial incentives, has come up as a --  
17 an important problem in all of our worker site  
18 expert interviews. It came up when Kathy  
19 DeMers and Tom Bell went to Nevada and  
20 interviewed Martha DeMar (phonetically) and her  
21 colleagues completely independent, as one set,  
22 and they came up also quite strongly when I  
23 interviewed Mr. Brady who unfortunately passed  
24 away in -- in July. And -- And, you know, I -  
25 - I think -- I think NIOSH's proposal to

1           examine statistically whether there is a  
2           problem or not appears reasonable. But I made  
3           this comment at the last working group meeting  
4           that I think -- I think this evidence has been  
5           -- we do need to determine how pervasive it was  
6           if -- if that can be done. But this evidence  
7           has been -- not been put forward as an  
8           anecdotal piece of evidence. It's been put  
9           forward by the responsible health physics  
10          authorities on the site, the site experts. And  
11          if we are going to disregard it I think the  
12          introduction of site expert evidence by NIOSH  
13          as for instance in Bethlehem Steel as regards  
14          the integrity of how the air sampling was done,  
15          would also be in question because it is exactly  
16          the same type of evidence. And because it was  
17          from the experts who were responsible for doing  
18          that thing at that time. And I -- I just  
19          don't see -- it's -- it's quite different than  
20          somebody down in the trenches doing one thing  
21          and not being responsible for health physics.  
22          Or for instance taking what Roger Falk said  
23          about Rocky Flats seriously because he was the  
24          responsible health physics official for  
25          internal dose at the time and had a big picture

1 view, worked in the labs, took the samples and  
2 so on. I mean I'm presuming he did all that.  
3 So I -- I think that -- I think that this --  
4 this piece of evidence for Nevada is different  
5 than other pieces of evidence because of how  
6 systematically it has come forth and from whom  
7 it has come forth in my opinion.

8 **MS. MUNN:** Arjun, you and I have had a brief  
9 exchange about this kind of thing before, and  
10 as I tried to point out then, not very well as  
11 I now find having read the transcript of that,  
12 I do believe that you're going to find these  
13 kinds of stories on almost every site that you  
14 visit. And it is I believe fair to say many  
15 such stories were told routinely as a part of  
16 the macho image that many of our workers liked  
17 to present. This I know from my own experience  
18 listening to the stories and listening to  
19 people talk about the way they went about doing  
20 their job. It was considered a manly man thing  
21 to do and there was no hesitance about bragging  
22 about not always using your badge in the way  
23 that it was intended; whether you had been  
24 instructed to do so or not was a secondary  
25 issue. The question arises how much effort

1 needs to be put into identifying how pervasive  
2 that was when I don't know that there is any  
3 way we can actually determine that. Nor is  
4 there any way that we can assess who did and  
5 who did not actually do such things. It's a  
6 little bit like locker room talk. You hear a  
7 lot of stories that's very hard to get to the  
8 real truth of. So the question becomes really  
9 how much effort needs -- do we need to  
10 officially devote to tracking these issues?  
11 Certainly they need to be tracked; no question  
12 about that. But there's an issue with respect  
13 to how much checking needs to be done and how  
14 it needs to be done if we are going to make the  
15 best possible use of our time and try to be as  
16 realistic as possible in addressing these very  
17 human issues which affect all of the sites.

18 **MR. PRESLEY:** Wanda's got a real -- This is  
19 Bob Presley. Wanda's got a real good point  
20 there, you know. I've -- I've heard this  
21 stuff and been around it for years. I honestly  
22 think that things like this are -- let's see.  
23 How do I say this? They may have happened but  
24 they didn't happen as much as a lot of people  
25 would lead us to think. And I don't know how

1           much effort that we really need to put into  
2           something like this.

3           **MS. MUNN:** I certainly agree with Arjun that we  
4           need to address it. This needs to be  
5           addressed. The issue is to what depth and how  
6           much.

7           **DR. MAKHIJANI:** Ms. Munn, I mean that's all --  
8           all I was trying to say. And of course, how  
9           much effort, this is entirely the Board's  
10          discretion, especially as it concerns somebody  
11          like me or -- or John or SC&A.

12          **MR. ELLIOTT:** Arjun, this is Larry Elliott. I  
13          heard you say that you had indication of this  
14          coming from the health physics experts there at  
15          the site. Can you name those for us or --

16          **DR. MAKHIJANI:** Oh, yes. I thought I did. It  
17          was Jay Brady.

18          **MR. ELLIOTT:** Okay, Jay Brady.

19          **DR. MAKHIJANI:** And also I believe it is -- if  
20          I -- now, this is from memory, Larry. I  
21          believe it is also documented in the interviews  
22          that -- that Kathy DeMers and Tom Bell did with  
23          Martha DeMar and her group. I was not present  
24          there and the last time I looked at it sometime  
25          back. I will check the conversation there.

1           **MR. ELLIOTT:** I think your -- with me your  
2 point is well taken. If there are people who  
3 were in the monitoring program that observed  
4 this or, you know, we'd like to know who those  
5 folks are so that we can --

6           **DR. MAKHIJANI:** Yeah.

7           **MR. ELLIOTT:** -- talk to them about it --

8           **DR. MAKHIJANI:** Right.

9           **MR. ELLIOTT:** -- to determine how pervasive it  
10 was, whether it was localized in a certain era,  
11 time frames or certain facilities or what --  
12 what -- what triggered, you know, this kind of  
13 a -- of an action to tell a worker to park  
14 their badge and not wear it for a day or two.  
15 I think we also need to -- I agree. We need to  
16 treat this -- we need to address this -- this  
17 as a general issue and -- and I'm not sure, you  
18 know, how best to go about doing that but I  
19 would offer this for the working group's  
20 consideration. I -- I think it goes to an  
21 understanding, trying to arrive and achieve an  
22 understanding of what impact this might have on  
23 an individual's dose reconstruction. And from  
24 that point I think -- I think you can quickly  
25 hone in on the most likely type of dose

1 reconstruction where this might have an impact;  
2 it would be a best estimate. And from there  
3 how many days would this have occurred and what  
4 kind of exposure was not really monitored by --  
5 by this type of behavior? So I think we have  
6 to speak to all of those aspects when -- when  
7 we address this.

8 **MS. MUNN:** Larry, there was a considerable  
9 conversation about -- I shouldn't say  
10 considerable -- some conversation about this in  
11 -- you might find in earlier transcripts where  
12 SC&A was talking to us about Brady's assertions  
13 during their interviews with him. And it's  
14 enlightening to hear those but not particularly  
15 surprising I think, although as Arjun points  
16 out, having an individual who was responsible  
17 for some of these activities to make some  
18 statements like that is a fairly weighty thing.

19 **MR. ELLIOTT:** Uh-huh.

20 **MS. MUNN:** It's unfortunate that he's no longer  
21 with us but that is one -- I -- I wouldn't be  
22 surprised that SC&A would have the transcript  
23 or at least their notes with their conversation  
24 with him. It might be helpful for you and your  
25 group to take a look at those notes if you --

1 Arjun, have they been provided for NIOSH  
2 already, Brady's notes?

3 **DR. MAKHIJANI:** Ms. Munn, the -- the -- as I  
4 said, this came up independently in two  
5 reviews. I'm looking at them now. Attachment  
6 4 of our site expert interviews consists of a  
7 summary where the site experts are not  
8 identified but as I said, I believe that Ms.  
9 DeMar was one of them. And I think that NIOSH  
10 has also extensively been in contact with her  
11 in their TBD review process. And the -- And  
12 the -- that's in our site profile review.

13 **MS. MUNN:** Okay. I knew I'd read it somewhere.  
14 I just didn't know where.

15 **DR. MAKHIJANI:** Yeah. Mr. Brady's interview is  
16 also on our site profile review. Now, in  
17 regard to the site expert interviews, we have  
18 more detail, we have the individual interviews  
19 that were conducted and that went through a  
20 declassification review -- well, all of it went  
21 through a declassification review but the  
22 individual interview records are much more  
23 extensive than the master summary and -- and --  
24 and I presume that we could provide that to the  
25 Board and to NIOSH. I believe that they --

1           they should be in a proofread condition to be  
2           provided.

3           **MS. MUNN:** Good.

4           **DR. MAKHIJANI:** And if -- if you would like I  
5           will -- I will call Kathy and review them and  
6           have them sent along.

7           **MS. MUNN:** Would that be helpful for you,  
8           Larry?

9           **MR. ELLIOTT:** Oh, yes. Yeah, it'd be most  
10          helpful, Arjun, if you could, you know, make  
11          sure Mark's aware of where he can access this  
12          to share with the site profile group.

13          **DR. MAKHIJANI:** Well, should -- should I, John  
14          -- somebody give me some guidance here.

15          **DR. MAURO:** Yes. The only -- Arjun, the only  
16          thing to keep in mind is the -- the notes  
17          themselves that you folks took, as I recall  
18          some individuals did not want -- I guess if we  
19          treat this as Privacy Act information; I'm not  
20          sure. The fact that some of the interviewees  
21          would have preferred us not to name them, not  
22          to reveal their names.

23          **DR. MAKHIJANI:** Well, that's true. How do we  
24          handle that?

25          **DR. MAURO:** Now, in your notes, though, the

1 names are there I presume.

2 **DR. MAKHIJANI:** Yes. We normally, unless we  
3 have explicit permission from the interviewee  
4 we normally don't publish the names. We  
5 sometimes do publish and I -- I personally when  
6 I make interviews I do try to get the  
7 permission from the interviewee to publish  
8 their name because -- because the whole process  
9 becomes easier for all of us that way. But --  
10 But I think that many interviews have been  
11 published without the names because of that. I  
12 do not know from Nevada Test Site who -- who  
13 were the people who might have requested this  
14 because I didn't go through the whole process.  
15 Kathy DeMers did that.

16 **MS. HOMOKI-TITUS:** Can I just remind you that  
17 as employees of Health and Human Services there  
18 shouldn't be any privacy concerns with sharing  
19 names with NIOSH or with Board members,  
20 although I realize you can't make them public.

21 **DR. MAKHIJANI:** Yeah, my -- my question goes to  
22 just our own interview process where, not as a  
23 matter of privacy but where we tell people that  
24 we will only publish their -- they might be  
25 afraid of I don't know, job issues or anything

1           like that. I'm not quite sure how that is to  
2           be handled and whether we should --

3           **MS. MUNN:** No, but by the same token it's very  
4           difficult for people in the position of  
5           overviewing what has transpired to take very  
6           seriously any significant quantity of anonymous  
7           data. You know, it's --

8           **DR. MAKHIJANI:** Yeah.

9           **MS. MUNN:** -- that's pretty hard to do.

10          **DR. MAKHIJANI:** Yeah, I mean we have the notes.  
11          I -- I -- And --

12          **MS. MUNN:** Oh, I understand.

13          **DR. MAKHIJANI:** I'm happy to have whatever  
14          guidance. And they were -- they were produced  
15          under -- under the -- under the request of the  
16          Board obviously. And so I just -- I just  
17          needed some guidance because we -- we've  
18          conducted them on one basis and perhaps we need  
19          to go back to them and tell them that we're  
20          doing this or -- or something.

21          **MS. MUNN:** It would be helpful I think to be --  
22          to be up front about individuals who maintain  
23          that improper procedures were followed and that  
24          they were a part of it. That would -- I think  
25          be part and parcel of accepting this statement

1 as being realistic.

2 **DR. MAKHIJANI:** Yeah, Mr. Brady did say that he  
3 was a part of it and --

4 **MS. MUNN:** Yes.

5 **DR. MAKHIJANI:** -- in his interview.

6 **MS. MUNN:** I remember that.

7 **DR. MAKHIJANI:** I do not believe that any of  
8 the others said they were a part of it. I  
9 think they just said that these things -- or  
10 some of them may not have gone back. I think  
11 we did identify the time frame. This -- This  
12 is not alleged to be a current problem or --

13 **MS. MUNN:** No.

14 **DR. MAKHIJANI:** -- or recent memory problem.  
15 It's a problem that's supposed to have gone on  
16 maybe to the mid-'60s or the early '70s. It's  
17 sort of -- the end date is unclear but -- but  
18 it seems by general agreement that -- or by the  
19 testimony of the people that -- that this  
20 stopped sometime three decades or more ago.

21 **MS. MUNN:** Yeah, in early times it was common  
22 locker talk, that's true.

23 **DR. ROESSLER:** This is -- This is Gen. It  
24 seems Wanda has brought up a very important  
25 issue and we're basing an awful lot on what one

1 person has said; we can no longer ask him any  
2 further questions. I'm just wondering if there  
3 -- I just think we need to go a little bit  
4 further on this and identify other people who  
5 are willing to have their names go on the  
6 record who would provide information in support  
7 of Mr. Brady.

8 **MR. CLAWSON:** This is Clawson. Didn't we have  
9 some of these things, when these people did  
10 this, as an affidavit? I guess me and Wanda's  
11 got into this a little bit before. I keep  
12 hearing the terminology that we have a expert.  
13 And basically I take a little offense because I  
14 can tell you right now I know more about my  
15 facility than my health physicist does because  
16 I just had to escort mine through the facility  
17 but he wrote my whole site profile for it. One  
18 of the things that we've got to be able to do,  
19 and what we've been chartered with to do is to  
20 be able to get the information and get it as  
21 most correct as possible, and in doing this we  
22 need to look at all avenues. And a lot of  
23 times -- what's the old expression, that if it  
24 -- if it looks like it nine times out of ten it  
25 is it. It may be locker room talk but usually

1           there's good reason for that locker room talk.

2           **DR. MAKHIJANI:** Also, Ms. Roessler, the -- the  
3           -- the -- this is not just one person and that  
4           was the reason for my statement. It was -- Mr.  
5           Brady was or did retire as a principal health  
6           physicist. He was there almost throughout the  
7           whole period of testing. And this also came  
8           from other health physics ex-site personnel who  
9           were interviewed, which is also documented in  
10          our review, so this came independently from two  
11          separate directions from the health physics  
12          people. And my specific -- I mean Mr.  
13          Clawson's concern is an important type of  
14          concern and -- and the one I was expressing was  
15          complimentary to that I think, is that if these  
16          views are not taken seriously I think it will  
17          have some implication for a lot of other  
18          conclusions and documentation that has been put  
19          forward on the same basis for demonstrating  
20          that dose reconstruction is feasible.

21          **DR. ROESSLER:** Well, I'm -- I'm suggesting that  
22          we do need to take it seriously, but I'm  
23          looking for other names or other support for  
24          Mr. Brady's statement.

25          **DR. MAKHIJANI:** Oh, yes. I -- I -- And I'm

1 not suggesting anything otherwise.

2 **DR. ANSPAUGH:** This is Lynn Anspaugh. I'd just  
3 like to comment that there are certain time  
4 periods and certain activities where this was  
5 much more likely to have occurred than others.  
6 And I think we can narrow down the time period,  
7 the activities and the people potentially  
8 engaged in this practice by doing a little more  
9 work.

10 **DR. ROESSLER:** That's what I would support. I  
11 think we need more work. Right now it seems  
12 like there's a big question hanging there.

13 **MS. MUNN:** But the bottom line, the issue that  
14 we as a working group need to address and  
15 address today is how much effort, how much  
16 detail are we asking anyone to put forth?  
17 That's the real question. Not what -- I think  
18 from our conversation it appears there's a  
19 general consensus that it needs more looking  
20 at. The question here is how much looking at?  
21 Because some of the other working groups have  
22 gone into such extensive looking at that we run  
23 into serious trouble in trying to accomplish  
24 some degree of closure.

25 **MR. ELLIOTT:** Wanda, this is Larry Elliott. If

1 I -- If I could make a comment here I'd -- I'd  
2 sure like to, and it goes -- my comment goes to  
3 the use of the term affidavits. And I don't  
4 want us to get -- I don't want people on the  
5 working group or supporting the working group  
6 to get confused about affidavits. I'm not sure  
7 if SC&A in doing their interviews -- maybe John  
8 or Arjun can speak to this -- if they -- if  
9 they treat that interview process in a similar  
10 manner as acquiring an affidavit type of  
11 testimony.

12 **DR. MAKHIJANI:** No.

13 **MR. ELLIOTT:** When we use affidavits -- when we  
14 take affidavits, either in a -- in a -- the  
15 computer assisted telephone interview of a co-  
16 worker for a survivor or a claimant, there is a  
17 -- there is a acknowledgement that the  
18 information is being provided as truth.  
19 Otherwise it's considered, you know -- it could  
20 bring repercussions as being, you know,  
21 fraudulent and --

22 **MS. MUNN:** Correct.

23 **MR. ELLIOTT:** -- and that. And so we take that  
24 very seriously when we do the computer assisted  
25 telephone interviews. Or if we -- if we talk

1 to workers and we -- and they want to give us  
2 this kind of information, then when we start  
3 talking about affidavits to attest to the  
4 veracity of the -- of the input we find that  
5 gets to be very -- very tenuous.

6 **MS. MUNN:** Yes.

7 **MR. ELLIOTT:** Some people walk away, won't --  
8 won't --

9 **MS. MUNN:** Won't do that.

10 **MR. ELLIOTT:** Won't attest to the veracity of  
11 the information they're giving, while others  
12 will. So I would just offer that. I -- I  
13 don't know. Has SC&A approached these with an  
14 affidavit-like interest or --

15 **DR. MAKHIJANI:** No.

16 **MR. ELLIOTT:** -- are these just --

17 **DR. MAKHIJANI:** At least I have never told  
18 anybody I'm interviewing that this is  
19 equivalent to a legal type of setting. I -- I  
20 -- I trust that the person is giving me the  
21 best --

22 **MR. ELLIOTT:** Sure.

23 **DR. MAKHIJANI:** -- of what they know and their  
24 memory, and we provide if our -- our normal  
25 process is to do the interview, document it in

1 notes, and provide the notes to the interviewee  
2 for correction. And if, you know, the -- if  
3 it's in a certain type of facility then it goes  
4 through a declassification process.

5 **MR. ELLIOTT:** And I think that's perfectly the  
6 appropriate way to handle it, Arjun. I don't  
7 like getting legal with these folks either. It  
8 chills -- It chills many folks.

9 **DR. MAKHIJANI:** Exactly.

10 **MR. ELLIOTT:** They won't -- don't want to  
11 contribute. And I think we do need to have  
12 their contribution. But I would offer that at  
13 a point in time where let's say the Department  
14 of Labor is following up on the eligibility of  
15 an individual, they're very strong on the use  
16 of affidavits and whether or not that leads to  
17 fraudulent or perjury, you know, in the actions  
18 of the -- of the interview, you know, I just  
19 think we need to keep that all in mind. I  
20 don't want to see us, you know, force ourselves  
21 to use affidavits to -- to achieve a test of  
22 verifying the -- the contribution that's being  
23 made. But I do want to make sure that  
24 everybody understands how that word affidavit  
25 is used in the program.

1           **DR. MAKHIJANI:** Yeah, Larry, this is an  
2 explanation for -- I've said how we do it, and  
3 generally in my experience, the use that we  
4 make in our conclusions is that we don't treat  
5 any of the materials in the interviews as true  
6 or not true. To the extent possible within the  
7 context of the review we -- we might try to  
8 verify it or document it or raise it as a  
9 concern. In this particular case what I did  
10 was I -- I looked at whether there was any  
11 supporting evidence in terms of why this was  
12 being done, and there was. There's a --  
13 There's a -- There's a historical record that  
14 there were pay practices associated with being  
15 in forward areas and people were afraid of  
16 being laid off or -- or sent back to non-  
17 radiation work which was lesser paid. That is  
18 reasonably well documented. And -- And so  
19 where we left it was not at a conclusion that  
20 this actually happened, but this was an issue  
21 in dose reconstruction that needed to be  
22 addressed before you could be confident that --  
23 that you had a set of data that was good.

24           **MR. ELLIOTT:** I understand and I applaud you.  
25 I think your approach is appropriate. And

1 we'll make the best use of it as we can.

2 **DR. MAKHIJANI:** Right.

3 **DR. WADE:** Excuse me. This is Lew. I've got  
4 to get out. I'm in a security situation here  
5 at the airport so I'm going to have to break  
6 away. Liz and Larry, I will leave it to you,  
7 okay?

8 **MR. ELLIOTT:** Okay.

9 **MS. MUNN:** What airport are you in, Lew?

10 **DR. WADE:** Cincinnati Airport, and something's  
11 going on. I don't know if you can hear the  
12 ruckus in the background.

13 **MS. MUNN:** Yeah, we can hear the ruckus.

14 **DR. WADE:** Sorry.

15 **MS. MUNN:** Good luck.

16 **MR. ELLIOTT:** Get outside the gates, Lew.

17 **DR. WADE:** Yeah.

18 **COMMENT 10: PRE-1963 EXTERNAL ENVIRONMENTAL DOSE**

19 **MR. PRESLEY:** Okay. In response to Comment 9  
20 and 10, I don't think we have any further  
21 action for 9. But if NIOSH could get with SC&A  
22 to make this model and use the comments that  
23 SC&A has, I think that that would probably  
24 satisfy the working group with the outcome; is  
25 that correct?

1           **MS. MUNN:** Well, we have Comment 10 is shown on  
2 the matrix as completed now so I guess we -- if  
3 -- if that's correct it was -- I thought it was  
4 correct when I read it.

5           **MR. PRESLEY:** Well, now, what I have on Comment  
6 10 says it has to do with the after the 1957  
7 all workers were badged.

8           **MS. MUNN:** Uh-huh.

9           **MR. PRESLEY:** But NIOSH did agree to develop a  
10 co-worker model for workers from 1951 through  
11 1957 --

12           **MS. MUNN:** Uh-huh.

13           **MR. PRESLEY:** -- through April 1st, '57.

14           **MS. MUNN:** Right.

15           **MR. PRESLEY:** And if they could work with SC&A  
16 with what comments they have it might be a --  
17 that document that would come out of that a co-  
18 worker model might have to work through in our  
19 deliberations as far as the badging and the --  
20 the -- the need for additional environ-- or  
21 external dose data.

22           **MS. MUNN:** So you just see that as a delivery  
23 item before we close out. Would that be  
24 correct?

25           **MR. PRESLEY:** I -- I do now. I sure do.

1           **MS. MUNN:** Rather than as a follow-up item that  
2 falls into the category of needing to be  
3 tracked.

4           **MR. ELLIOTT:** How close are we, Gene, on a co-  
5 worker model?

6           **MR. ROLLINS:** Cheryl, are you on the line?

7           **MS. SMITH:** Yes, I am. There was a revision to  
8 the TBD, the external, Section 6, TBD, that  
9 included a workup and an average co-worker dose  
10 that could be assigned for the years '51 to  
11 '57. And I don't know where that is in the  
12 review process.

13           **MR. ELLIOTT:** Okay. Well, maybe you can check  
14 and Mark can check and we'll -- we'll ascertain  
15 where -- what the status is and how quickly we  
16 can --

17           **DR. MAURO:** This is John Mauro. This is --  
18 hearkens back to what we talked about before.  
19 I think -- I think we're all in agreement that  
20 there is a -- there is a need for a co-worker  
21 model. NIOSH agrees and we felt the same way.  
22 NIOSH is moving forward with a co-worker model  
23 so there really is -- there really is no  
24 disagreement at this time. There is agreement  
25 that this is -- this -- this in fact is an

1 issue. And it's being -- And we all agree  
2 that it needs to be dealt with and it is being  
3 dealt with. Now, this goes back again to the  
4 question, okay, once it is put in place; you  
5 know, we have had lots of experience now  
6 involving looking at co-worker models and in  
7 some cases we've agreed, yes, that looks like -  
8 - it looks fine and -- but in other places we  
9 came away -- we're still struggling with a co-  
10 worker model on Y-12 for example. So it's  
11 really in the hands of the working group how to  
12 sort of I guess package this. Yes, this is  
13 completed. The co-worker model is being -- is  
14 going forward. Everyone's comfortable with  
15 that. Whether or not we're all going to be  
16 comfortable with the final form that model  
17 takes, that's -- maybe that should be something  
18 that -- I don't know whether that's part of  
19 this matrix or something else. And that goes  
20 toward the very beginning of this conversation  
21 when Lew had mentioned we really haven't come  
22 to grips with this aspect of the closeout  
23 process.

24 **MS. MUNN:** That was my concern, John.

25 **MR. PRESLEY:** Yeah.

1           **MS. MUNN:** But it looks like this item is  
2 closed for the most part for us. It's just  
3 that as Bob says, he -- if there's -- if there  
4 are implications in the final model for other  
5 parts of -- of what we're looking at and it  
6 behooves us to be very interested in when that  
7 co-worker model is going to be available.

8           **MR. PRESLEY:** This is Bob Presley. I think  
9 what we need to do is -- is that may be  
10 something that somebody from NIOSH can report  
11 on when we get to Nevada is when that would be  
12 -- could be made available to the working  
13 group.

14          **MR. ELLIOTT:** I don't know if we can make it by  
15 Nevada.

16          **MR. PRESLEY:** No, no. Just give us an update,  
17 Larry.

18          **MR. ELLIOTT:** Okay. An update, that's fine.

19          **MR. PRESLEY:** You all have --

20          **MR. ELLIOTT:** As you might expect, with Jim  
21 Neton's absence we're -- we're scrambling here  
22 to fill all the gaps and holes, and I don't  
23 want to take on something I, you know, I would  
24 hate to commit to here.

25          **MR. PRESLEY:** No, no. That's fine.

1           **MR. ELLIOTT:** I'll have a status for you.

2           **MR. PRESLEY:** I don't see that we're going to  
3           be able to make any kind of commitment on the  
4           NTS anyway. We just got too many things -- too  
5           many things going.

6           **MS. MUNN:** Too many things still outstanding.

7           **MR. PRESLEY:** That's correct.

8           **MS. MUNN:** And you're right, Larry. Jim leaves  
9           some pretty big holes when he's gone.

10          **MR. PRESLEY:** Is everybody content with Comment  
11          9 and 10 and ready to move on to 11?

12          **DR. MAKHIJANI:** I am, Mr. Presley.

13          **MR. ROLFES:** Bob, this is Mark.

14          **MR. PRESLEY:** Yes, Mark.

15          **MR. ROLFES:** I'll see if I can get you an  
16          update in the next couple of days. I can check  
17          into this and see if I can send out an email --

18          **MR. PRESLEY:** That'd be great.

19          **MR. ROLFES:** -- something like that.

20          **MR. PRESLEY:** That'd be wonderful.

21          **MR. ROLFES:** Okay. Great.

22          **COMMENT 11: GEOMETRY OF ORGANS RELATED TO BADGE**

23          **MR. PRESLEY:** Comment 11 has to do with the  
24          external environmental dose due to the geometry  
25          of organs related to the badge. There were

1           one, two, three, four, five responses to this.  
2           Anybody have anything on Response a, NIOSH will  
3           develop a corrective -- a correction action or  
4           a correction factor for this?

5           **MS. MUNN:** Appears reasonable to me.

6           **MR. PRESLEY:** Okay.

7           **MR. ELLIOTT:** Bob, this is Larry. Just a  
8           suggestion. Maybe instead of going through  
9           each one of these if we could just pick up a  
10          comment and -- and if you could see if there's  
11          any news to report, any status update to be  
12          given, or if there are any questions relevant  
13          to what has already been put to paper here.

14          **MR. PRESLEY:** That's good.

15          **MR. ELLIOTT:** I mean just for the sake of time  
16          I'd like to see if --

17          **MR. PRESLEY:** Yeah, and we are running way  
18          late.

19          **MR. ELLIOTT:** Yeah. Well, I don't know when --  
20          how long is this call open for, Mark? Is this  
21          just --

22          **MR. ROLFES:** Well, it's scheduled until 5:00  
23          p.m. and so --

24          **MR. ELLIOTT:** Okay. Because at some point the  
25          -- the conference line will drop and I wasn't

1           sure when; so we got until 5:00. Good.

2           **MR. PRESLEY:** Has anybody got anything to add  
3           to the -- to the -- any of the responses for  
4           Comment 11?

5           **DR. MAURO:** This is John Mauro. Only one  
6           thing, and it's good news. On 11d it appears  
7           that the co-worker model will engage the issue  
8           of data integrity. I don't know if you have  
9           that in front of you. You'll see that  
10          regarding 11d one of the NIOSH -- the words  
11          deal with this issue. NIOSH will provide an  
12          adjustment dose for workers that hid or did not  
13          wear badges. So this hearkens back to the  
14          previous issue we've discussed. If it's  
15          possible, notwithstanding the outcome of the  
16          data integrity question, apparently NIOSH is  
17          investigating, well, if we do have an issue  
18          related to that that's -- that's real, the co-  
19          worker model is at least going to make a --  
20          make a run at trying to deal with that issue.

21          **MR. PRESLEY:** I agree.

22          **DR. MAURO:** Important to point out.

23          **MR. PRESLEY:** Anybody else have any questions  
24          or any comments on 11?

25          **DR. MAKHIJANI:** I had a question about 11c. In

1 the second column it says claimant favorable  
2 assumption near the bottom there. 11 -- I  
3 think it's 11 -- yes. Claimant favorable  
4 assumption is made that photon energy range is  
5 100 percent 30 to 250. And then in the next  
6 column it says minimizing assumption is 25 and  
7 75 percent. I guess that's all right. I'm  
8 sorry. That's okay.

9 **MR. PRESLEY:** I was going to say --

10 **DR. MAKHIJANI:** That's okay.

11 **MR. PRESLEY:** -- that we agreed at our last  
12 meeting that it would be --

13 **DR. MAKHIJANI:** Yeah, I think that's fine.

14 **MR. PRESLEY:** -- a 25 to 75 split or best  
15 estimate.

16 **DR. MAKHIJANI:** Yeah, that's --

17 **MR. PRESLEY:** Okay?

18 **DR. MAKHIJANI:** Yeah, that's -- what is there  
19 seems fine.

20 **MR. PRESLEY:** All right. Any more comments on  
21 11?

22 (No response)

23 **COMMENT 12: RADON DOSE AND G TUNNELS**

24 **MR. PRESLEY:** Comment 12, responses 12a, b and  
25 c had to do with radon dose and the G tunnels.

1                   And they -- They say that they are not  
2                   claimant favorable. Had to do with the radon  
3                   dose and the gravel gerties. Does anybody have  
4                   any other responses or comments for 12a, b, or  
5                   c?

6                   **MS. MUNN:** Well, the OCAS-related matrix shows  
7                   OCAS is drafting a response and sending it to  
8                   Rollins for incorporation into chapter 4 so  
9                   obviously that's underway.

10                  **MR. ROLFES:** That is correct, Wanda. I  
11                  recently provided Gene Rollins with some  
12                  information regarding radon measurement at the  
13                  Nevada Test Site.

14                  **MS. MUNN:** Good.

15                  **MR. ROLFES:** So we're continuing to look for  
16                  additional information.

17                  **MR. PRESLEY:** Okay. Well, we can say that  
18                  that's ongoing; is that correct?

19                  **MS. MUNN:** Uh-huh. Yes. They are underway, in  
20                  process.

21                  **MR. PRESLEY:** Excellent.

22                  **COMMENT 13: RADIUM 131**

23                  **MR. PRESLEY:** Okay. Item 13 was the  
24                  environmental dose used for the (telephonic  
25                  interruption) or radium 131 (telephonic

1 interruption). And NIOSH agreed that current  
2 guidance in the TBDs may not be accurate or  
3 adequate, and that they will revise the  
4 technical basis document. Mark, do you have  
5 any comment with this?

6 **MR. ROLFES:** I do not.

7 **MR. PRESLEY:** So that's -- that's being --  
8 that's being worked on as we speak; is that  
9 correct?

10 **MR. ROLFES:** That's correct. Gene, is that  
11 correct?

12 **MR. ROLLINS:** Yes.

13 **MR. PRESLEY:** Arjun? Arjun, do you have  
14 anything?

15 **DR. MAKHIJANI:** That's fine. That's fine. No,  
16 I'm fine with that.

17 **COMMENT 14: INTERNAL MONITORING**

18 **MR. PRESLEY:** We'll go on to 14, had to do with  
19 the internal monitoring data until late 1955 or  
20 '56, plutonium from then, tritium from '58,  
21 mixed fusion products from '61. And the  
22 comment or response there that SC&A petition  
23 will take care of cases for the years 1951  
24 through 1957. NIOSH -- NIOSH will prepare a  
25 comment for the worker cases from '57 to '62

1 and then SC&A would add -- they would like to  
2 see that added from 1962 to 1967. Arjun, do  
3 you have a comment on that?

4 **DR. MAKHIJANI:** Well, I -- I thought that --  
5 that we agreed that the internal dose doesn't  
6 need to be addressed up to 1962 so --

7 **MS. MUNN:** And that's what I see --

8 **DR. MAKHIJANI:** '57 to '62 can be deleted from  
9 there. It's a little confusing as it stands.  
10 But the -- Mark's last comment I think in the  
11 fourth column is appropriate. At the working  
12 group meeting it was agreed that our resolution  
13 would be limited to '63 to '67. That --  
14 That's the thing that I believe needs to be  
15 done.

16 **MR. ROLFES:** And Arjun, I can take care of  
17 those statements of clarification earlier on --

18 **DR. MAKHIJANI:** Okay.

19 **MR. ROLFES:** -- in the matrix as well --

20 **DR. MAKHIJANI:** Yeah.

21 **MR. ROLFES:** -- so that -- so that the SEC  
22 issue is better addressed in our approach.

23 **DR. MAKHIJANI:** Yeah, I think that this can be  
24 simplified.

25 **MS. MUNN:** And the late-breaking station is

1 sensitivity study is currently in progress,  
2 right?

3 **MR. PRESLEY:** Okay. Is everybody comfortable  
4 with 14?

5 (No response)

6 **COMMENT 15: RESUSPENSION OF RADIONUCLIDES**

7 **MR. PRESLEY:** Fifteen, resuspension of  
8 radionuclides by the blast wave. Let's see.

9 **MS. MUNN:** Shows on the matrix as complete.

10 **MR. PRESLEY:** It does, and there was no further  
11 action for the working group. Does anybody  
12 have any comments?

13 **DR. MAKHIJANI:** This will be covered, you know,  
14 in that separate process in the 250.

15 **MR. PRESLEY:** Okay.

16 **COMMENT 16: PHOTON DOSE**

17 **MR. PRESLEY:** Comment 16, photon dose.

18 **MS. MUNN:** Same process.

19 **MR. PRESLEY:** Same thing, no action to be  
20 required by the working group. Anybody else  
21 have any comments?

22 (No response)

23 **COMMENT 17: INGESTION OF DOSE**

24 **MR. PRESLEY:** Okay, 17 is the ingestion of dose  
25 needs to be better evaluated. Our comment was

1 SC&A agreed with NIOSH's response. No further  
2 questions required by the working group.

3 **MS. MUNN:** Complete.

4 **DR. MAKHIJANI:** Well -- Well, the -- the --  
5 the final resolution here is that it'll be  
6 resolved as part of the resuspension dose  
7 question. But there's some work to be done  
8 here, but it's not explicit under this item.

9 **MS. MUNN:** Right. Do you think we need  
10 additional words in there, Arjun?

11 **DR. MAKHIJANI:** Well, I don't -- I don't know  
12 what Dr. Anspaugh has in mind in that regard  
13 actually. I -- I neglected to point that item  
14 out to him.

15 **MS. MUNN:** Well, in view of the fact that the -  
16 - our meeting notes say it's part -- this is  
17 part of the reconstruction dose investigation.

18 **DR. MAKHIJANI:** Right.

19 **MS. MUNN:** Does that cover your concern?

20 **DR. MAKHIJANI:** Yeah, right. Exactly.

21 **MS. MUNN:** Oh, good.

22 **MR. PRESLEY:** So we're all right with 17?

23 **MS. MUNN:** Uh-huh.

24 **COMMENT 18: OTIB O-2**

25 **MR. PRESLEY:** Eighteen recommends use of ORAU

1 OTIB's O-2 and NIOSH has agreed with O-2  
2 Technical Information Bulletin. You get down  
3 to the last thing we've got on here is no  
4 further action required by the working group,  
5 that SC&A agrees with NIOSH's response.

6 **MS. MUNN:** Right.

7 **MR. PRESLEY:** Put that one to bed?

8 **MS. MUNN:** They seem to be done with OTIB 2.

9 **MR. PRESLEY:** What did you say, Wanda?

10 **DR. MAKHIJANI:** I believe -- I believe, Ms.  
11 Munn, that's actually a revision to the site  
12 profile and to the dose reconstruction here.  
13 Is that right, Mark?

14 **MR. ROLFES:** Yes, that's correct.

15 **MR. PRESLEY:** So that's a revision to the site  
16 profile?

17 **MR. ROLFES:** That's correct. Yes.

18 **MS. MUNN:** That's good. I missed that note,  
19 looking at the OTIB.

20 **MR. PRESLEY:** All right. We'll put that in  
21 there then.

22 **COMMENT 19: BETA DOSE DATA UNTIL 1966**

23 **MR. PRESLEY:** Okay. Comment 19 is another one  
24 where we had issue. It has to do with the beta  
25 dose data until 1966. NIOSH will revise the

1           beta dose -- beta dose issue for up to 1966.

2           Mark, do you want to comment on that?

3           **MR. ROLFES:** Do we have Richard Griffith on the  
4           line?

5           **MR. GRIFFITH:** Yep.

6           **MR. ROLFES:** Yes, could you give us a little  
7           update, Dick?

8           **MR. GRIFFITH:** Okay. Well, a lot of this has  
9           been involved in digitizing the Harry Hicks  
10          fallout data and then applying beta to photon  
11          conversion ratios, nuclide by nuclide, summing  
12          it over each of the situations and then putting  
13          them into a summary table that allows us to  
14          pick an upper bound for the -- as a function of  
15          time from one hour to 50 years for the -- the  
16          fallout scenarios. Then -- And we find that  
17          for any given test series that the -- the  
18          values time by time are pretty close to each  
19          other so that there's not a -- a wide scatter  
20          that we have to worry about.

21          **MR. ROLFES:** Thanks. Okay. And this will all  
22          be incorporated in the technical basis  
23          document.

24          **MR. GRIFFITH:** Yeah, actually we have just  
25          finished a draft revision of the document and

1           there is a new appendix -- well, there is an  
2           appendix D which has been revised that includes  
3           basically a fair amount of this information  
4           already.

5           **MR. PRESLEY:** We decided that the technical  
6           basis document will be revised to incorporate  
7           the changes; is that correct?

8           **MR. GRIFFITH:** That's correct. The revision  
9           has already begun.

10          **MR. PRESLEY:** Okay. Any further questions or  
11          comments?

12          (No response)

13          **COMMENT 20: INTERNAL NON-USE OF BADGES**

14          **MR. PRESLEY:** The 20 has to do with the  
15          internal non-use of badges and circumstances.  
16          I think we've probably beat this question to  
17          death. As we have the same response as 11d, no  
18          further action required. Does anybody have any  
19          more questions on that?

20          **MS. MUNN:** OCAS is going to draft a response,  
21          right?

22          **MR. ROLFES:** That's correct. We're going to  
23          take a look.

24          **MS. MUNN:** Okay.

25          **COMMENT 21: EXTREMITY DOSIMETRY**

1           **MR. PRESLEY:** Okay. Comment 21 has to do with  
2           the technical basis document not containing  
3           information about extremity dosimetry --  
4           extreme dosimetry, I'm sorry. Status of bomb  
5           assembly workers is unclear. NIOSH has  
6           developed a guidance for assembling the  
7           dosimetry and has incorporated the information  
8           in the TBD revision. Is that correct, Mark?

9           **MR. ROLFES:** That's right. We're taking a look  
10          at this.

11          **MR. PRESLEY:** More will come out in the future;  
12          is that right?

13          **MR. ROLFES:** Yes. Gene, are we going to be  
14          doing this specific to the Nevada Test Site for  
15          extremity dosimetry?

16          **MR. ROLLINS:** I think we were going to be  
17          relying on some data from Pantex.

18          **MS. MUNN:** I was interested in Gene's comment  
19          about core sampling being an issue. It seems  
20          to me it certainly would be. I can't imagine  
21          why it would not be.

22          **MR. PRESLEY:** What was your comment, Wanda?  
23          I'm sorry?

24          **MS. MUNN:** Under -- Under the Input Column,  
25          the third column on the matrix, Gene had -- had

1           made a -- a -- had posed a question whether  
2           core sampling was an issue and pointed out that  
3           assembly was at Lawrence Livermore and LANL  
4           personnel and some Sandia folks doing core  
5           sampling. And I was commenting that I thought  
6           it was an appropriate issue to raise and it  
7           appears to me that people who handled the cores  
8           certainly would be individuals that would be  
9           concerned with extremity doses.

10          **MR. ROLLINS:** That's a good point. We'll be  
11          looking at -- at those activities. This is  
12          Gene Rollins again. I believe we'll be looking  
13          at those activities also.

14          **MS. MUNN:** That's good.

15          **MR. PRESLEY:** Okay. Comment 22 has to do --  
16          Arjun, did you have a question on 21, first?

17          **DR. MAKHIJANI:** No, Mr. Presley.

18          **MR. PRESLEY:** All right. You discussed that  
19          quite heavily the last time.

20          **DR. MAKHIJANI:** Right.

21          **COMMENT 22: NEUTRON DOSE DATA**

22          **MR. PRESLEY:** Has -- 22 has to do with neutron  
23          dose data, no neutron dose data until 1966.  
24          Partial data until 1979. The response on that  
25          was NIOSH will look for additional information

1 on neutron-photon ratios and demonstrate that  
2 the issue is a moot point based on scoping  
3 issues. Mark, do you have a comment on this?

4 **MR. ROLFES:** I do not but Gene, have we done  
5 any calculations to show that during  
6 atmospheric weapons testing periods that the  
7 neutron dose would be below say one millirem?

8 **MR. ROLLINS:** Richard?

9 **MR. GRIFFITH:** Yes.

10 **MR. ROLLINS:** He's on the line. I'll let him  
11 respond to that.

12 **MR. GRIFFITH:** Yeah, now, you're -- you're  
13 talking about the direct dose as a result of  
14 atmospheric testing, right?

15 **MR. ROLFES:** I believe that's the issue.

16 **MR. GRIFFITH:** Yeah -- Yeah, there is another  
17 new appendix in the TBD where two different  
18 approaches have been used to look at the  
19 potential neutron exposure to someone who was,  
20 you know, not -- not protected or was outside.  
21 And basically both of the calculations point to  
22 the fact that if they were at least six  
23 kilometers away from the test point that the  
24 doses would be under a millirem.

25 **MS. MUNN:** Uh-huh. Okay.

1           **MR. PRESLEY:** Okay.

2           **MS. MUNN:** And the note says you're  
3 incorporating that in chapter six?

4           **MR. GRIFFITH:** Been done.

5           **MS. MUNN:** Done? It's done? Good.

6           **MR. GRIFFITH:** Yeah.

7           **MS. MUNN:** Wonderful.

8           **MR. PRESLEY:** Complete then.

9           **MR. GRIFFITH:** That's our new appendix E.

10          **MS. MUNN:** Excellent.

11          **MR. GRIFFITH:** We're starting to run out of  
12 appendix --

13          **MS. MUNN:** Yeah.

14          **MR. GRIFFITH:** -- numbers.

15          **MS. MUNN:** Well --

16          **MR. PRESLEY:** You got -- You got A, B, C and D  
17 to go through.

18          **MR. GRIFFITH:** Yeah. I hope this is it.

19          **MS. MUNN:** I hope so, too.

20          **MR. PRESLEY:** Yes. Okay. Anybody else have  
21 any more comments on 22?

22                   (No response)

23           **COMMENT 23: ADEQUACY OF SOIL DATA**

24           **MR. PRESLEY:** How about Comment 23, adequacy of  
25 soil data for estimating resuspension dose.

1                   And it said that SC&A agrees with NIOSH's  
2                   response. No further questions or -- from the  
3                   working group.

4                   **DR. MAKHIJANI:** Yeah, this is -- Mr. Presley,  
5                   this is part of the same resuspension question.

6                   **MR. CLAWSON:** Is that going to be taken care of  
7                   in Chapter 4?

8                   **MR. ROLLINS:** Yeah, that's correct. Section  
9                   4.2.2.

10                  **MR. PRESLEY:** 4.2.2. Okay. So we can mark  
11                  this one complete. Okay.

12                  **COMMENT 24: HIGH FIRED OXIDES**

13                  **MR. PRESLEY:** Twenty-four. It has to do with  
14                  the presence of high fired oxides. And on this  
15                  one the technical basis document is being  
16                  revised to reflect -- to reflect additional  
17                  guidance. Mark, do you have anything on that?

18                  **MR. ROLFES:** Yes, then we're also considering  
19                  that I believe on a site-wide basis as well.  
20                  Definitely -- Definitely some information into  
21                  the Nevada Test Site to represent the TIB  
22                  that's being drafted.

23                  **MS. MUNN:** I could hardly hear you. Did you  
24                  say site-wide or complex-wide?

25                  **MR. ROLFES:** Site-wide. There's information

1                   that is -- I'm sorry, well, complex-wide. I --  
2                   I apologize.

3                   **MS. MUNN:** That's okay.

4                   **MR. ROLFES:** It's -- It would be complex-wide  
5                   I believe.

6                   **MS. MUNN:** I would think so.

7                   **MR. ROLFES:** And I thought that would be --  
8                   would be putting some information into the  
9                   technical basis document for the Nevada Test  
10                  Site but we can reference the OTIB that is  
11                  being crafted.

12                 **MS. MUNN:** How far along are you with the  
13                 draft?

14                 **MR. ROLFES:** I can definitely check on that as  
15                 well. I know that it's in the process right  
16                 now. Although when it will be completed I -- I  
17                 couldn't guess.

18                 **MS. BRACKETT:** This is Liz Brackett. Sorry to  
19                 interrupt.

20                 **MR. ROLFES:** How are you doing, Liz?

21                 **MS. BRACKETT:** Good. Hi. I'm not actually  
22                 drafting it but there's two issues associated  
23                 with this. We currently have a draft that's  
24                 the merging of the original OTIB that addressed  
25                 only lung doses. And then there's the one that

1 OCAS had written to address all other organs  
2 that has been reviewed by SC&A. But I believe  
3 they're still reviewing the cases that we used  
4 to model it, and they're a few weeks out on  
5 that. So I think between the two of us we  
6 still have a few weeks to get to the end point  
7 of -- of finishing up -- finishing up the  
8 draft. And then on the SC&A side to finish  
9 reviewing those documents or -- or those cases.

10 **MS. MUNN:** Did we -- Did we continue to hang  
11 your name on this, Liz, or did someone else?

12 **MS. BRACKETT:** Yeah, my name is not on this  
13 document. The original authors were Don Bihl,  
14 Roger Falk and Tom LaBone. Tom LaBone is kind  
15 of -- we've given it to him to -- to -- to  
16 merge the two documents and -- and I am  
17 reviewing it right now but -- but Tom LaBone is  
18 the one who's putting it together at this  
19 point.

20 **MS. MUNN:** Okay. I'll be glad to see that.  
21 That's another one of those things that keeps  
22 coming up over and over and over again.

23 **MS. BRACKETT:** Yes, it does.

24 **MR. PRESLEY:** Mark?

25 **MR. ROLFES:** Yes, Bob.

1           **MR. PRESLEY:** When y'all give us your update in  
2 Nevada on the actions that's been, can you go  
3 ahead and make this part of that update,  
4 please?

5           **MR. ROLFES:** Yes, I will.

6           **MR. PRESLEY:** Okay. And that way everybody  
7 will hear what's -- what's going on. Arjun, do  
8 you have any --

9           **DR. MAKHIJANI:** No. No, Mr. Presley. I -- I  
10 think this is okay.

11           **MR. PRESLEY:** Okay.

12           **COMMENT 25: INTERVIEW DATA**

13           **MR. PRESLEY:** Okay. We're down to Comment 25.  
14 This has to do with documentation of the site  
15 expert interviews -- the inadequacy of the  
16 critical site expert reviews. We've probably  
17 beat this to death. The working group has an  
18 issue with this. Provide -- And we have asked  
19 NIOSH to provide interview data to SC&A site  
20 experts and with what SC&A is going to provide  
21 NIOSH would you all not be working back and  
22 forth on this problem?

23           **MS. MUNN:** This is essentially a -- isn't this  
24 pretty much the same thing we discussed  
25 earlier?

1           **MR. PRESLEY:** That is correct.

2           **DR. MAKHIJANI:** Ms. Munn, it is -- it is not.  
3           The -- The -- Earlier we discussed -- the  
4           SC&A interviews are -- are documented and it  
5           was just, you know, going through a little bit  
6           of a process to be respectful of the people we  
7           interviewed before we took the -- sent them  
8           along. We have all the documentation. The --  
9           The issue here was that the NIOSH interviews  
10          that were conducted do not seem to be well  
11          documented at least so far as we could  
12          determine or the documentation was not -- a  
13          mixture of that and the documentation not being  
14          available. And that was part of our site  
15          profile review that when we asked for the  
16          documentation, the documentation was incomplete  
17          by NIOSH's own description. So that was an  
18          issue as to how NIOSH was documenting  
19          interviews and that they should be better  
20          documented.

21          **MS. MUNN:** Okay. So there -- what -- Larry, do  
22          you know the status of this right now? Do you  
23          know whether these things are in the hands of a  
24          classifier yet or -- or whether they're still  
25          being compiled?

1           **MS. ARENT:** This is Laurie Arent. I've been in  
2 and out of this call this afternoon and I  
3 actually have compiled all of the information  
4 that the TBT -- TBD team has submitted, and it  
5 was sent to the -- the classifier at the Nevada  
6 Test Site on Friday, September 1st.

7           **MS. MUNN:** Good.

8           **MS. ARENT:** It's -- It's approximately --  
9 It's close to 200 pages and I do not have an  
10 estimate from the classifier at this point how  
11 long that's going to take so we've done what we  
12 can do to move that along.

13          **MS. MUNN:** Good. It's good to know it's in the  
14 hands of the classifier.

15          **MS. ARENT:** Yes.

16          **MR. PRESLEY:** All right. So then this -- this  
17 issue then will be resolved as soon as it comes  
18 out of classification back to NIOSH to give to  
19 SC&A; is that correct?

20          **MR. ELLIOTT:** Well, we will see. This is Larry  
21 Elliott. We will see what the derivative  
22 classifier review says to us. But I think the  
23 bigger issue here is how, as I read the comment  
24 from SC&A, is how well or how poorly we have --  
25 have referenced these interviews. How -- How

1           can one track what has been provided and  
2           contributed to our understanding by -- by the  
3           site expert. Is that clear? So we -- you  
4           know, whatever comes out of the classification  
5           review, we still need to do a better job I  
6           think in this site profile of documenting site  
7           expert contributions.

8           **DR. MAKHIJANI:** Larry, the question that was  
9           raised in the review based on information that  
10          NIOSH gave us -- NIOSH/ORAU -- which is there  
11          in the review, you know, is part of our  
12          exchanges in conference calls and so on, was  
13          that we were told that what is documented in  
14          the course of the interview is what the  
15          interviewer thinks might be important later on.  
16          And -- And my -- our feeling was that you have  
17          to take the interviewees' information as they  
18          tell you and document it and then make a  
19          technical judgment of whether it's sensible,  
20          whether it's not sensible, whether it meets the  
21          test of credibility and what level -- what  
22          level of attention to give it in dose  
23          reconstruction. But if you never document  
24          something you don't get the chance to make that  
25          judgment. And -- And it's not that one has to

1 hang on every word. We don't do that either  
2 but we try -- we try to be complete, to -- to  
3 write down all the technical issues that are  
4 raised. And I think it's my impression at  
5 least that that is not being done.

6 **MR. ELLIOTT:** Well, we'll have -- we'll deal  
7 with the impression. I thank you for that  
8 clarification. We -- We -- My estimation  
9 here, we still need to deal with that  
10 impression. We need to address it. So I would  
11 look to Mark and to Gene to -- to resolve this.

12 **DR. MAKHIJANI:** And I'll send you the  
13 reference. You know, I'll send you a little  
14 bit more -- it's not just an impression I  
15 think. I wouldn't -- I wouldn't say something  
16 like this if -- if it weren't based on  
17 information supplied by NIOSH to us, and I'll  
18 send you the reference to that.

19 **MR. ELLIOTT:** Okay.

20 **MR. PRESLEY:** Then can we say with this  
21 response that SC&A will -- will work with NIOSH  
22 to -- to reconcile this issue?

23 **MS. MUNN:** After -- After the material has  
24 come back from the classifier.

25 **MR. PRESLEY:** Right.

1           **MS. MUNN:** I think it's important that our note  
2 shows that it went to the classifier on  
3 September 1.

4           **MR. GRIFFITH:** Does anybody happen to know if  
5 Bart Hacker is still alive and well?

6           **MS. MUNN:** I don't know but in any case we've -  
7 - we've talked about his publications earlier.  
8 The position that I took as an individual was  
9 that those historic observations and  
10 interestingly titled documents of his are --  
11 should be considered only insofar as their  
12 original documentation may have been concerned.  
13 I don't know what his current status is. I  
14 believe he's still teaching students somewhere  
15 in a university if I remember correctly. The  
16 last time I -- No, he left the university.  
17 He's writing the last I knew.

18           **DR. ROESSLER:** Was he in health physics, Wanda?

19           **MS. MUNN:** No, he was not. He's a historian.

20           **DR. ROESSLER:** Oh, then okay, then. Thanks.

21           **MS. MUNN:** Yeah.

22           **MR. GRIFFITH:** The last I knew he was working  
23 at Livermore but that's been quite some time.

24           **MR. PRESLEY:** Yeah. Bob Presley. We've gone  
25 through the 25 issues and responses. There's

1 quite a bit of work to be done still by NIOSH  
2 and some by SC&A, getting back with NIOSH on  
3 some of the issues that we have. We are not  
4 going to be able to make any type of a  
5 recommendation that I can see on the test site,  
6 I mean site profile review at this time. I  
7 don't think we're going to be able to do that  
8 at the test site or at Nevada at all. What I'm  
9 wondering is if -- Lew, did you get back on?

10 **MS. MUNN:** I think he's gone in the security  
11 sweep of the Cincinnati Airport.

12 **MR. PRESLEY:** Okay. At this time I do not have  
13 or have not seen any type of an agenda to know  
14 where the work -- this working group has to  
15 make their report, and what day. If Larry --  
16 has any of you all seen -- have you all seen  
17 that?

18 **MR. ELLIOTT:** No. Lew -- Lew will be here  
19 tomorrow and we will discuss the Board's agenda  
20 and map it out as I understand, tomorrow. I  
21 can certainly convey to Lew where folks stand  
22 on this issue. I would encourage you to think  
23 of some report to give to the full body of the  
24 Board about your progress to date though, given  
25 the potential audience. I -- I think it would

1           be proactive of you to do so in front of Nevada  
2           Test Site claimants and petitioners since we're  
3           going to be there in Vegas. You'll -- I -- I  
4           think you'd be remiss in not saying something  
5           about your work on this site profile.

6           **MR. PRESLEY:** That's -- That's what I was  
7           going to ask, if you could make sure that we  
8           are not on the first day. What I would like to  
9           do is as far as the working group to send me  
10          any comments that they have on this meeting  
11          today. And then if we have time we will come  
12          up with a response. If we don't, I would like  
13          to have a little bit of time maybe the first  
14          day or the first morning or something like that  
15          when the working group can get together and --  
16          and come up with our response to be given out  
17          there at the -- at Nevada.

18          **MS. MUNN:** Bob?

19          **MR. PRESLEY:** Yes, ma'am.

20          **MS. MUNN:** This is Wanda. My suggestion would  
21          be that -- that we do feed as much information  
22          as possible in to you and my suggestion would  
23          be that we prepare a small PowerPoint  
24          presentation for you to give, about ten  
25          minutes' worth, just roughly identifying

1 matters that have been closed out and  
2 identifying the two different types of  
3 outstanding issues, which in the larger picture  
4 in my mind constitute site specific issues as  
5 opposed to complex-wide issues that are being  
6 worked in some way so that we can give a -- a  
7 very broad overview of this many things --  
8 these many issues have been closed. These are  
9 open for this reason, and where they are.

10 **MR. PRESLEY:** We'd like to do that. I don't  
11 have PowerPoint. It will just have to be a  
12 bullet type presentation.

13 **MS. MUNN:** Well, it's easy enough to do a  
14 PowerPoint once you get the material.

15 **MR. CLAWSON:** Bob, this is Brad. I'd give  
16 yourself more than ten minutes though.

17 **MR. PRESLEY:** I'm afraid we'll have more than  
18 ten minutes of questions to ask, yes.

19 **MS. MUNN:** Oh, well, that's -- I'm -- I'm  
20 talking about presentation time, not question  
21 time, Brad. That's a different thing.

22 **MR. PRESLEY:** Yeah. Lew -- I'd say Lew will  
23 probably give us 20 or 30 minutes to do this.

24 **MR. ELLIOTT:** We'll talk about this tomorrow  
25 and I'll make sure that I convey your

1 interests.

2 **MR. PRESLEY:** Okay. Please do. And if anybody  
3 has any comments, and this goes for SC&A, too,  
4 please get the comments to me. We are leaving  
5 at 6:00 a.m. on the 10th and the only way that  
6 you all will be able to get in touch with me is  
7 by cell phone. So what I want to try to do is  
8 have this thing pretty well wrapped up by the  
9 10th of September.

10 **MS. MUNN:** Well, perhaps your working group can  
11 get suggestions to you fairly promptly --

12 **MR. PRESLEY:** Right.

13 **MS. MUNN:** -- which would -- with ideas about  
14 how this might be constructed so that it flows  
15 properly. You have a first-class editor on  
16 hand who should be able to help you pull  
17 together at this point.

18 **MR. PRESLEY:** Sounds like a winner.

19 **DR. ROESSLER:** I'm not sure who you're speaking  
20 of. We're going to offer to Bob, if you have  
21 things that you want to put on PowerPoint, then  
22 send it to me. I could put it together into a  
23 presentation.

24 **MR. PRESLEY:** Okay. I may do that then. I may  
25 let you. I may give you my comments that we

1           have here and I'll do that with everybody's.  
2           Does anybody have anything else for the good of  
3           the working group?

4           **MR. GRIFFITH:** Well, this is Dick Griffith.  
5           I'm not sure if it's for the good of the  
6           working group necessarily but who's going to be  
7           talking to Jim Neton in the near future?

8           **MS. MUNN:** I hope that would be Larry.

9           **MR. ELLIOTT:** I will be, Larry Elliott.

10          **MR. GRIFFITH:** Okay. Well, would you extend my  
11          regards? He was on an ICR -- one of my ICRU  
12          report committees and tell him if he -- if he  
13          gets bored and is looking for something to do  
14          we've got a sequel that's coming down the track  
15          so --

16          **MR. ELLIOTT:** Okay.

17          **MR. GRIFFITH:** Okay.

18          **MR. PRESLEY:** And we'll get started on this  
19          working group or the presentation, go ahead  
20          from there. Larry, do you have anything else,  
21          you or Liz?

22          **MR. ELLIOTT:** I do not other than to say this  
23          has been I think a very helpful session this  
24          afternoon and I thank the working group on  
25          behalf of the Institute and the Secretary.

1           **MR. PRESLEY:** Well, we -- we -- we certainly  
2           thank you all for your help. Mark Rolfes has  
3           been very, very good to work with. And SC&A,  
4           do y'all have anything?

5           **DR. MAKHIJANI:** No, Mr. Presley, I do not.

6           **DR. MAURO:** This is John Mauro. There is going  
7           to be a site visit on Monday, the -- the 18th.

8           **MR. PRESLEY:** That's correct.

9           **DR. MAURO:** Is there going to be any  
10          information provided? I -- I signed up for  
11          it. I just -- I'll be flying in Sunday night  
12          late. You folks I guess have been on these  
13          kinds of trips before. Is there any -- going  
14          to be any information provided to the  
15          participants?

16          **MR. PRESLEY:** Yes. I just talked to the lady  
17          today. We will be leaving the hotel, which is  
18          the Westin, at no later than 6:15. I was going  
19          to tell everybody to be in the lobby at 6:00.

20          **MS. MUNN:** That is so ugly, Bob.

21          **MR. PRESLEY:** If you'll remember last time we  
22          were out there we had to wait on two or three  
23          individuals because they couldn't get up.

24          **MS. MUNN:** Uh-huh.

25          **MR. PRESLEY:** She is revising the agenda. I

1 will send it out to everyone along with a  
2 change. Your lunches are going to be \$13.00  
3 instead of 12.00 and two people have asked for  
4 vegetarian lunches, and I think they're going  
5 to be 8.00 -- \$8.00. But she was -- I talked  
6 to her at about 11:30 today and she was  
7 supposed to get the information back to me, and  
8 I will forward it on to every -- to all the  
9 Board members and to NIOSH and SC&A as soon as  
10 I can get on the computer. And if it's on  
11 there we'll -- we'll send it on.

12 **MS. MUNN:** Good.

13 **MR. PRESLEY:** But right now the tour is from  
14 like 6:00 in the morning until about 5:00 in  
15 the afternoon. And they have made arrangements  
16 for us to go where -- everywhere that we asked  
17 to go including the tunnels.

18 **MS. MUNN:** Excellent.

19 **MR. PRESLEY:** We won't get to go into the  
20 tunnels but we will have a presentation at the  
21 tunnel.

22 **MS. MUNN:** That's good.

23 **MR. PRESLEY:** So, and again, we're going to get  
24 to see where people lived and things like that  
25 so I think this tour is going to be more

1           informative to the Board than the last one we  
2           had.

3           **MS. MUNN:** I'm certainly glad to hear that.  
4           I'm assuming that it's okay for us to bring our  
5           own drinking water and candy bars?

6           **MR. PRESLEY:** That's correct. I'm sure they'll  
7           have drinks and water on the bus but we will  
8           stop and pick our lunches up, and make sure  
9           everybody's got \$13.00 to pay her. And we'll  
10          go at it from there; I'll get the information  
11          out.

12          **MS. MUNN:** This bodes walking shoes.

13          **MR. PRESLEY:** Right. We need good walking  
14          shoes. Does anybody have anything else?

15          **THE COURT REPORTER:** Bob, this is Ray.

16          **MR. PRESLEY:** Yes, sir.

17          **THE COURT REPORTER:** I need to speak to Larry  
18          and/or Liz at the conclusion of this if that's  
19          possible.

20          **MR. ELLIOTT:** We'll stay on.

21          **THE COURT REPORTER:** I thank you.

22          **MR. PRESLEY:** Everybody else gets off and we'll  
23          have Larry and Liz stay on. Ray, I appreciate  
24          your help today.

25          **THE COURT REPORTER:** Certainly.

1           **MR. PRESLEY:** I hope we made it easy on you.

2           **THE COURT REPORTER:** Yes, everyone was  
3 especially good about identifying themselves  
4 and I appreciate that.

5           **MR. PRESLEY:** All right. Well, it's now ten  
6 minutes -- nine minutes 'til 5:00. I will  
7 close the working session.

8           **MS. MUNN:** Good. Thank you all, and good  
9 night.

10          **MR. PRESLEY:** Thank you all. Good evening.

11

12                   (Whereupon, the working group meeting was  
13 adjourned at 4:50 p.m.)

14

15

16

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Sept. 5, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 9th day of November, 2006.

---

**STEVEN RAY GREEN, CCR****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**