

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

NEVADA TEST SITE

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Erlanger, Kentucky on
November 15, 2006.

C O N T E N T S
November 15, 2006

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
RADIONUCLIDES	15
REACTOR TEST RE-ENTRY	17
250 DAYS	57
EG&G	65
EXTERNAL DOSE DATA FOR 1963 AND '66	70
CORRECTION FACTORS, EXTERNAL ENVIRONMENTAL DOSE	77
CORRECTION FACTORS	87
25/75 SPLIT	92
STATISTICAL METHODS	93
CORRECTION FACTORS WITH JOB MATRIX	100
ENVIRONMENTAL VERSUS OCCUPATIONAL EXPOSURE	102
NO INTERNAL MONITORING DATA UNTIL LATE '55, '56	109
RESUSPENSION MODEL	111
RADON DOSE IN THE G TUNNEL	142
INGESTION DOSES	148
HIGH-FIRED OXIDES	164
SITE EXPERT REVIEWS	166
COURT REPORTER'S CERTIFICATE	182

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERS

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

1
2
3

CLAWSON, Bradley

Senior Operator, Nuclear Fuel Handling

Idaho National Engineering & Environmental Laboratory

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

IDENTIFIED PARTICIPANTS

BEHLING, HANS, SC&A
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
KUBIAK, MIKE, ORAU
MAKHIJANI, ARJUN, SC&A
MCDONOUGH, ALEX, SEN. REID
NETON, JIM, NIOSH
ROLFES, MARK, NIOSH
ROLLINS, GENE, ORAU
SHIELDS, LASHAWN, NIOSH
SMITH, BILLY, CHEW AND ASSCS.
SMITH, CHERYL, DADE MOELLER AND ASSCS.
STAUDT, DAVID, CDC
SUNDIN, DAVE, NIOSH

P R O C E E D I N G S

(9:00 a.m.)

WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO

1
2
3 **MR. PRESLEY:** Lew, you want to call us to order
4 and...

5 **DR. WADE:** Okay, sure. This is Lew Wade and I
6 have the pleasure of serving as the Designated
7 Federal Official for the Advisory Board, and
8 I'd like to call to order this meeting of the -
9 - the working group of the Board. This working
10 group is focused on issues related to the
11 Nevada Test Site site profile, and it's chaired
12 by Robert Presley, with Brad Clawson, Wanda
13 Munn and Gen Roessler as members. And all of
14 those individuals are here at the table.

15 By way of background, there was some discussion
16 earlier in the week as to whether we should
17 hold this meeting or not, and in my role I
18 suggested that we -- we go forward with the
19 meeting. I don't know that we have a full day;
20 I don't know that we don't, but I think that
21 this is a very important process to keep going.
22 One of the things that sort of caused me to

1 think that having the meeting was in order is
2 that out of this Nevada Test Site workgroup
3 there have come some very important issues that
4 have been sort of designated as more generic
5 issues above the test site itself, and I think
6 we need to keep those issues focused, and I
7 think Jim Neton is here to talk to us today
8 about some of those generic issues. And while
9 it might not be the responsibility only of this
10 workgroup, I do think that this workgroup is
11 where those ideas started to come forward. And
12 I think we need to talk about them here and
13 then I think the Board needs to decide possibly
14 who would have the responsibility of tracking
15 them, but I think it is a terribly important
16 issue.

17 So again, I thank all of you who have made the
18 trip. I know it's difficult and arduous, and
19 this has been a difficult two weeks with Board
20 meetings and there's a subcommittee meeting
21 tomorrow, and another working group meeting on
22 Friday. So I appreciate all of your efforts
23 and your willingness to serve, and I
24 particularly thank Robert for being here and
25 leading us. So Robert, it's all yours.

1 **MR. PRESLEY:** Okay. Do you want to go through
2 and say who's here?

3 **DR. WADE:** Yeah, I'm sorry, we should do that.
4 Let's go around the table and say who's here,
5 and then we'll do the phone line. This is Lew
6 Wade and I work for NIOSH.

7 **MR. CLAWSON:** I'm Brad Clawson. I'm on the
8 Advisory Board.

9 **MS. HOWELL:** This is Emily Howell. I work for
10 HHS.

11 **DR. BEHLING:** Hans Behling, SC&A.

12 **DR. NETON:** Jim Neton, NIOSH.

13 **MR. ROLFES:** Mark Rolfes, NIOSH.

14 **MR. PRESLEY:** Robert Presley, Board member.

15 **DR. ROESSLER:** Gen Roessler, Board member.

16 **MS. MUNN:** Wanda Munn, Board.

17 **DR. WADE:** Now let's have those on the phone
18 identify themselves. We'll start with members
19 of the NIOSH or ORAU team. Anyone else out
20 there?

21 **MR. ROLLINS:** This is Gene Rollins. I'm with
22 the ORAU team, DMA, subcontractor.

23 **DR. WADE:** We appreciate your being here, Gene.
24 I know this is a busy day in your life, I
25 think, and we appreciate your being here.

1 I've done my job.

2 **MR. PRESLEY:** All right. What I thought we
3 would do today is start through the comments,
4 and I'm going to read them off and if anybody's
5 got any responses, we're going to stop at the
6 (unintelligible).

7 Is that all right? If we have actions or if
8 the response has changed or if Mark has
9 something -- I want to thank you for this
10 update spreadsheet very much.

11 **MR. ROLFES:** Thank you, Bob.

12 **MR. PRESLEY:** Very, very much. Has anybody got
13 a problem with that? We'll go right through
14 these things and try to --

15 **MS. MUNN:** No.

16 **MR. PRESLEY:** And then once we get through
17 them, Jim, do you want to talk about the
18 overriding issues all at once or do you want to
19 --

20 **DR. NETON:** No, I think they sort of
21 (unintelligible) --

22 **MR. PRESLEY:** -- as we go through -- do them
23 one at a time as we go through?

24 **MS. MUNN:** Yeah.

25 **DR. NETON:** They'll self-identify themselves in

1 the comments and, where necessary, I can speak.

2 **MR. ROLFES:** Bob, before we begin, could I
3 check with Gene Rollins on the phone to see --
4 on his availability? Gene?

5 **MR. ROLLINS:** Yes, I'm going to have to leave
6 you about ten minutes before 10:00, and -- but
7 I should be back on the phone call within 45
8 minutes to an hour.

9 **MR. ROLFES:** Okay. If it's all right with the
10 Board, I wondered if we could discuss some of
11 the issues that we have Gene Rollins down for
12 the assignment.

13 **MR. PRESLEY:** I think that --

14 **MR. ROLFES:** Okay.

15 **MR. PRESLEY:** -- that'd be great. That'll be
16 good.

17 **MS. MUNN:** Gene, is that ten till your 10:00 or
18 ten till our 10:00, or is it all the same
19 10:00?

20 **MR. ROLLINS:** Oh, I think we're all on Eastern
21 Time, I believe.

22 **MS. MUNN:** Okay.

23 **MR. PRESLEY:** He's on Eastern Time.

24 **MR. ROLFES:** Okay. Well --

25 **MR. PRESLEY:** I'm going to let you -- since you

1 know which ones that he's been working on, I'll
2 let you start it.

3 **MR. ROLFES:** I think -- okay, Gene, I don't
4 know if you want to go ahead and take the first
5 item that -- are still working on. I know we
6 discussed the resuspension issue as one of the
7 major issues.

8 **MR. ROLLINS:** As I -- as I mentioned to you
9 days ago, I think that issue is going to
10 require a good bit of discussion. Hopefully we
11 can -- we can get some input from -- from
12 everyone on -- on that subject, and it might
13 take longer than 45 minutes to do that, so I
14 would -- I would recommend maybe that we put
15 that off until later so we can give it the full
16 discussion that it needs.

17 **MR. ROLFES:** Okay.

18 **MR. ROLLINS:** Now Mark, I -- I presume that
19 everybody has this matrix that was provided to
20 us on Friday, this updated matrix. Is that
21 what we're working from?

22 **MR. ROLFES:** Yes, I believe so.

23 **UNIDENTIFIED:** Do you have an extra copy?

24 **MR. ROLFES:** I do not have an extra copy.

25 **DR. ROESSLER:** You know what, I think -- let me

1 just make sure, I think I have it on my
2 computer and then you can have my --

3 **MR. PRESLEY:** Can you -- can you download it
4 off my stick?

5 **UNIDENTIFIED:** Yes.

6 **DR. NETON:** Should be able to.

7 **MR. PRESLEY:** Can you get a copy off my stick?

8 **MR. CLAWSON:** Yeah -- well, I'll borrow hers.
9 Let's go ahead and go (unintelligible) --

10 **DR. WADE:** Does anyone else need a hard --

11 **DR. BEHLING:** Yeah, I would --

12 **DR. WADE:** Okay, I will -- here, you take --

13 **MR. ROLLINS:** What we have tried to do with
14 this matrix is to -- is to shade the items that
15 we feel like we have resolution on. And the
16 items that are not shaded are the ones that we
17 need to discuss in a little more detail.

18 **RADIONUCLIDES**

19 And so starting with comment one on page 1 of
20 26, that had to do with some tables of
21 radionuclides that were deemed as not being
22 complete, and we agreed and said that we would
23 add those radionuclides.

24 And frankly, I don't know why that particular
25 item is not shaded, but I don't think we need

1 any further action on that. Does -- do we have
2 general agreement on that one?

3 **MS. MUNN:** It's my understanding at our last
4 meeting that we did. My -- my only question
5 was where are we with the Chapter 5 revision.
6 Are we actually there, or is that still in
7 process?

8 **MR. ROLFES:** That's still in process. The -- I
9 believe ORAU has been working on it. I do not
10 believe we've received an official copy of the
11 revision yet for review. Is that correct,
12 Gene?

13 **MR. ROLLINS:** Right. They -- they -- they're
14 all coming up for two-year review and decided
15 to put that review off a little bit until we
16 could get some resolution from this working
17 group as to what changes needed to be made so
18 we wouldn't have to go back and revise again.
19 But that -- that revision is imminent.

20 **MS. MUNN:** So my -- my understanding is
21 correct, we did come to a reasonable consensus
22 at our last meeting. Right? So you --

23 **MR. ROLLINS:** Correct.

24 **MS. MUNN:** So it's just a question of process
25 here, not a question of issue.

1 **MR. ROLLINS:** Correct.

2 **MS. MUNN:** Thank you.

3 **DR. MAKHIJANI:** This is Arjun. Ms. Munn,
4 that's quite right.

5 **MS. MUNN:** Thank you, Arjun. Arjun, as long as
6 you're on the phone, are you going to be at the
7 hearing today?

8 **DR. MAKHIJANI:** Yes, I intend to be.

9 **MS. MUNN:** Oh, good. I'd be interested in your
10 -- in your feedback after that's over.

11 **DR. MAKHIJANI:** I'd be happy to give it to you.

12 **MS. MUNN:** Thank you.

13 **MR. ROLLINS:** Moving on, response -- responses
14 1(b), 1(c) and 1(d), which -- 1 delta -- I show
15 them as all being resolved. You might want to
16 just look over those for a minute and -- to
17 make sure that we're on the same page there.

18 **MR. PRESLEY:** That's what I show, Gene. This
19 is Bob Presley.

20 **MR. ROLLINS:** Okay. Thank you, Bob.

21 **DR. MAKHIJANI:** Right, I agree also.

22 **REACTOR TEST RE-ENTRY**

23 **MR. ROLLINS:** And then comment two had to do
24 with providing guidance for dose estimation for
25 gonad, skin and GI tract for reactor test re-

1 entry, including considerations for large hot
2 particle doses to the skin and the GI tract,
3 and to take into consideration the methodology
4 outlined in the NRDL document. We agreed that
5 that would be appropriate, and after we have a
6 chance to look through that, and I think that's
7 -- we recently brought on Billy Smith to help
8 us with that -- with that consideration. I
9 don't think he's had -- I'm -- I'm not going to
10 put him on the spot because he hasn't been
11 looking at it for very long, but we will take
12 those methods into consideration and, as
13 appropriate, we will revise the TBD to provide
14 the guidance to incorporate those methods.

15 **DR. NETON:** Right. This is Jim Neton. I've
16 gotten into this as of -- as of the last couple
17 days, and I've taken a look at the NRDL
18 document and I think we need to be careful --
19 and it's alluded to later on in one of the
20 responses -- about wholesale adaptation of the
21 values that are in there, principally because
22 that document was written in 1968 and it was
23 their early attempt at trying to do some
24 dosimetry for these large hot particles; that
25 those methods have been largely superseded by

1 some of the new ICRP models -- the ICRP-66-1
2 model and GI tract model -- which I believe was
3 around in that time period, but how it's
4 applied and linked to this -- linked to the
5 respiratory model is -- is unique now. So I --
6 I don't know that, outside of the source term
7 evaluation that's in this document, there's
8 going to be a whole lot of extra usefulness as
9 far as guidance on how to actually calculate
10 the dose from these hot particles. I just --
11 **MR. ROLLINS:** Jim, this is Gene Rollins.
12 You're -- you're exactly right, and that's why
13 I -- I put the qualifier in, as appropriate.
14 But there are -- there are some things in there
15 I believe that could be of value, as you said,
16 such as the source term estimations.
17 **DR. NETON:** Well, there -- there appear to be
18 some -- some pretty decent particle size
19 distribution measurements and -- where they
20 show that there's some fairly large particles.
21 You know, as far as ingestion doses from hot
22 particles, that gets into an area where we may
23 have some complex-wide overarching issues. It
24 appeared to me in a quick look at this document
25 that what they were really trying to do was to

1 calculate the GI tract dose from the inhaled
2 and subsequently swallowed particles. I don't
3 -- I don't think this is a de novo look at just
4 ingestion of the particles, you know, off of
5 the ground or anything. And in that case, I
6 think the ICRP-66-1 model somewhat supersedes
7 that -- that calculation and in fact that the
8 doses would primarily be more relevant to the
9 nasal/pharynx region, what's called the ET-1
10 and ET-2 region of the -- of the GI -- of the
11 respiratory tract. And I don't think that
12 would be a change in -- a paradigm change in
13 our way of doing business. We would just buy
14 the ICRP models and use the appropriate
15 particle size distribution that we could glean
16 from this document. So I think that's fairly
17 straightforward and I think it's indicated here
18 we're committed to doing that, but I just
19 wanted to sort of let people know that by and
20 large the dosimetry done in here would not
21 necessarily be relevant to our dose
22 reconstructions.

23 **DR. MAKHIJANI:** This is Arjun. We raised the
24 issue, not -- you know, not in the idea that
25 NIOSH would be adopting the dose numbers, but

1 as -- as an issue where hot particles appear to
2 be important. There were measurements of these
3 particles and there was a source term that was
4 not covered in the site profile, and so the
5 issue in our review was raised for NIOSH to
6 evaluate it, and I don't believe we've made the
7 suggestion that NIOSH should adopt the -- adopt
8 the dose numbers, so -- so I support what --
9 what you just said, Jim --

10 **DR. NETON:** Exactly, I --

11 **DR. MAKHIJANI:** -- that obviously we're
12 committed and you're committed by the
13 regulation to using the most recent model, so
14 the -- and I do agree also that the source term
15 as well as the particle size measurements are
16 probably the most useful part.

17 I have a question about your statement that it
18 is basically via -- ingestion via inhalation.
19 I think the kinds of particle sizes that were
20 talked about in -- in the Naval Radiological
21 Defense Lab document are non-respirable
22 particle sizes, and a lot of the discussion in
23 there -- if I remember it correctly, I haven't
24 looked at it in a while -- is about non-
25 respirable particles, so I don't -- I don't

1 think that it would be covered by resp--
2 respirable particles alone.

3 **DR. NETON:** Well, I think it would, Arjun. I
4 did a quick look at this and I could be off
5 base, but my -- my quick read of this was that
6 they're really looking at particles that --
7 that lodged in the upper airways. And those
8 are, by definition, non-respirable. They all
9 get stuck in the -- in the head, you know, the
10 upper airway region, and then would be
11 swallowed. By non-respirable, they're not
12 deposited in the deep lung. So I didn't see
13 any indication in here of just sort of a source
14 term where they calculated ingestion of
15 material from the surface itself due to the
16 picking up of the material on your hands or --
17 or from your face. It could be in there, maybe
18 I missed it. But --

19 **DR. MAKHIJANI:** No, no, I agree with that. No,
20 I don't -- I don't think it's in there,
21 although mine is from longer ago than yours.

22 **DR. NETON:** No, I think -- I think this does
23 raise some complex-wide issues. I noted in the
24 matrix that there were -- was some disagreement
25 whether this was complex-wide or not. I think

1 -- I think the general issue of hot particles
2 certainly is a complex-wide issue where it --
3 where it might exist. In fact, we don't -- we
4 have not really done much in the area of hot
5 particles because many of our facilities we
6 didn't feel was -- was necessary to account for
7 that. But in the area of skin contamination --
8 and this document, by the way, predated
9 Varskin, too -- we don't see any real change
10 necessary. If we -- you know, we would use
11 Varskin for skin contamination dosimetry, and I
12 think the smallest area of skin as documented
13 by most bodies -- including the NCRP, NRC, DOE
14 -- would be one square centimeter of skin to
15 calculate the dose averaged over. And in doing
16 so, our models easily account for that. It's
17 just a matter of identifying the existence of a
18 hot particle on that particular portion of
19 skin.

20 It brings up an interesting issue, though, and
21 this sort of falls into the real overarching
22 area, is even if you are capable of calculating
23 a dose to one square centimeter of skin from a
24 hot particle -- say for a beta emitter -- how
25 relevant is the risk model that we use to -- to

1 that dose value, because you know the risk
2 models were based on essentially parallel beam
3 whole body exposures, to a large extent, and
4 there's been a lot of experiments that tend to
5 indicate both directions, either the risk is
6 higher or lower, by irradiating a small area.
7 Many areas of research have indicated that the
8 actual do-- the risk is lower if you
9 concentrate the area into one small particle,
10 very analogous to an alpha irradiation where
11 there is a smaller number of cells affected and
12 that many of the cells will be killed through
13 this process, so with no killing, then the risk
14 of cancer will bound because dead cells can't
15 be cancer cells. So there's some investigation
16 we need to do in that area to see the
17 applicability of the risk models to the hot
18 particle dosimetry, but the physical
19 calculation itself we believe we have covered
20 using the Varskin calculation limiting the area
21 to one square centimeter, where necessary. Of
22 course the trick is to identify those
23 situations. In this NDRL -- NRDL document
24 there are some good indications of how
25 prevalent these hot particles may be and what -

1 - what -- what we might be able to use to
2 calculate the dose.

3 **DR. ROESSLER:** So to update this -- this is Gen
4 -- table then where we have two conflicting
5 things, one is that it is a complex-wide issue
6 and one column says -- and it's probably Gene
7 Rollins -- that it's not. I think you're
8 saying that it is.

9 **DR. NETON:** Well, I think hot particles in
10 general are complex-wide issues and we need to
11 -- I don't say that we're mishandling them, but
12 I think we -- we need to develop some -- some
13 direct guidance on -- on handling them. I
14 think that would be useful.

15 **DR. MAKHIJANI:** Could -- could I ask a question
16 about what is happening in regard to the dose
17 reconstructions that are being done like for
18 tunnel re-entry and other -- I mean have you
19 found this relevant for other than reactor
20 workers. And if so, what -- what is happening
21 with the dose reconstructions on this?

22 **DR. NETON:** I can't answer that question,
23 Arjun. I don't know if Mark can --

24 **MR. ROLFES:** Gene, have we seen any cases where
25 we've noted that a person was contaminated with

1 a hot particle?

2 **MR. ROLLINS:** Cheryl, I hate to put you -- I
3 personally have not -- I'm going to let Cheryl
4 speak in just a minute, but from my -- my own
5 experience in doing a limited number of NTS
6 dose reconstructions, typically hot particles
7 would not be associated with cancers except
8 those that are affected by non-penetrating
9 radiation, such as skin and breast. And
10 typically what we have done and what I -- what
11 I typically have done at Hanford doing dose
12 reconstructions there is that we go through the
13 records to see if there's any evidence that an
14 individual was contaminated, and then we look
15 at the areas in which the contamination was
16 identified and we compare that to the
17 particular cancer of interest to see if there's
18 -- if there's a link-up. And if there is, what
19 we have done in the past is employ the Varskin
20 code to calculate what the potential dose to
21 that -- to that can-- cancer location might
22 have been.

23 Now I'm going to let Cheryl speak to her
24 experience 'cause she's probably done a few
25 more of these at NTS than I have.

1 **MS. SMITH:** For the most part it hasn't -- has
2 not been an issue because we're provided those
3 records that indicate the other monitoring. If
4 we have them -- okay? -- you can -- you can
5 figure out where they made their entry. Quite
6 frankly, contamination incidents -- you know,
7 people will talk about them in their CATI, but
8 we don't have enough specifics, we don't have --
9 -- I know I've seen at Rocky Flats some reports
10 saying well, a person has been -- and -- and I
11 think Hanford has these reports where, you
12 know, they'll indicate where the person was
13 contaminated, and there'll be a report included
14 in the DOE files. But we've never seen
15 anything like that. Now whether they actually
16 did that -- and maybe Billy would be better
17 able to speak to that -- kept files like that
18 in individuals' case folders, I don't know. We
19 have not seen it at this point in any of the
20 cases that I've worked.

21 **MS. MUNN:** Arjun, this is Wanda. If I
22 understood your question correctly, my memory
23 from other working groups is that you
24 personally have brought this issue up on other
25 occasions, have you not? On other sites?

1 **DR. MAKHIJANI:** The dose reconstruction issue?

2 No, Ms. --

3 **MS. MUNN:** No, the hot particle theory, have
4 you not --

5 **DR. MAKHIJANI:** Yes, it's in our review.

6 **MS. MUNN:** Yes, but -- but I -- I guess I was
7 misunderstanding your question. I -- I thought
8 we were questioning whether this was an NTS
9 issue or whether this was a more generic issue.
10 I thought that was the topic of discussion.
11 Was I -- am I off base? Isn't that where we
12 started?

13 **DR. NETON:** Well, I mean I would agree that we
14 need to have more specific guidance to our dose
15 reconstructors on how to deal with hot
16 particles.

17 **MS. MUNN:** Yeah, and -- and that's what -- and
18 -- and I -- I guess I misunderstood what
19 Arjun's question was then.

20 **DR. MAKHIJANI:** Yeah, yeah, because, you know,
21 just -- I was just taking off from what Jim
22 just said, Ms. Munn, that if there is specific
23 guidance that -- that's lacking, what happens
24 right now if this problem occurs in a dose
25 reconstruction.

1 **MS. SMITH:** Yes, I guess --

2 **DR. MAKHIJANI:** How do they do it now?

3 **MS. SMITH:** -- we can say that -- we've been
4 pretty careful about -- because it's still kind
5 of up in the air how to handle all of the skin
6 cancer issues -- the beta/gamma ratios, you
7 know, how we're going to apply those -- we've
8 tried to keep those -- keep those cases -- not
9 work those cases until we are -- do have a
10 clear path forward.

11 **DR. MAKHIJANI:** Now, okay.

12 **DR. NETON:** But this gets into the area,
13 though, where, you know, you -- you're not
14 likely to have hot particle dosimetry -- or
15 measurements on many of these people,
16 particularly in the early days, so then what --
17 what do you do? Is it -- since you can't prove
18 a negative, do you default and everybody has
19 hot particles or do you go with the weight of
20 the evidence that it's not likely, and there's
21 some good -- good analyses in this NRDL
22 document I think that can be applied just to
23 sort of get a handle around the frequency of
24 these hot particle events in a specific
25 situation. I mean it's just got to be -- it's

1 case-specific, you know, the existence of these
2 hot particles. But how you deal with that when
3 they are there, I think we need to have a
4 little better -- better guidance.

5 **MR. CLAWSON:** Well, Jim, this is Brad. Where
6 would -- where would you say these hot
7 particles are more prevalent or -- or are they
8 a complex-wide issue or just NTS?

9 **DR. NETON:** No, not just -- they're -- they're
10 around. I mean it -- you've got to have -- for
11 hot particles to be -- you've got to have some
12 kind of -- more likely a reactor or something
13 of that nature where you -- you've had a
14 particulate, you know, fission products
15 (unintelligible) activation products
16 (unintelligible) --

17 **MR. PRESLEY:** Or a -- or an accident.

18 **DR. BEHLING:** Were there any kind --
19 detonations that turned out to be a dud? I
20 know that, for instance, in the Marshall
21 Islands there were several detonations where
22 the primary explosion took place but the
23 fission product never did, and there were large
24 amounts of plutonium fragments scattered all
25 over the test site which are then potentially

1 hot particles. Were there any such incidents
2 at NTS where you had a test that didn't -- it
3 turned out to be a dud but the material
4 exploded from -- from the primary charge and it
5 scattered hot particles? One particular case
6 that I'm very familiar with in Marshall Islands
7 was (unintelligible) plutonium device was --
8 was detonated and scattered a large amount of
9 large particles, plutonium particles,
10 throughout the area. Was there a potential to
11 that at NTS?

12 **MR. ROLFES:** Gene or Billy, could you comment
13 on that? I know that there were some plutonium
14 dispersion tests at Nevada Test Site. I
15 wondered if we could elaborate on that. I
16 believe I spoke with Martha DeMarre about one
17 of those instances where they did achieve
18 criticality during one of those tests. Do we
19 have any indication of a person being exposed
20 to large particles of plutonium that could have
21 contained fission and activation products?

22 **MR. ROLLINS:** This is Gene Rollins. They did a
23 series of safety tests where they were trying
24 to determine whether or not the -- with the
25 safety zone whether or not a device would go

1 critical, it would -- just on the high -- high
2 explosives, but I'm going to let Billy speak to
3 that because he has far more experience in that
4 area than I do.

5 **MR. SMITH:** This is Billy. My experience is
6 that there were some safety tests conducted at
7 NTS, but I don't know of any incident where
8 there were people exposed to hot particles as a
9 result of those safety tests. Most of the
10 safety tests that I'm aware of -- and my
11 experience goes back to 1966 -- were conducted
12 underground, and you know, safety tests were
13 generally low -- very low yield tests. Most of
14 them did not go nuclear anyway. And this was,
15 as Gene has just indicated, a test to see
16 whether or not you could make the thing go
17 nuclear with the HE that was wrapped around the
18 pit.

19 Before I comment further I'd like to make a
20 comment about the NRDL report. This -- this
21 report I think tends to try and -- and -- well,
22 the comments that are in the matrix tend to
23 indicate that the model may fit the NTS
24 environment, and it seems to me that, given how
25 the NERVA project worked over at Area 400 where

1 they tested the nuclear rocket engines, hence
2 passing hydrogen gas through the hot cores to
3 accelerate it out through the nozzles, the hot
4 particles that came out as a result of that
5 would have been suspended up into the
6 atmosphere and the distribution and isotope
7 types that were created during that process
8 were significantly different from the fission
9 products that are created during a nuclear
10 test. And from nuclear tests -- that were
11 underground, anyway -- where some activity may
12 have been released to the environment would
13 have been scrubbed by the overfill that was
14 above the detonation zone that a lot of these
15 particles would not have gotten out into the
16 environment, particularly the heavier
17 particles, the transuranic particles. You
18 would get the volatiles coming out of the hole,
19 and they would be carried to the wind and the
20 daughter products would be distributed along
21 the downwind patterns. But in terms of the re-
22 entries, the tunnel re-entries or the vertical
23 shot hole re-entries, these people were not
24 necessarily exposed to any hot particles that -
25 - that would have been created by any means.

1 Does the silence mean I was cut off?

2 **MS. MUNN:** No, no, it doesn't. It means we're
3 lost in thought here.

4 **MR. SMITH:** Oh, okay.

5 **MS. MUNN:** So to recap, if I understand
6 correctly, I'm led to believe that Jim's
7 earlier statement was quite accurate. These
8 types of cases will have to be reviewed on a
9 case-by-case basis rather than on a wholesale
10 approach, based on the type of incident that
11 was involved and based on the -- the location
12 of the individual, and will have to do what I
13 think we're probably charged with doing, which
14 is depend upon the weight of the data to define
15 the approach. Is that a reasonable summation?

16 **MR. SMITH:** Yes. I -- now Ms. Munn, I think --
17 I think the hot particle issue is a complex-
18 wide issue.

19 **MS. MUNN:** Yes, we understand that.

20 **MR. SMITH:** The -- the -- and I think in terms
21 of the NTS exposures, it's -- it's -- it's
22 probably lower down the priority chain than
23 other sites where hot particles may be more
24 prevalent. We -- we did not experience hot
25 particle exposures at NTS to any significant

1 degree at all. As a matter of fact, I don't
2 know of any dose reconstructions that -- that --
3 -- that have been looked at so far that have
4 involved concerns with hot particle exposures.

5 **MS. MUNN:** Yeah, and this will be true of a
6 number of other sites, as well. Yeah. Thank
7 you.

8 **DR. BEHLING:** I do have a question. I mean
9 that hot particles existed is probably
10 something that doesn't require much of a
11 debate, but the question of how do you apply
12 any kind of dose model, especially when you
13 talk about a -- a hot particle that, as Jim
14 Neton had talked about, is a non-respirable
15 particle that starts out somewhere in the upper
16 respiratory tract, gets passed from there into
17 your GI tract and therefore exposes everything
18 from the head back to -- to -- to the point of
19 the colon and rectum. How do you -- how do you
20 anticipate modeling such a -- an exposure?

21 **DR. NETON:** Well, I think the 66-1 model can
22 handle particles that are deposited in the
23 nasal/pharynx region. It handles larger
24 particles. And I think we would use standard --
25 -- standard dosimetry for that. I -- I've

1 looked at NCRP Report 130 that was written in
2 1999 that -- that dealt with this exact issue
3 on ingestion of hot particles, skin
4 contamination -- the whole hot particle issue.
5 And their basic recommendation was unless you
6 can show that there is some (unintelligible)
7 transit time of the hot particle through the GI
8 tract, to treat it just as a insoluble -- any
9 other insoluble particle as it moves through.
10 The ICRP models for calculating dose to the GI
11 tract -- I won't say they're pretty crude, but
12 they're pretty simple. It's essentially one-
13 half the dose of the contents of that
14 particular portion of an organ, and to try to
15 pretend that we could modify that any finer and
16 increase the dose based on some other principle
17 would be beyond what we're certainly capable of
18 doing, and would be -- we'd be consistent using
19 their guidance, which says use the standard
20 models. So I think it can be handled with the
21 new -- with the ICRP-66 dosimetry model. I
22 don't -- I don't see that as a -- as a
23 roadblock. The trick there, though, is to
24 identify the existence of the hot particle.
25 See, like in this NRDL report, the key

1 information here is that -- it categorized it,
2 you know, what -- what percentage and what
3 (unintelligible) they have. I don't know that
4 we're likely to -- how would you know that at
5 these sites, and then that becomes a little
6 problematic. How do you --

7 **MR. PRESLEY:** Well, I was going to say, you
8 can't -- how do you do that?

9 **DR. NETON:** Well, it gets into the classic
10 situation of how do you prove a negative. How
11 do you prove the hot particles didn't exist?

12 **MR. PRESLEY:** Yeah.

13 **DR. NETON:** And I'm not sure. That -- that's
14 something that we need to try -- we need to
15 address, though.

16 **DR. MAKHIJANI:** This is Arjun. It may be that,
17 you know, indirect evidence might help
18 establish that. I -- I -- Ms. -- Billy Smith
19 made a pretty categorical statement there that
20 no one was exposed, or something close to it,
21 to hot particles. Whereas I think the early
22 tunnel re-entry workers, for instance, who got
23 extremely high tritium doses and there were
24 accidental -- there were -- there were mishaps
25 in those tunnels in the early days that I think

1 do bear some looking into. Now they -- I
2 understand it's covered by an SEC, but because
3 of the nature of the test site work being very
4 episodic in relation to high radiation
5 environments, it does concern the 250-day
6 issue, and so it might be relevant. It may
7 also be relevant for later tunnel re-entries in
8 the -- in the '60s. So -- so I -- I -- I'm not
9 talking about re-entries when there were no
10 mishaps and when things went as anticipated.
11 But -- but it wasn't error-free.

12 **MS. MUNN:** No. But Arjun, again, we're back to
13 the -- to the matter of needing to rely on the
14 data itself, the preponderance of evidence,
15 rather than potential scenarios.

16 **DR. MAKHIJANI:** Oh -- oh, Ms. Munn, no, I
17 wasn't -- I wasn't talking about creating
18 speculative scenarios. On the contrary. I was
19 just actually agreeing with -- with Jim Neton
20 that, you know, it -- it's difficult to prove a
21 negative and suggesting that there are
22 documented incidents where it may be possible
23 that there were hot particles, and looking at
24 those -- that incident data, it may be possible
25 to determine that. I -- I have always been

1 uncomfortable relying on CATIs for these kinds
2 of things because for the most part survivors
3 don't know any of this, but -- but I think the
4 incident data might help. So I'm just kind of
5 trying to -- trying to suggest ways in which
6 the speculation might be reduced, at least.

7 **MR. CLAWSON:** Well, this is Brad. Wouldn't
8 some of these hot particles be suspended, like
9 the PLUTO test of that reactor, and ROVER? You
10 know, in our tour down there and stuff like
11 that, they had people that were -- couldn't
12 come out of their buildings till after they'd
13 been cleaned up afterwards. You've got the
14 cleanup peop-- don't they have any data on --
15 on any of this, because you know, John was even
16 saying that they couldn't come out of their
17 trailers till after everything had been hosed
18 down and cleaned up.

19 **DR. NETON:** I think that's what Arjun's
20 suggesting is we would look at the existing
21 reports that are out there related to the
22 incidents, either planned or unplanned, and try
23 to help bracket the universe of potential hot
24 particle scenarios, where they -- where they
25 more likely could exist, where they likely did

1 not exist. Like I suggested earlier, several
2 weight-of-the-evidence approaches is all we've
3 got to go on, and I think -- I can't argue that
4 we shouldn't do that. I mean I think we need
5 to do that.

6 **MR. PRESLEY:** Can we put something in here that
7 says that if -- that's going to be done on a
8 case-by-case basis then for the hot particles,
9 and let's get on with this?

10 **DR. NETON:** I -- but I'm not going to say every
11 single case by case, but...

12 **MR. PRESLEY:** Where you've got -- where you
13 know that there is a known incident --

14 **DR. NETON:** Right, yeah, that's -- I would
15 agree with that. We would evaluate -- on a
16 general basis we would evaluate the incidents
17 as applicable to the existence of hot
18 particles.

19 **MR. PRESLEY:** Right. Right.

20 **DR. NETON:** I think that's fair-- that's
21 reasonable.

22 **MR. ROLFES:** We already have done some cases
23 using Varskin in some of our dose
24 reconstructions when we have contamination
25 incidents. I can't remember the site

1 specifically, but I do remember seeing a dose
2 reconstruction where some Varskin calculations
3 had been done because a hot particle was
4 deposited I believe right inside the
5 gentleman's nose.

6 **DR. NETON:** Well, I do think this reactor
7 experiment here, as -- as Billy Smith pointed
8 out, is one of those unique scenarios that has
9 been identified. It was pretty easy and it was
10 studied well. Now the question is are there
11 any other similar things out there that we need
12 to look at, identify (unintelligible).

13 **MR. SMITH:** This is Billy. I have a question,
14 and -- and particularly coming from me, it's --
15 it's sort of a -- my experience at NTS started
16 in 1966 and -- but I was on the weapons test
17 side. I spent an awful lot of time over at
18 Area 400 in 1966 to '68 working on another type
19 of experiment with Project HENRY. But my
20 question is, are the Pan Am records -- has
21 anybody seen any Pan Am records that may have
22 indicated that there were hot particles
23 exposures to people from the NERVA experiments?

24 **MR. ROLLINS:** This is Gene Rollins. I haven't
25 -- I haven't seen any indication of that.

1 **MR. SMITH:** I -- I can probably check with
2 Martha sometime soon -- Martha DeMarre over at
3 the archives, NTS archives, and see if there
4 are any NTS records that include any of the Pan
5 Am exposures.

6 Now they did wear the NTS dosimeters, the
7 external dosimeters, at that time. I'm
8 positive of that because I wore those when I
9 was there. But I'm not sure about any
10 contamination records that Pan Am may -- was
11 responsible for keeping at that time, what
12 happened to those.

13 **DR. MAKHIJANI:** Yeah, we also suggested a look
14 at the NRDL records, the Naval Radiological
15 Defense Lab records. There are a number of
16 references in that document that we've all
17 looked at now, and they might be helpful --
18 because the hot particle issue will remain for
19 the reactor -- or re-entry workers, and they
20 might be helpful in -- in sort of giving some
21 idea of who was exposed and when and at which
22 tests and so on.

23 **MR. PRESLEY:** Okay. Is that -- go ahead,
24 Wanda.

25 **MS. MUNN:** How large a task is that?

1 **MR. ROLFES:** I'm sorry?

2 **MS. MUNN:** Do we have a feel for it? I was
3 just asking how large a task it would be.

4 **DR. NETON:** I would ask Gene and his crew
5 whether --

6 **MS. MUNN:** To look at the Pan Am and -- and
7 again, look at the NRDL records as -- as
8 indicators of where one might even have this
9 concern, in an effort to try to put it to bed
10 as to when -- when we do or do not need to
11 incorporate that into our thinking.

12 **MR. ROLFES:** Billy, do you have a feel for how
13 long this might take to speak with Martha and
14 go through some of these records to determine
15 whether hot particle exposure could have been
16 significant for any of the reactor tests?

17 **MR. SMITH:** I would say it would probably take
18 -- take at least a week to -- you know, to get
19 any indication at all after we get Martha's
20 schedule adjusted to when she could start
21 putting that kind of effort into looking at
22 those records.

23 But now, you know, the -- the Area 400, which
24 was the area where these tests were conducted,
25 were a rather small subset of the NTS

1 population, so we're really not talking about a
2 lot of people relative to the numbers of people
3 that worked at NTS. So when you talk about NTS
4 and these rocket experiments, you're really
5 talking about a small number of -- of people.

6 **MR. PRESLEY:** Hey, Billy, this is Bob Presley.

7 **MR. SMITH:** Yes, Bob.

8 **MR. PRESLEY:** You could look at the four --
9 Area 400 --

10 **MR. SMITH:** Yes.

11 **MR. PRESLEY:** -- and then look at the
12 incidences that we had up at the tunnels --

13 **MR. SMITH:** Right.

14 **MR. PRESLEY:** -- and those should be all
15 documented, and then look at the incidents
16 where we had any venting, and that would just
17 about take care of it. Do you agree?

18 **MR. SMITH:** I agree. I agree.

19 **MS. MUNN:** Will this be an undue personnel
20 burden?

21 **MR. SMITH:** Personally, I don't think so.

22 **MR. ROLLINS:** Well, Martha DeMarre -- this is
23 Gene Rollins. Martha DeMarre will probably
24 tell you that it is --

25 **MR. SMITH:** Yes.

1 **MR. ROLLINS:** -- because she's a -- she's a
2 very busy person and is having a hard time just
3 meeting the day to day requests under this and
4 other programs. But she's been very good in
5 the past and she has come through and provided
6 us with a great deal of information. And so
7 that's -- that's one place we're going to
8 probably get a little bit of resistance, but I
9 -- I still feel like Martha will come through
10 because she's a -- she's been very helpful in
11 the past.

12 **MR. PRESLEY:** Hey, Billy or Gene, either one,
13 this is Bob. Would that not be pretty well
14 available from the industrial hygiene reports
15 at the test site, especially in the -- oh, from
16 say like '57 on -- if we could get our hands on
17 the industrial hygiene reports.

18 **MR. SMITH:** I'm not sure -- this is Billy. I'm
19 not sure what -- what Pan Am's responsibility
20 was, but I'm sure that there was a project
21 report put together for each test of the
22 nuclear rocket engines, and -- and those --
23 those would have been sent through DOE --
24 Smithall was the Space Nuclear -- PO -- Project
25 or something like that. They had to report to

1 NAVU at that time, so I'm sure those things
2 were generated, and that would be part of the
3 historical documents that -- that Martha would
4 have.

5 **MR. PRESLEY:** Why don't we see then that -- if
6 she has this readily available, and then go
7 back and ask NIOSH if they have the time and
8 the money to do this.

9 **MR. SMITH:** If -- if Mark asked me to do that,
10 I would go over and ask Martha to see what she
11 could come up with.

12 **MR. ROLFES:** Yes, and I believe we'll have you
13 do that, Billy, so --

14 **MR. SMITH:** Okay.

15 **MR. PRESLEY:** Thank you. Okay, we need -- go
16 ahead, Wanda.

17 **MS. MUNN:** We understand the -- the difficult
18 part for members of the Board, I think, is
19 trying to identify which of these items is
20 worthy of the amount of time and energy that
21 needs to go into it to track it down. Clearly
22 we want to cover the most directly applicable
23 issues rather than minor issues which might
24 affect a very small number of people in a very
25 small way, but not have a major impact on your

1 -- your overall program work and the number of
2 cases that are going to be involved. Just a
3 simple issue of everyone's time, energy and --
4 and -- it's hard for some of us to lose track
5 of the fact that it's all taxpayer money, so
6 it's -- it's helpful when we can identify
7 what's really of large enough magnitude to
8 impact a variety of -- of issues rather than
9 just a single minor issue that won't affect a
10 POC for more than one or two people. So
11 thanks, if you can get it done.

12 **MR. SMITH:** This is Billy one more time. The
13 person who was -- who was directly responsible
14 for the health and safety program at Pan Am
15 when some of these experiments took place was
16 Bruce Church, and Bruce is a person who -- I
17 don't know whether or not he's been interviewed
18 or what information you can provide, but it
19 would seem to me that having a discussion with
20 Bruce would be invaluable in providing some --
21 some perspective on this issue at NRDS.

22 **MS. MUNN:** Can we do that, Mark?

23 **MR. ROLFES:** Yes, definitely. I think we
24 should set something up, Billy. I think it'd
25 be a good idea to speak with him if he's

1 available.

2 **MS. MUNN:** We've done such a good job of
3 covering --

4 **MR. PRESLEY:** Yeah.

5 **MS. MUNN:** -- people otherwise.

6 **MR. PRESLEY:** What's that guy's name again,
7 Billy?

8 **MR. SMITH:** Bruce W. Church. As a matter of
9 fact, he was a health physicist for Pan Am at
10 the time when this took place and he ended up
11 being in charge of the entire radiation
12 protection program at NTS in his later years.
13 He was at -- he was a Fed when he retired.

14 **MS. MUNN:** So is he still in the area, easily
15 available?

16 **MR. SMITH:** I think Bruce is up in Utah
17 somewhere. I'm -- I'm sure he'd be easy to
18 find.

19 **MS. MUNN:** Good.

20 **DR. ROESSLER:** I know him. I think I can look
21 him up on the Health Physics membership --

22 **MS. MUNN:** That was going to be my next --

23 **DR. ROESSLER:** -- directory and see where he
24 is. In fact, I can do it sort of right now.

25 **MS. MUNN:** Wonderful.

1 **DR. MAKHIJANI:** So -- so -- so Mr. Smith, you
2 are going to interview him, is that -- is that
3 --

4 **MR. SMITH:** No. No, no, no, no. I have a
5 conflict because of my involvement in the
6 health and safety program (unintelligible) --

7 **DR. MAKHIJANI:** Oh, so somebody from ORAU will
8 interview him.

9 **MR. SMITH:** Somebody else will be interviewing
10 --

11 **DR. MAKHIJANI:** Maybe Gene.

12 **MR. SMITH:** -- Bruce.

13 **DR. MAKHIJANI:** We'd just like to see the
14 interview record when it's done.

15 **MR. PRESLEY:** Arjun, I'm sure they'd be more
16 than happy to pass that on.

17 **DR. ROESSLER:** Bruce --

18 **DR. MAKHIJANI:** Yeah. No, I say that because
19 there -- there still -- from the last time -- I
20 mean this is the last item, but I'm might as
21 well say it since it's come up. There are
22 still interview records that -- that we don't
23 have, the -- the Brady five hours of
24 interviews, and then there are two other
25 interviews, Arnt -- Arnt* and Smith, that are

1 now references in the site profile, that are
2 not available so it's -- it's sort of
3 impossible to track this stuff, or respond to
4 what's going on if we -- if we don't have the
5 record.

6 **DR. ROESSLER:** I got -- I was a little slow
7 there, but Bruce Church is listed in the Health
8 Physics membership list. He's in Utah, and
9 I've got phone numbers and an e-mail address,
10 so I think he's probably quite accessible. I
11 can give -- whoever wants them, I can give you
12 that later.

13 **MR. ROLFES:** I'll coordinate with Gene to get
14 something set up then.

15 **MS. MUNN:** And -- and what is the issue with
16 the other interviews that SC&A doesn't have
17 yet? Was that classification issues?

18 **MR. ROLFES:** These were passed through an
19 authorized (unintelligible) --

20 **MS. MUNN:** All right.

21 **MR. ROLFES:** -- classifier.

22 **MS. MUNN:** Fine.

23 **MR. ROLFES:** Gene, has Laurie mentioned, or
24 Cheryl, do we know anything about the status of
25 those records or have we heard anything back?

1 **MS. SMITH:** This is Cheryl. I don't quite --
2 records -- the interview records or when the --

3 **MR. ROLFES:** Yes, Gene's -- as I recall, I
4 believe Laurie Raunt* was going to have those -
5 - those interview records passed through an
6 authorized derivative classifier in Las Vegas -
7 -

8 **MS. SMITH:** Okay.

9 **MR. ROLFES:** -- and I didn't know --

10 **MS. SMITH:** Yes, I -- I don't know what the
11 status on that is.

12 **MR. ROLFES:** Okay.

13 **MS. SMITH:** I know that she put them together,
14 all our e-mails and -- in a long file and it
15 was sent to us, and that was some time ago, so
16 if you would like I could check on it.

17 **MR. ROLLINS:** This is Gene Rollins. I don't
18 know what the status of that is, either. That
19 -- in fact I'm -- Cheryl, I don't even know if
20 the classifier is the same person that we used
21 before, but she was very helpful. We'll --
22 we'll check on that and get back to you.

23 **DR. MAKHIJANI:** Yeah, Gene, there -- there are
24 a number of interviews listed here on the last
25 response, 25, and then I was just in

1 preparation looking at your -- looking at your
2 revised external site profile which you issued
3 a couple of months back and there are two
4 interviews, Arnt and Smith, 2003 and 2004, that
5 I couldn't find. And it's kind of a general
6 request. I mean if -- if -- if things are --
7 are available to make public and if they're
8 cited like this as personal communications, if
9 a record could be put on the site query
10 database or the O drive or something that --
11 this wouldn't come up again and again.

12 **MR. ROLLINS:** This is Gene Rollins. Cheryl,
13 that sounds like a -- an action for you,
14 Cheryl, since you and Laurie are the ones that
15 are being cited. And typically when we do
16 these TBDs, all the citations are sent with the
17 revisions, so it could be they're already on
18 the O drive.

19 **DR. MAKHIJANI:** Okay.

20 **MR. ROLLINS:** Bob, this is Gene Rollins. I'm
21 going to have to leave this discussion for
22 about 45 minutes or so, but I will sign back on
23 and let you know when I'm back -- back onto the
24 discussion, but it looks like the next few
25 items might be a good time for some discussion

1 with Billy Smith.

2 **MR. PRESLEY:** Gene, thank you very much. We
3 will catch you when you get back.

4 **MR. ROLLINS:** I'll be back in about 45 minutes.
5 Thanks.

6 **MR. PRESLEY:** Okay. All right, we're down to
7 response 2(b).

8 **DR. MAKHIJANI:** 2(b) did you say?

9 **MR. PRESLEY:** Uh-huh. I think we've about beat
10 2(a) to death. We've still got some stuff that
11 needs to be done on that, as everybody's heard.
12 The action on that, add guidance to Chapters 5
13 and 6. I think that's kind of -- we'll do
14 that, but we also have some other things to --
15 to add to that now, so...

16 **DR. MAKHIJANI:** Mr. Presley, I think 2(a)
17 through 2(f) were generally covered because --

18 **DR. NETON:** Yeah.

19 **DR. MAKHIJANI:** -- some of them are complex-
20 wide and some of them are specific to various
21 areas. But as I see it, I think we've sort of
22 covered the waterfront on these. Do you agree,
23 Jim?

24 **DR. NETON:** Yeah, I agree. I was just about to
25 say the same thing.

1 **MR. PRESLEY:** I just want to make sure
2 everybody's got a chance to say something.

3 **DR. NETON:** These are all related to
4 (unintelligible).

5 **MR. PRESLEY:** Right.

6 **MS. MUNN:** And my concern is that when we have
7 these complex-wide issues that we don't close
8 out what we're doing here until we've pretty
9 much put that to bed, because otherwise we have
10 this same process every time we -- the issue
11 gets raised at every other site.

12 **MR. CLAWSON:** Well, and -- and we were looking
13 at some way of being able to track this, of --
14 of where we're at, because we've signed off
15 quite a few of these because they're a complex-
16 wide issue.

17 **MS. MUNN:** Yeah, this -- this is still another
18 topic that has to --

19 **DR. NETON:** I think we need to differentiate,
20 though. It's certainly complex-wide, but as we
21 talked about, there are specific issues here
22 that need to be identified for NTS.

23 **MS. MUNN:** Right.

24 **DR. NETON:** When I was speaking of complex-wide
25 issue, I was speaking more of generic guidance

1 to dose reconstructors on how to handle data if
2 they had it -- you know, these type of data. I
3 don't know that we have something that says,
4 you know, if you have identified hot particles,
5 then you shall use a one square centimeter area
6 of skin. I would suspect they would do that,
7 but you know, without anything in writing and
8 documented to that effect, I -- you couldn't
9 guarantee that it would happen consistently.
10 Or the fact that the GI tract model, at least
11 in my opinion at this moment, is acceptable for
12 dosimetry of hot particles as they move through
13 that -- that part of the system. Those are
14 just sort of overarching sort of white paper
15 policy issues that we need to put in place --

16 **MS. MUNN:** Yeah.

17 **DR. NETON:** -- which are separate from the
18 site-specific things.

19 **DR. ROESSLER:** Is that something that should
20 come up at the next Board meeting, those issues
21 --

22 **DR. NETON:** Yeah.

23 **DR. ROESSLER:** -- plus you mentioned the risk
24 model for the --

25 **DR. NETON:** The risk model for the skin

1 dosimetry --

2 **DR. ROESSLER:** Seems like that should --

3 **DR. NETON:** -- issue's a little problematic in
4 my mind. I mean I think we have to be
5 conservative in applying the current risk
6 model.

7 **DR. ROESSLER:** Uh-huh.

8 **DR. NETON:** We need to -- we need to take a
9 position on that.

10 **DR. ROESSLER:** Uh-huh.

11 **DR. NETON:** And you're right, Gen, there are a
12 number of issues that at the last Board meeting
13 were brought up -- I think by Bob -- that are
14 overarching issues, and that on -- that's
15 covered on that list that we intend to provide
16 the Board an update as to status of those
17 overarching issues, at least -- at least
18 identify them and where we are. Some of them
19 are just beginning to be identified, some are
20 going through closure, like the oro-nasal
21 breathing issue.

22 **DR. ROESSLER:** On the risk model I think you
23 should -- it should be put on the record that
24 what you are using, if you feel that it is a
25 conservative model, and provide the evidence

1 for that. I agree, I think it is a
2 conservative --

3 **DR. NETON:** Right.

4 **DR. ROESSLER:** -- claimant-friendly model.

5 **DR. NETON:** We do, too, but we'd have to have
6 some scientific, you know, citations we could
7 put in there and document it.

8 **250 DAYS**

9 **MR. PRESLEY:** Comment three, we've gotten into
10 this on two. SC&A has agreed with what NIOSH's
11 interpretation of this are, except when you get
12 down to 2(b) -- or 3(b) where we get into this
13 250-day issue. Jim, will we discuss that
14 further on down through here?

15 **DR. NETON:** Now where does 3(b) get into the
16 250 days (unintelligible) --

17 **MR. PRESLEY:** 3(b), telecon (unintelligible) --

18 **MS. MUNN:** Page 6.

19 **DR. NETON:** It says --

20 **MR. ROLFES:** John Mauro has identified --

21 **DR. NETON:** Yeah.

22 **MR. ROLFES:** -- (unintelligible) of those.

23 **DR. NETON:** Time period will affect 250-day
24 issue. What time period are we referring to
25 there? Refresh my memory. (Reading) TBD will

1 (unintelligible) conflict with large hot
2 particle (unintelligible). I'm not quite
3 seeing the connection between the response and
4 the time period here. (Unintelligible) SEC,
5 yeah.

6 **DR. MAKHIJANI:** Yeah, Jim -- Jim, I -- I think
7 that John was -- was concerned about how the
8 high doses from episodic exposures, or
9 potentially high doses, would affect the 250-
10 day issue. But I think -- I think that should
11 be -- it should be covered in that separate
12 report that's going to be discussed on Friday.

13 **DR. NETON:** Yeah, I think that would be -- that
14 would not be relevant to this discussion. This
15 is a site profile issue and the other one's an
16 SEC issue.

17 **MR. PRESLEY:** Right, the other one's SEC.

18 **DR. MAKHIJANI:** Well, the only way it's
19 relevant is if you can calculate the dose.
20 Right? I mean --

21 **DR. NETON:** Right.

22 **DR. MAKHIJANI:** -- if you can do that, then --
23 then it's -- then it's relevant here.
24 Otherwise it doesn't belong here.

25 **DR. NETON:** Interestingly, this has always been

1 an interesting issue, is that if -- if a hot
2 particle on the skin became a dose that was
3 non-recon-- could not be reconstructed, that
4 would mean skin cancer couldn't be
5 reconstructed -- which are non-presumptive
6 cancers for SEC purposes -- and that would
7 bring in the 22 cancers that are not related to
8 skin, so that's another twist that we need to
9 (unintelligible) worry about, but...

10 **MS. MUNN:** We need to get clearer on that one.

11 **DR. NETON:** Yeah, I think we'd have to have our
12 OGC folks help us out there, but...

13 **MS. MUNN:** Well, I was -- I was a little
14 puzzled by the statement that "may solve both
15 problems during literature review," and I -- I
16 thought our -- who's doing the literature
17 review?

18 **DR. NETON:** Well, we're --

19 **MR. ROLFES:** This was John Mauro's comment, so
20 I would believe that it was SC&A.

21 **MS. MUNN:** Okay.

22 **DR. MAKHIJANI:** Sorry, are we -- we will -- we
23 will touch on this in our 250-day report
24 briefly, in -- in the December report -- in the
25 report that you'll see this Friday, but -- but

1 probably more at length prior to the Board
2 meeting because -- well, frankly, had hoped to
3 see something from NIOSH on the hot particle
4 question by now but we haven't seen anything
5 yet, so we'll have to discuss internally how we
6 -- how we handle it since this has been in --
7 in NIOSH's court. I guess we'll have to take
8 it up in some way as it concerns the 250-day
9 question.

10 **MS. MUNN:** Yeah, this is -- for -- for us here,
11 Arjun, in this group, it poses kind of a
12 problem because it sort of overlaps into the --
13 the Friday group, which is not the same batch
14 of individuals.

15 **DR. MAKHIJANI:** Right.

16 **MS. MUNN:** We -- we have to -- we have to sort
17 of balance that back and forth.

18 **DR. MAKHIJANI:** Right.

19 **MS. MUNN:** Thank you.

20 **DR. BEHLING:** Arjun, this is Hans, I just have
21 a ques--

22 **MS. SMITH:** Excuse me, this is Cheryl Smith.
23 Steve Merwin* found out on the internet
24 yesterday a DOL bulletin, 06-16, and in that
25 bulletin it indicates that we are to -- if

1 there's evidence that an employee was present
2 on site at the NTS for 24 hours in a day for 83
3 days, the employee will have the equivalent of
4 250 workdays and will meet the 250-workday
5 requirement.

6 **MS. MUNN:** Yeah, so DOL has accepted that as
7 policy.

8 **MS. SMITH:** Correct.

9 **MS. MUNN:** Yeah, right.

10 **MS. SMITH:** Okay.

11 **MR. PRESLEY:** That horse is --

12 **DR. NETON:** Yeah, we knew that was coming.

13 **MR. PRESLEY:** -- put back in the barn.

14 **DR. NETON:** I guess I'm still not seeing the
15 connection here. I mean if we can do hot
16 particles, we can do it in the site profile.
17 We talked about identifying areas where hot
18 particles may have existed. We talked about if
19 there were hot particles we would calculate a
20 dose to one square centimeter of skin using
21 Varskin. I mean those methods are all there.
22 I'm not sure --

23 **MS. MUNN:** Well, we may have captured something
24 in this comment that wasn't --

25 **DR. NETON:** But Arjun has been suggesting, and

1 I agree with him, that may be -- that's an
2 issue for Friday that -- that talks about how
3 large these doses may have been from an
4 instantaneous or short-term exposure, less than
5 250 days. That's -- that's -- that appears
6 relevant, but I don't know if that needs to be
7 brought into this discussion.

8 **MS. MUNN:** Yeah.

9 **DR. BEHLING:** The question I have is why is it
10 unique to hot particles? You can have a single
11 inhalation exposure that does not involve hot
12 particles and have a very large dose associated
13 with that incident that is no different from a
14 single large dose of a hot particle, so --

15 **DR. NETON:** Well, but --

16 **DR. BEHLING:** -- (unintelligible) the issue's
17 not unique to hot particles.

18 **DR. NETON:** But if -- if that is the only
19 scenario that could get you to that high
20 dose...

21 **DR. BEHLING:** Well, you can inhale an
22 incredible amount of plutonium in a single
23 event --

24 **DR. NETON:** But did that happen here at NTS.
25 That's the question.

1 **DR. BEHLING:** No, no, but I'm --

2 **DR. NETON:** That's what I'm saying.

3 **DR. BEHLING:** There's nothing unique about this
4 --

5 **DR. NETON:** Well, I --

6 **DR. BEHLING:** -- (unintelligible) hot
7 particles.

8 **DR. NETON:** -- understand that, but what we're
9 saying here is, relevant to NTS and high
10 exposure scenarios that would potentially get a
11 class in with less than 250-day exposures, it
12 appears that SC&A is suggesting that the hot
13 particle issue is one of those high -- high
14 potential scenar-- exposure scenarios. I'd be
15 interested to hear what -- what's talked about
16 on Friday.

17 **MS. MUNN:** Yeah.

18 **DR. NETON:** Is that right, Arjun? I mean
19 that's sort of the connection I
20 (unintelligible) --

21 **DR. MAKHIJANI:** Well, you know, I -- we haven't
22 -- I had -- I'm -- I'm drafting this with --
23 with a couple of other people, and where I am
24 right now is I haven't said anything about it
25 because, as I said, I was hoping to see

1 something -- something from NIOSH/ORAU team
2 about this but -- but we haven't. And so now I
3 have to go back to the drawing board a little
4 bit and -- and talk with John about -- I don't
5 think we'll say very much on Friday, but I hope
6 that we'll discuss it some and be able to
7 present something to the Board, one way or
8 another, so -- so at least they can decide that
9 it is relevant or not relevant. And -- and I
10 don't have an opinion about this at this stage
11 'cause...

12 **MR. PRESLEY:** Okay. Then we will go on down
13 through response 3(c) and get into respon--
14 comment four. And Gene has --

15 **DR. NETON:** Okay, this is an area where -- this
16 is -- this is truly an overarching issue. This
17 is -- let me read the comment here (pause).
18 This is -- this is truly an overarching issue
19 that we've been working on for some time now,
20 and our latest projection is that we'll have a
21 completed report not -- by January. We have an
22 outside contractor working with us on this.
23 They've done an exhaustive review of the
24 literature on this. There's many more papers
25 out there than I was able to find that they've

1 located, and they're putting their heads
2 together and coming up with -- well, there's
3 some writing that the -- the literature for us,
4 and then NIOSH will make an informed opinion at
5 that point about how we're going to do this.

6 **MS. MUNN:** Good.

7 **MR. PRESLEY:** That'll be great.

8 **DR. ROESSLER:** So this is coming up in --

9 **MS. MUNN:** January.

10 **DR. ROESSLER:** -- January?

11 **DR. NETON:** That's -- that's what --

12 **DR. ROESSLER:** And who is the outside
13 contractor?

14 **EG&G**

15 **DR. NETON:** EG&G is working on this for us,
16 (unintelligible) and others, and they're --
17 they're real go-getters. They've pulled out a
18 lot of literature, a couple of feet of
19 literature on this topic. But there's some
20 interesting work out there. This of course is
21 relevant in the context of the Bethlehem Steel
22 site profile review and in respiration at steel
23 mills, so we've actually located these
24 documents of physiological work that's been
25 done on these steel mill workers and such. But

1 that doesn't address the fundamental issue of
2 oro-nasal breathing, which is -- there's a
3 certain percentage of the population that
4 breathes through their mouth, so I think it's -
5 - it's fairly high, it's somewhere around 25
6 percent. So then the question is would NIOSH
7 default in every single dose reconstruction to
8 inhalation through the mouth as the mode of
9 entry, and in many cases that will increase the
10 dose -- lung dose for the intake. And should
11 that be our default position or should we go
12 and try to poll all of these -- all of the
13 claimants to find out if they were mouth-
14 breathers. I think that would be just an
15 impossible task. Or should we incorporate this
16 into the uncertainty, or is it already
17 addressed in the overall uncertainty of the
18 dosimetry model itself. There are some papers
19 out there that suggest -- and I think I've
20 mentioned this before at Board meetings -- that
21 the -- the variability -- the uncertainty --
22 distribution of breathing rates among regular
23 breathers is equal to the distribution of the
24 variability among mouth-breathers versus
25 regular breathers such that the uncertainty --

1 by making one correction you don't fix the
2 problem because the un-- the overall
3 uncertainty is large. And so we have to decide
4 whether we're going to either make it a default
5 position; try to poll workers and find out what
6 they really were breathing, oral or nasal
7 breathing; or try to incorporate the oro-nasal
8 breathing into the overall uncertainty of the
9 dosimetry models and such. Those are -- in my
10 mind those are our three options and -- that
11 we'll weigh in on in January. Not an -- not an
12 easy issue. It's taken a while.

13 **MS. MUNN:** No, it isn't. But I will certainly
14 be pleased to see it put to bed.

15 **DR. NETON:** One also has to consider this in
16 the context of this is just one variable of
17 many in the dose models. You have variability
18 in the size of the individual lungs themselves,
19 so should one now all of a sudden account for
20 the fact that a woman who's petite has an 800
21 gram lung, versus a male who may have a 1,500
22 gram lung, and it brings into play all these
23 issues. And we're going to try to have some
24 sort of a nice scientific discussion of these
25 issues and what this really means overall in

1 the dose reconstruction process.

2 **DR. ROESSLER:** So is the contractor then
3 working on all of these issues or just the
4 percentage of people who are --

5 **DR. NETON:** No, no, they -- they pulled out all
6 the papers on many of the issues that
7 (unintelligible) identified, but it of course
8 remains NIOSH's responsibility to consolidate
9 these into an opinion. They -- they certainly
10 summarized blocks of information for us, but
11 (unintelligible).

12 **MS. MUNN:** That's good. I can see that would
13 be a -- an extremely difficult literature
14 search.

15 **MR. PRESLEY:** Somebody's --

16 **DR. WADE:** We have a bad buzz.

17 **MS. MUNN:** Ah, someone did something nice.

18 **MS. HOMOKI-TITUS:** Thank you.

19 **DR. WADE:** The world is still out there with
20 us. Liz, are you with us?

21 **MS. HOMOKI-TITUS:** Yes, I am. Thank you.

22 **DR. WADE:** Okay, just to make sure the world is
23 with us. Thank you.

24 **DR. NETON:** Comment four I think needs to be --
25 needs to remain as a complex-wide issue and we

1 will provide an update as to where we are at
2 the December Board meeting.

3 **MR. PRESLEY:** December?

4 **MS. MUNN:** Updating.

5 **MR. PRESLEY:** Is that just an update, and then
6 February --

7 **DR. NETON:** We don't plan to be done until
8 January. It will be on the list of issues to
9 have a status update.

10 **MS. MUNN:** Excellent.

11 **MR. ROLFES:** Bob, if it's all right with you,
12 I'd propose that we skip past comment five
13 until Gene Rollins returns.

14 **MR. PRESLEY:** I think that's great. No
15 problems whatsoever with that. Anybody else
16 have a problem?

17 (No responses)

18 We'll go back to comment five when Gene comes
19 in -- comes back.

20 Okay, six has to do with the average air
21 concentration values.

22 **MR. ROLFES:** I think this will also tie in to
23 the resuspension issues, as well.

24 **MR. PRESLEY:** Yeah.

25 **MR. ROLFES:** I think that Gene would probably

1 be best to discuss this issue, so --

2 **MR. PRESLEY:** I think five and six are
3 (unintelligible) --

4 **DR. MAKHIJANI:** Yeah, Mr. Presley, Mr. -- the
5 issue seven also is --

6 **MR. PRESLEY:** Yep, seven's the same way.

7 **DR. MAKHIJANI:** -- is the same way.

8 **EXTERNAL DOSE DATA FOR 1963 AND '66**

9 **MR. PRESLEY:** Okay, get down to eight. Okay,
10 claimant issue -- or comment eight is where the
11 external dose data for 1963 and '66 is not
12 claimant-favorable. The response was accepted
13 on the external dose, and work was completed
14 pending a sign-off of Chapter 6, Revision
15 00PC2. Has that been done yet?

16 **MR. ROLFES:** The work was updated. I believe
17 we received some tables of external dose data
18 from Martha DeMarre and have incorporated into
19 a draft of our Nevada Test Site profile,
20 although I do not believe it has been
21 officially approved by NIOSH yet. Is that --
22 is anyone out there that can comment on that --
23 Cheryl?

24 **MS. SMITH:** This is Cheryl. Yes, it is still --
25 -- there's some modifications. There was a OCAS

1 comment that came back asking for 95th
2 percentile, so the information is being
3 presented in a different form, with slightly
4 different guidance. And hopefully that will be
5 the math-- the mathematics of it was done
6 fairly recently. It's in the process of being
7 checked, and hopefully we'll have the response
8 to OCAS by the end of the week.

9 **MR. PRESLEY:** Okay.

10 **MR. ROLFES:** Thank you, Cheryl.

11 **MR. PRESLEY:** Are y'all also going to get SC&A
12 a copy?

13 **MS. SMITH:** Sure, we can do that.

14 **MR. PRESLEY:** Arjun?

15 **DR. MAKHIJANI:** Yes, Mr. Presley, I -- I
16 presume that it will be posted in some way or
17 circulated in some way when it's done.

18 **MS. SMITH:** Well, ye-- it'll be part of the
19 TBD, it'll be a page change to the Rev. 0 TBD.

20 **MR. PRESLEY:** Okay.

21 **DR. MAKHIJANI:** Now you posted the Rev. OPC-1
22 in June.

23 **MS. SMITH:** Correct.

24 **DR. MAKHIJANI:** So -- so you'll post a page
25 change to that?

1 **MR. PRESLEY:** Somebody says it's complete, we
2 go through and say the Board says it's
3 complete. That, to me, is the -- the action.

4 **MS. MUNN:** Yeah, it is to me. I'm not sure why
5 we have that -- why I asked that question, that
6 -- or why it's on there that way. I guess -- I
7 think -- my memory is that this dates back to
8 the issue we've already touched on, the fact
9 that we don't have any mechanism set up for
10 tracking actions that -- that we've initiated,
11 that we have on a matrix, that we show on the
12 matrix as complete, but then we don't have any
13 tracking mech-- I think that's what that was
14 about, so --

15 **DR. MAKHIJANI:** Ms. Munn, may -- may I ask a
16 question or make a suggestion? I don't know
17 whether Jim Neton or ORAU may agree with this,
18 that it would make this easier, is -- is when
19 we go through this process and, for instance,
20 like that page change is done, if on the page
21 change it indicates that it's a response to
22 such-and-such item, or such-and-such
23 discussion. Then we could all see that it's
24 complete and there wouldn't be a question.
25 Right now I don't think that when the TBD is

1 changed, say in response to matrix issues that
2 I -- that I see that it refers to those issues.
3 It -- it might make tracking sort of very
4 simple.

5 **MS. MUNN:** Yeah, it would for us. It would
6 probably complicate things for others. The
7 thing that concerns me about that suggestion,
8 Arjun, would be that these are public
9 documents. And if we're going to reference
10 something like our matrix, then we're going to
11 have to do something like put the matrix --
12 matrices out there somewhere. And even though
13 they are public documents and can be obtained,
14 it -- it really kind of muddies the water to
15 have our -- our working documents that -- that
16 are -- they're easily misconstrued, I think.
17 There are statements on the working documents
18 are -- are easily misconstrued. But I -- I
19 guess -- I -- I'd prefer to have us think on
20 that for a little while and think about how it
21 would be best for us to -- I guess I would
22 prefer to see a different table entirely as a -
23 - as a working document for the working groups.
24 That document could identify the matrix item by
25 name and by working group, and identify that it

1 was closed. That, I think, would be --
2 certainly for me, as a member of several
3 working groups, that would be easier for me to
4 follow than trying to do so on the -- when the
5 page correction occurs or when the document
6 correction occurs.

7 **DR. WADE:** Right, and this is Lew Wade. We --
8 we've approached this issue several times, but
9 we really haven't finalized it. I think when
10 the Board meets in December we really need to --
11 -

12 **MS. MUNN:** Address that.

13 **DR. WADE:** -- put a procedure in place that
14 we'll follow on that.

15 **MS. MUNN:** Yeah, get it to the ground.

16 **DR. WADE:** So I'll see that we have that as
17 part of our discussion in December.

18 **MS. MUNN:** Thank you.

19 **MR. PRESLEY:** Do we really need this comment
20 then from 9/6/06 on here?

21 **MS. MUNN:** Well, we have both of them there.
22 It may --

23 **MR. PRESLEY:** Well, it's confusing. It says
24 that, you know, the action -- the work is
25 completed, and then we say that we have no way

1 or mechanism to provide us -- that this is
2 complete.

3 **MS. MUNN:** Yeah, maybe we should drop both
4 those off there since, as everybody agrees, it
5 is a -- it is a project-wide issue that we have
6 to address and the whole Board will have to
7 address it. We all know it.

8 **MR. PRESLEY:** Okay, comment ten, TBD does not
9 provide any guidance for pre-1963 external
10 environmental dose. It's been marked as work
11 complete pending sign-off of Chapter 6 revision
12 00PC2.

13 **MS. MUNN:** Yeah, that's the one they just
14 talked about --

15 **MR. PRESLEY:** Right.

16 **MS. MUNN:** -- and so we're -- it's done.

17 **MR. PRESLEY:** And so that right there, to me,
18 has been taken care of.

19 **MS. MUNN:** Yeah.

20 **MR. PRESLEY:** That correct?

21 **MS. MUNN:** Correct.

22 **DR. ROESSLER:** And the comment should come off
23 then about data integrity and reliability.

24 **MS. MUNN:** Yeah.

25 **MR. PRESLEY:** Can we take that off? Can we

1 delete that, Wanda?

2 **MS. MUNN:** Yes, I would suggest that we do, on
3 both that one and comment nine, because that...

4 **CORRECTION FACTORS FOR EXTERNAL ENVIRONMENTAL DOSE**

5 **MR. PRESLEY:** Thank you. Comment 11,
6 correction factors for external environmental
7 dose. There's been a resolution developed with
8 -- in response to 2(b). The action on this is
9 development of correction factors is in
10 progress. Results will be incorporated in
11 Chapter 6, Revision so-and-so. Now this is an
12 ongoing issue, is it not?

13 **MR. ROLFES:** Yes, that's correct. I believe
14 Richard Griffith from ORAU -- he's not
15 available today -- but he has been working on
16 correction factors for -- for various
17 geometries. Cheryl, could you please give us
18 an update on Richard Griffith's correction
19 factor work?

20 **MS. SMITH:** I'd -- I basically cannot. I know
21 he has been working on it. I'm kind of
22 wondering how does this affect our -- our
23 blanket use of AP geometry.

24 **DR. NETON:** Well, I think -- I think AP
25 geometry is a default unless one can identify

1 other unique exposure scenarios at a specific
2 site.

3 **MS. SMITH:** Okay. Okay, so we would be allowed
4 to -- to --

5 **DR. NETON:** Oh, sure, yes.

6 **MS. SMITH:** -- (unintelligible) use this in our
7 dose reconstruction.

8 **DR. NETON:** Yeah, this is not unlike what
9 happened at Mallinckrodt where we -- you know,
10 we proposed to use AP geometry and then SC&A
11 identified, you know, a situation where if you
12 have a spill contamination on the -- on the
13 ground -- a planar source on the ground --

14 **MS. SMITH:** Sure.

15 **DR. NETON:** -- you know, the response of a
16 badge on your chest pocket is not going to be
17 the same as if it was an AP exposure. This was
18 identified at the last Board meeting as a -- as
19 a complex-- an overarching issue. I'm not
20 convinced that it really is. It is -- it
21 certainly affects all sites, but it -- it's
22 such a site-specific situation. I mean every
23 site has the potential for some unique exposure
24 scenario, whether it's overhead piping or
25 spills or some machine that they were using

1 that was unique. I think the -- the answer
2 there is we just need to identify -- and be
3 very careful for each site that we identify
4 those scenarios and account for them in our
5 dose reconstructions, with the default being AP
6 geometry unless we can show otherwise.

7 **DR. MAKHIJANI:** Jim --

8 **DR. NETON:** Yeah.

9 **DR. MAKHIJANI:** -- it may -- you know, since
10 you already did the calculations for -- for
11 Mallinckrodt, I think one of the things that
12 does come up is -- is that same scenario with
13 the planar source below the worker. And it may
14 be useful, since you've already done the
15 calculation -- I don't know, maybe the dose
16 reconstructionists will correct me -- may be
17 useful to have, you know, a 2-page TIB that
18 says when you have a --

19 **DR. NETON:** Right.

20 **DR. MAKHIJANI:** -- job situation like this, use
21 this correction factor.

22 **DR. NETON:** That's a good point 'cause I think
23 the TIB right now is specific for Mallinckrodt.

24 **DR. MAKHIJANI:** Yeah, right.

25 **DR. NETON:** And I'm not -- I'm not sure we

1 wouldn't use this in comment 11. I don't
2 really know what they're doing right now, but
3 it's a good point. We could have a generic TIB
4 that would say for these somewhat common
5 exposure scenarios like a planar source on the
6 ground or overhead piping or, you know -- the
7 two or three that we've done already, we could
8 put it in there and say use this. It's a good
9 point.

10 **MR. PRESLEY:** So we can say that NIOSH will
11 develop a separate TIB for this item?

12 **DR. NETON:** Well, I wouldn't hold up resolution
13 of this comment --

14 **MR. PRESLEY:** No.

15 **DR. NETON:** -- for that. I think that -- that
16 falls maybe into the overarching issues where
17 we would make it easier on dose reconstructors
18 if we develop a generic TIB for --
19 (unintelligible) call -- alternate geometries.
20 I'm not sure I'd want to tie that to this
21 comment resolution 'cause this -- this is just
22 -- really ground contamination I think is
23 what's discussed here, and I don't know why we
24 couldn't just adopt -- adapt the Mallinckrodt
25 approach. We did a full Attila run on that.

1 **MS. MUNN:** We had pretty general consensus
2 about receiving that direction with
3 Mallinckrodt, as I recall.

4 **DR. NETON:** Oh, yeah, I think...

5 **MS. MUNN:** So...

6 **DR. NETON:** But I think that we could just move
7 forward and correct this for this particular
8 situation, but then leave the badging geometry
9 issue on the overarching issue list.

10 **MS. MUNN:** Uh-huh.

11 **DR. NETON:** And I think the resolution of that
12 comment would be to have a generic TIB to talk
13 about a couple of these alternate geometries.

14 **MS. MUNN:** Obviously existing things.

15 **DR. NETON:** Yeah, things that make sense.

16 **MR. PRESLEY:** Okay.

17 **DR. MAKHIJANI:** I agree with that. I think
18 that will just solve this problem faster since
19 there's a very specific issue here to be solved
20 and we already have the solution.

21 **MR. PRESLEY:** Okay, can we say that -- that on
22 this particular comment then that no further
23 action will be needed other than your revision
24 to Chapter 6?

25 **DR. WADE:** Yes.

1 **MR. PRESLEY:** That an overarching --

2 **DR. NETON:** I would just say revise Chapter 6,
3 and then maybe just make a parenthetical note
4 that NIOSH will address this as a complex-wide
5 issue with a -- with a -- development of a TIB.
6 So that wouldn't -- that TIB would not need to
7 be issued to close this particular comment.

8 **MR. PRESLEY:** Right.

9 **DR. NETON:** But I agree with Arjun, it will --
10 it will save time down the line if we do
11 address this with a TIB that gives the dose
12 reconstructor some flexibility just to pull off
13 the shelf the correction factors.

14 **MS. MUNN:** Okay, that's -- but for this item
15 there's no further action by the -- by this
16 workgroup. Right?

17 **DR. NETON:** Well, other than to verify the
18 closure that we actually did the revision --

19 **MS. MUNN:** Chapter 6 is in revision.

20 **DR. NETON:** It's not done, it's in revision.

21 **MS. MUNN:** But it's in revision.

22 **MR. PRESLEY:** In revision.

23 **MR. CLAWSON:** We're back to the thing of
24 tracking.

25 **DR. WADE:** We go there often.

1 **DR. NETON:** I think I wouldn't close it until
2 you've at least heard from us that we've got
3 Chapter 6 revised.

4 **MS. MUNN:** We'll just indicate that it's still
5 in progress.

6 **MR. PRESLEY:** Okay, what I did is I've got
7 NIOSH will address this with a TIB/no action
8 except to accept Chapter 6. Is that correct?

9 **MS. MUNN:** Sort of.

10 **MR. PRESLEY:** Sort of?

11 **DR. MAKHIJANI:** Mr. Presley, I think -- I think
12 the specific thing that -- that Jim was
13 suggesting there is to incorporate the
14 Mallinckrodt calculation into Chapter 6. That
15 would be NIOSH's action, and then the separate
16 action on the TIB.

17 **DR. NETON:** Yeah.

18 **DR. MAKHIJANI:** Which is not connected to the
19 NTS resolution.

20 **MS. MUNN:** Yeah.

21 **MR. PRESLEY:** Okay, so you -- do you agree to
22 do that?

23 **DR. NETON:** I might want to agree exactly to --
24 I'm sure the Mallinckrodt works, but I -- I'd
25 leave it up to the technical people to look at

1 it and make sure it's the same fit. I can't
2 imagine it wouldn't be, although we may have
3 limited the size of the contamination around
4 the worker -- we -- we might need to look at it
5 to see if Mallinckrodt is a perfect fit.

6 **DR. MAKHIJANI:** Yeah, I agree, Jim, now that
7 you mention it, I think it was a restricted
8 geometry which you're going to have to look at.
9 I think you -- you'll have to redo the
10 calculation.

11 **DR. NETON:** Yeah. We may -- we may have to
12 look at it --

13 **MR. PRESLEY:** Or come up with a separate
14 calculation?

15 **DR. NETON:** Yeah.

16 **DR. BEHLING:** What is the assumption -- energy
17 -- photon energy assumptions?

18 **DR. NETON:** For Mallinckrodt? I don't
19 remember.

20 **DR. BEHLING:** I don't (unintelligible).

21 **DR. NETON:** Yeah. Good point, too. I think --

22 **DR. BEHLING:** When you look at fission
23 products, I remember looking at the energy
24 spectrum from fresh fission product, and they
25 have three discrete areas. There's the low

1 energy and there's one that's near the 800 keV
2 and then there's one that is between one and
3 two, which -- which -- if you really deal with
4 very high-energy photons, an infinite planar
5 source would yield a DCF that's basically
6 unity, and therefore you could default to unity
7 and get this whole thing out of the way.

8 **DR. NETON:** I think we need to do some modeling
9 here yet and -- I think the development of
10 correction factors is in progress is still a
11 more --

12 **MR. PRESLEY:** That's going to come out --

13 **DR. NETON:** -- (unintelligible) response.

14 **MR. PRESLEY:** That's going to really come out
15 in Chapter 6. Correct? And so until -- until
16 you all get that out and we accept it,
17 everything sets on hold.

18 **DR. NETON:** I think so.

19 **MR. PRESLEY:** Okay.

20 **DR. NETON:** I think we've got some ideas of
21 starting points, but I don't know really what's
22 been done --

23 **MR. PRESLEY:** Okay.

24 **DR. NETON:** -- (unintelligible) overall focus.

25 **MR. PRESLEY:** Anybody else have anything else

1 before we go to 11(b)?

2 **MS. MUNN:** No, but -- you are going to put in
3 that little parenthetical calling out that
4 possible separate TIB in order for generic
5 alterna-- (unintelligible) geometries as a
6 complex-wide issue.

7 **MR. PRESLEY:** As a complex-wide, I -- I said --
8 what I've got in here is NIOSH will address
9 this with a TIB, no action except to accept 6,
10 Chapter 6, and we need to -- when it's revised.

11 **MS. MUNN:** Well, I guess what I thought was
12 happening was that they would attempt to
13 address the generic issue with a TIB, but this
14 particular one will not be waiting for that.

15 **DR. NETON:** Right.

16 **MR. PRESLEY:** Right.

17 **MS. MUNN:** This particular decision on this
18 site will -- will look at -- at previous
19 decisions that have been made and follow from
20 there.

21 **MR. PRESLEY:** Okay.

22 **DR. NETON:** Did you get that in one sentence?
23 That'd be great.

24 **MR. PRESLEY:** No, I don't have it in one sen--

25 **MS. MUNN:** Twenty-five words or less.

1 **MR. PRESLEY:** Ray's going -- Wanda's going to
2 put that down on hers, so --

3 **MS. MUNN:** Yeah, okay.

4 **MR. PRESLEY:** -- it can be that compact.

5 **DR. MAKHIJANI:** Mr. Presley, I also have notes
6 and I'll share them with you.

7 **CORRECTION FACTORS**

8 **MR. PRESLEY:** Thank you. Oh, 11(b), on this we
9 -- again, we get back to response 2(b), and the
10 action on this was develop the correction
11 factors and the progress. All ri-- and again
12 this has to do with Chapter 6.

13 **DR. NETON:** I'm a little confused as to what
14 this is referring to. NIOSH agrees to develop
15 external dose correction factors for angle of
16 incidence when it is not normal to the badge.

17 **MR. PRESLEY:** That's where we were discussing
18 where the badge was at the waist or where the
19 badge was at the chest or around the neck
20 and...

21 **DR. NETON:** Then this refers back to --

22 **MR. PRESLEY:** 2(b).

23 **DR. NETON:** -- response 2(d).

24 **MS. MUNN:** Uh-huh.

25 **MR. PRESLEY:** I mean 2(d), not 2(b).

1 **DR. MAKHIJANI:** I -- I do not know why this
2 refers to response 2(d).

3 **DR. NETON:** Well, it's -- it specifically --
4 you know, this is gonads, the prostate. I've
5 got the original matrix with me that refers to
6 the page of the review -- correction factors
7 for external -- it says here correction factors
8 for external environmental dose due to geometry
9 of organ relative to badge and angle
10 (unintelligible) dose conversion factor needs
11 to be developed. So environmental dose. Is
12 this still referring to the planar
13 contamination issue again? I mean --

14 **DR. MAKHIJANI:** I -- I don't know. I -- I
15 actually -- let me see, am I on mute?

16 **DR. NETON:** No, you're okay, Arjun. I can hear
17 you.

18 **DR. MAKHIJANI:** I actually -- I'm a little
19 puzzled by this item and why -- why it's a --
20 why it's a separate item, because this is -- it
21 seems like the same as 11(a).

22 **DR. NETON:** Yeah, as a matter of fact I'm
23 looking at the actual review you guys did. It
24 says the organ for which doses are being
25 estimated relative to the position of the

1 external radiation source -- that is organs
2 closer to the ground -- will tend to get a
3 larger dose than those far away, so the organ-
4 specific dose estimation -- this is the same
5 kind of thing, really.

6 **DR. MAKHIJANI:** Yeah, I -- I think that when
7 you consider 11(a) you can consider 11(b) part
8 of it. I do not remember why this refers back.
9 I guess -- I guess you'll have to ask Gene why
10 it refers back to 2(d) because it's never come
11 up. I guess I missed that piece of fine print.
12 It says that in 11(a) also, and I also don't
13 understand that in relation to 11(a).

14 **MS. MUNN:** Well, but 2(d) is talking about the
15 issue of beta/gamma dose to the gonads and
16 possibly prostate being evaluated in light of
17 the dose estimating...

18 **DR. NETON:** Yeah, here's -- here's -- I've got
19 a little more intelligence on page 71 -- I'm
20 sorry, Wanda.

21 **MS. MUNN:** No, go ahead.

22 **DR. NETON:** This has to do with this whole AP
23 and -- and the --

24 **MS. MUNN:** Yeah.

25 **DR. NETON:** -- the geometry, and it's

1 acknowledged in the review that the adoption of
2 the AP geometry for this exposure is claimant
3 favorable for photon energies above 250,
4 positive bias around 20 percent will be seen
5 with respect to the rotational geometry, but
6 then they argue that for best-case dose
7 estimates, NIOSH has to correct for the general
8 dose conversion factors published in the
9 procedures.

10 Boy, that's -- we -- we could I guess argue
11 that that's as far as we're going and we won't
12 be able to do any better than that and that's
13 best case. I mean I don't know. I guess
14 you'll have to leave this one open, now that I
15 understand it better, but I would get the
16 reference to 2(d) out of there.

17 **MS. MUNN:** Yeah, but it appears to be.

18 **MR. PRESLEY:** It's still -- I believe it's part
19 of (d).

20 **DR. MAKHIJANI:** I think this occurs on page 71
21 of our review --

22 **DR. NETON:** Right, that's what I just read.

23 **DR. MAKHIJANI:** -- and I'll -- we might need to
24 go back to the fuller explanation 'cause
25 sometimes these short things get so cryptic

1 that --

2 **DR. NETON:** Right.

3 **DR. MAKHIJANI:** -- it's hard to figure out what
4 the original point was.

5 **MR. PRESLEY:** Well, it says --

6 **DR. NETON:** Well, the bottom line says we're
7 going to develop correction factors --

8 **MR. PRESLEY:** Right.

9 **DR. NETON:** -- but I'm not --

10 **MR. PRESLEY:** Right.

11 **DR. MAKHIJANI:** Yeah.

12 **DR. NETON:** -- sure what we're doing --

13 **DR. MAKHIJANI:** I think so. That's the main
14 point that's made in that finding in 5.7.6
15 that's referred to. The 5.-- 5.3.6, the other
16 one, is an environmental dose finding, which I
17 think is covered elsewhere and we've said that
18 omitting environmental dose for badged workers
19 is not an issue, shouldn't be taken into
20 account --

21 **DR. NETON:** Right.

22 **DR. MAKHIJANI:** -- so that -- that's been
23 resolved separately, I think.

24 **MR. PRESLEY:** And we -- can we leave this that
25 NIOSH will develop correction factors?

1 **DR. NETON:** I think so, and we'll go back and
2 look at pages 43 and 71 of the original review
3 report and make sure that whatever we do is
4 consistent with the comments that are made
5 there.

6 **25/75 SPLIT**

7 **MR. PRESLEY:** Okay, 11(c) has to do with the
8 25/75 split, and NIOSH will provide an
9 explanation of the split on a best-estimate
10 basis. Have y'all had a chance to do any work
11 on that yet?

12 **MR. ROLFES:** Cheryl, I have this marked as the
13 work has been completed here.

14 **MS. SMITH:** Yes, and I -- I can't speak to
15 that. Grif and -- and I know that the 25/75
16 split is in the TBD and that we do use it, but
17 -- what the explanation has been, but I can
18 follow up and ask Jack if he's -- I don't know
19 that he's in today. He may be actually back in
20 Cincinnati.

21 **MR. PRESLEY:** Cheryl, this is Bob Presley. It
22 says that it -- that it's in the Chapter 6
23 revision.

24 **MS. SMITH:** Okay.

25 **MR. PRESLEY:** And we should get that when the

1 revision comes out.

2 **MR. ROLFES:** Correct, when NIOSH approves it.

3 **MR. PRESLEY:** Right.

4 **MS. SMITH:** Right.

5 **MR. PRESLEY:** Okay.

6 **MS. MUNN:** So it's just awaiting approval.

7 **STATISTICAL METHODS**

8 **MR. PRESLEY:** Let's go ahead. Okay. All
9 right, (e) -- 11(d), NIOSH will develop
10 statistical methods to --

11 **DR. ROESSLER:** Yes, I'd be interested in
12 knowing what that means, that NIOSH will
13 develop statistical methods to determine if
14 practice was widespread.

15 **DR. NETON:** Something to do with the workers
16 not wearing their badges --

17 **MS. MUNN:** Hiding their badges (unintelligible)
18 --

19 **MR. PRESLEY:** Hiding their badges.

20 **DR. NETON:** We had talked about this before in
21 the context I think of Rocky Flats and then
22 some of the other sites -- Hanford, I think,
23 there's also an issue. Our thought on this was
24 if we have particularly robust data and data
25 that approached -- many times these workers

1 were asserting that they were told not to wear
2 their badge after they reached the detect--
3 reached the exposure limit so they could just
4 continue to keep working and report no dose
5 over the limit. And our thought on that was if
6 we have sufficient data -- this didn't pan out
7 for Rocky Flats and I don't know if it would
8 for NTS, but with sufficient data you could
9 look at the distribution of workers' badges and
10 see if they actually continued to rise towards
11 the limit or started to tail over and flatten
12 off as they got to the limit, which would --
13 which would be evidence, not conclusive
14 evidence but some evidence that that occurred.
15 If the slope of that cumulative dose over the
16 monitoring period -- over the year continued to
17 rise, then it would not tend to support the
18 theory that the workers were leaving their
19 badges in the rack because they continued to
20 receive incremental dose. But that -- that
21 would work for internal.

22 **MS. MUNN:** Well, the continu-- the converse
23 argument would be that that could also be taken
24 as indication that their supervision recognized
25 their approaching of the limits and changed

1 their work pattern so that they would not
2 continue --

3 **DR. NETON:** True, it's not (unintelligible).

4 **MS. MUNN:** -- to be exposed, so it -- there --
5 it's just as indicative in one direction as it
6 is another.

7 **DR. NETON:** I'm aware of one case -- as a
8 matter of fact, one of the first cases we ever
9 did at NTS -- where the worker -- worker's
10 badge results stopped increasing, they said he
11 was taken out of the workplace, when in fact he
12 was monitored for tritium and his tritium
13 values were as high as ever over in the next
14 six months, so --

15 **MS. MUNN:** Yeah.

16 **DR. NETON:** -- that was pretty conclusive
17 evidence in our mind that --

18 **MS. MUNN:** Right.

19 **DR. NETON:** -- he was still working.

20 **MS. MUNN:** Then you have a basis for making
21 your --

22 **DR. NETON:** So you know --

23 **MS. MUNN:** -- decision.

24 **DR. NETON:** -- statistical methods may be a
25 little -- a little too loose, but --

1 **DR. ROESSLER:** I understand what it means --

2 **DR. NETON:** -- (unintelligible) concept --

3 **DR. ROESSLER:** -- now, yeah.

4 **DR. NETON:** -- that we're trying to play with.

5 I don't know whether these will come to
6 fruition or not. And then if they don't pan
7 out, you're -- you're in a situation where,
8 you know, how -- how to deal with it. And then
9 you get into this sort of weight-of-the-
10 evidence approach.

11 **MS. MUNN:** Well, when you have a bioassay, you
12 really don't --

13 **DR. NETON:** (Unintelligible) bioassay.

14 **MS. MUNN:** -- wring your hands about it very
15 much.

16 **DR. NETON:** Right. All we could do with this
17 analysis was to determine if the practice was
18 or was not potentially widespread.

19 **MS. MUNN:** Yeah.

20 **DR. NETON:** It still wouldn't preclude the
21 situation where a couple of isolated workers
22 may have done that. In those cases where
23 workers do assert that, though, we would -- you
24 could assume them to be unmonitored at that
25 point and then go to coworker models. That

1 would be an approach. We've done that, I
2 think.

3 **MR. PRESLEY:** Can we highlight this as a
4 complex-wide issue and that no other action
5 would be required by the working group?

6 **MS. MUNN:** Yes, that's what we've got.

7 **DR. NETON:** Well --

8 **DR. MAKHIJANI:** Now Jim, am I to understand
9 that this statistical analysis is being done
10 for NTS?

11 **DR. NETON:** I don't know, Arjun, that's -- I'm
12 kind of getting into this a little later, but I
13 think it says that's what we're doing, so --

14 **DR. MAKHIJANI:** Yeah, because I remember you
15 were saying this some time back --

16 **DR. NETON:** Yeah.

17 **DR. MAKHIJANI:** -- or someone saying that would
18 be the approach, and I think it would be very
19 useful to see that.

20 **DR. NETON:** Right. And you know, even if we
21 develop this complex-wide -- it's a complex-
22 wide issue, but the approach we take to
23 evaluate this issue is site-specific -- again,
24 as is usual. And we do have to address this
25 for NTS. I mean us coming up with a potential

1 approach to solve this issue would not work.

2 We'd have to apply it to NTS.

3 Does anyone on the ORAU side that's on the
4 telephone know if this is being worked on at
5 this moment?

6 **MS. SMITH:** This is Cheryl. The coworker doses
7 that were developed that are in that page
8 change that was referred to earlier -- probably
9 this -- this was data that Jack Fix got from
10 Martha, and I think other than going through
11 lots and lots of individual records, it's as
12 good as it's going to get. And I'm not sure
13 that this type of analysis that Arjun is
14 speaking of here would be possible with that
15 data.

16 **DR. NETON:** Well, be careful --

17 **MS. SMITH:** Now perhaps -- well, you know,
18 Martha could be approached to see if there's
19 some other ways to have -- to -- to retrieve
20 the data so that you -- we could get it in a
21 more specific format.

22 **DR. NETON:** Well, we need to go back and look -
23 - I know, for example, that all of the claimant
24 data that we've received from DOE, in general,
25 has been put into workbooks. So presumab--

1 **MS. SMITH:** Correct, and --

2 **DR. NETON:** -- presum--

3 **MS. SMITH:** -- I actually -- when we were
4 trying to figure out how we were going to
5 assign doses prior to 1957, I had one of the
6 data entry people here go through all the cases
7 with -- claimant cases that had data between
8 '51 and '57 and put it into a spreadsheet, and
9 it's not -- it doesn't have any statistic--
10 statistical validity or it's just not strong
11 enough. I can forward that to you if you'd
12 like.

13 **DR. NETON:** I'd like to see that. Let's --
14 let's -- I guess the answer is that we're
15 working on it here and --

16 **MS. SMITH:** Okay.

17 **DR. NETON:** -- we will get back to you.

18 **MR. PRESLEY:** Okay.

19 **DR. NETON:** This is a -- this is a real problem
20 for -- for a number of sites.

21 **MS. MUNN:** But it would seem your approach that
22 you outlined makes good sense. If you have
23 bioassay, it's not an issue. If you don't have
24 bioassay and do have data from coworkers, then
25 obviously it would be a logical thing to do.

1 **CORRECTION FACTORS WITH JOB MATRIX**

2 **MR. PRESLEY:** Okay, 11(e), correction factors
3 have been developed and can be applied in
4 conjunction with job matrix. Chapter 2, we're
5 still waiting on revision six to come out, but
6 I don't see any action on this whatsoever by --
7 this is -- could probably be marked complete,
8 pending the revision of the -- of Chapter 6.

9 **DR. MAKHIJANI:** Mr. Presley, is is -- it is --
10 there -- there isn't any action on the part of
11 the working group, I guess, until -- until the
12 revision is complete.

13 **MR. PRESLEY:** That's correct.

14 **MS. MUNN:** Yeah, agreed.

15 **MR. PRESLEY:** I agree.

16 **MS. MUNN:** Break time.

17 **MR. PRESLEY:** All right.

18 **DR. ROESSLER:** (Unintelligible) on till after
19 break.

20 **MR. PRESLEY:** Why don't we have a break for
21 about 15 minutes and be back in here at five
22 after 11:00. Is that all right with everybody?

23 **DR. ROESSLER:** Sounds good.

24 **MS. MUNN:** Okay.

25 (Whereupon, a recess was taken from 10:49 a.m.)

1 to 11:09 a.m.)

2 **DR. WADE:** Could I ask those on the line to
3 identify themselves? Who's on the line with us
4 now -- telephone line?

5 **MS. HOMOKI-TITUS:** This is Liz Homoki-Titus
6 with Health and Human Services.

7 **DR. WADE:** Hi, Liz.

8 **DR. MAKHIJANI:** This is Arjun.

9 **DR. WADE:** Hi, Arjun. Thank you for coming
10 back. Cold and rainy here in Cincinnati.

11 **MS. SMITH:** This is Cheryl.

12 **DR. WADE:** Hello.

13 **MS. SMITH:** With the ORAU team.

14 **DR. WADE:** Good. Is Sandy Schubert on the line
15 with Senator Reid?

16 **MR. MCDONOUGH*:** This is Alex McDonough from
17 Senator Harry Reid's office. Sandy has been in
18 and off the call.

19 **DR. WADE:** Now we -- we had the matrix sent to
20 Sandy.

21 **MR. MCDONOUGH:** Okay. I'll let her know that
22 you said --

23 **DR. WADE:** Yeah, so I --

24 **MR. MCDONOUGH:** -- that you sent it and ask her
25 to send it to me.

1 (unintelligible) exposure. Oh, action on this
2 is revise environmental versus occupational
3 exposure, add guidance to Chapter 5 revision as
4 needed. Mark and Jim, do y'all want to comment
5 on what's been done on that?

6 **MR. ROLFES:** Let's see, Cheryl, do you know --
7 have you spoken with Vern Cath-- or I'm sorry,
8 Ron Catherine* or Vern Shockley* about iodine-
9 131 venting? I don't know what the status of
10 that is, Cheryl.

11 **MS. SMITH:** I'm sorry. Ron Catherine has
12 provided some guidance for iodine and it has
13 been incorporated into the revision to the TBD
14 that will be, you know, for OCAS review as soon
15 as it's released or -- I -- I believe it's
16 going to go in -- yeah, it's going to go into
17 Chapter 5.

18 **MS. MUNN:** Chapter 5, good.

19 **MR. PRESLEY:** So we need to be looking for a --
20 a document to be coming out from OCAS. Is that
21 correct?

22 **MS. SMITH:** Well, it hasn't gone through
23 internal review at this point, so I don't know
24 what the time line is on that. Is there a --
25 is it part of one of the Gantt charts, Mark, do

1 you know?

2 **MR. ROLFES:** I'm not certain.

3 **MS. SMITH:** Okay.

4 **MS. MUNN:** But that Chapter 5 revision is
5 essentially done. It's -- again --

6 **MR. ROLFES:** Yeah, it -- it sounds like --

7 **MS. MUNN:** -- it's just waiting --

8 **MR. ROLFES:** -- the work has been completed,
9 we're just awaiting for final review and
10 approval.

11 **MS. MUNN:** Right.

12 **DR. BEHLING:** Is there concern about other
13 radioiodines besides 131? Arjun?

14 **DR. MAKHIJANI:** You know, I -- I cannot
15 remember if we've raised that in -- in our site
16 profile review. Let me look at it. I don't --
17 I don't remember. These -- these comments get
18 awfully narrow in the matrix and so it's hard
19 to keep track of it without going back. I'll --
20 -- you can go on with the discussion. I'll look
21 at it and then --

22 **DR. BEHLING:** Yeah, because if it's -- if -- if
23 it's around (unintelligible) fresh fission
24 product inventory that's being vented, going
25 back to my work that I just completed -- as you

1 know, work for the CDC -- on that issue in the
2 Marshalls, the people's exposure who were close
3 to BRAVO, when you look at the thyroid doses,
4 the iodine 131 for those closest to -- to Test
5 BRAVO were actually only one-sixth of the total
6 thyroid dose from the other iodines -- 132, 3,
7 4 and 5. So in essence, you may be overlooking
8 a larger dose from shorter-lived radioiodines
9 if you focus on iodine-131, depending on the
10 age of the -- the release.

11 **DR. MAKHIJANI:** Yeah, I -- I don't see that we
12 raised the other iodines, at least in this
13 finding. It may be -- are you thinking of
14 what, 135 or --

15 **DR. BEHLING:** Well, yeah, they -- they range
16 from -- from, you know, a short -- 20 minutes
17 to 20 hours. But as I said, the yield for some
18 of the other iodines is higher and therefore
19 giving you a differential higher dose. As I
20 said, I'm going now on the work I'm doing for
21 the Marshall Islanders, and some of their
22 exposures on Rongelap -- the total thyroid was
23 actually six times higher from the others than
24 it was for iodine-131 by itself.

25 **DR. MAKHIJANI:** Yeah. No, I -- we may not have

1 raised this. It may have slipped through a
2 crack here.

3 **MS. MUNN:** Well, how -- how does --

4 **MS. SMITH:** This is Cheryl. Ron's writeup
5 includes most of the short-lived daughters, so
6 it's -- it's not like it is just addressing
7 iodine-131.

8 **DR. BEHLING:** Yeah, and --

9 **DR. MAKHIJANI:** Oh, great.

10 **DR. BEHLING:** -- tellurium comes into play here
11 because you will see iodine decaying --
12 tellurium decaying into iodine.

13 **MS. MUNN:** Now how -- how is this item
14 particularly different than comment one?
15 Because in comment one, you know, where we
16 started from this site was with a list of
17 radionuclides that SC&A felt had not been
18 addressed. And I thought we were going back
19 and pretty much covering the waterfront on
20 everything. The 131 came up as a question of
21 venting, I think, but were these -- were the
22 iodines and the other short-lived isotopes that
23 are of concern in this item not covered in the
24 big, broader issue with Table 1? Do -- do we
25 know, because I don't have the original table

1 in front of me.

2 **DR. BEHLING:** I don't know. In fact, I'm not
3 even familiar with (unintelligible) --

4 **DR. MAKHIJANI:** Oh, Ms. Munn --

5 **MS. MUNN:** Yeah.

6 **DR. MAKHIJANI:** Ms. Munn, this is Arjun. I --
7 I think that this finding was in the context of
8 environmental dose and workers who may not have
9 been monitored, and how environmental dose from
10 ventings was going to assigned. So it was a
11 rather specific thing rather than a more
12 general discussion of which radionuclides were
13 relevant at the test site as a whole.

14 **MS. MUNN:** Okay, so this -- this would be case-
15 dependent then.

16 **DR. MAKHIJANI:** Yes.

17 **MS. MUNN:** Yeah.

18 **DR. MAKHIJANI:** So for unmonitored workers who
19 didn't have the internal monitoring for iodine
20 in cases of venting.

21 **MS. MUNN:** Okay. Thank you.

22 **MR. CLAWSON:** (Unintelligible)

23 **MR. SMITH:** Arjun, this is Billy Smith. I had
24 a question regarding what you mean by
25 unmonitored workers. Are you talking about

1 workers who did not submit urine samples?

2 **DR. MAKHIJANI:** Well, workers who did not
3 submit urine samples or, in the case of iodine,
4 presumably the thyroids were not monitored in
5 case they were in the path of a plume or
6 something like that.

7 **MR. SMITH:** So it's -- it's either a direct
8 thyroid counting or were not bioassay sampled -
9 -

10 **DR. MAKHIJANI:** Yes.

11 **MR. SMITH:** -- is your definition of an
12 unmonitored worker in this case.

13 **DR. MAKHIJANI:** Yes.

14 **MR. SMITH:** Okay.

15 **MR. PRESLEY:** Okay, what I put down on that was
16 working group will review when revision to
17 Chapter 5 comes out. Again that's one of these
18 ongoing items. Everybody agree?

19 **DR. MAKHIJANI:** I beg your pardon, Mr. Presley?

20 **MR. PRESLEY:** Arjun, what I put down was
21 working group will review when revision to
22 Chapter 5 comes out.

23 **DR. MAKHIJANI:** Right.

24 **MR. PRESLEY:** Okay?

25 **DR. MAKHIJANI:** Yes.

1 **NO INTERNAL MONITORING DATA UNTIL LATE '55 OR '56**

2 **MR. PRESLEY:** All right. Comment 14, there's
3 no internal monitoring data until late '55 or
4 '56. Some plutonium from then on some -- some
5 -- I guess that's "and" -- and some tritium
6 from 1958 plutonium, tritium and mixed fusion
7 products. This has to do with item 5, which
8 Gene is not here. We have not discussed this
9 yet.

10 **MR. ROLLINS:** Bob, I'm back on the line now.

11 **MR. PRESLEY:** Great.

12 **MS. MUNN:** Just in time.

13 **DR. WADE:** Thank you, Gene, for joining us.

14 **MR. PRESLEY:** Hey, Gene, have you moved in yet?

15 **MR. ROLLINS:** I'm now a proud homeowner once
16 again. I'm going to be moving on Friday, thank
17 you. I never saw so many papers to sign in my
18 life.

19 **MR. PRESLEY:** Well, thank you for coming back.
20 We appreciate you very much.

21 Does anybody have any comment on 14, or do we
22 need to, since Gene's here, go back and -- and
23 try to pick up five and -- and 12 before we go
24 on?

25 **DR. ROESSLER:** Seems like we should go back to

1 five, start there.

2 **MR. PRESLEY:** Gene --

3 **MS. MUNN:** The only thing I would ask is that
4 we kind of take a quick look at the other items
5 that we haven't addressed yet today to see how
6 many of those are incorporated in that Chapter
7 5 revision so that we don't have to keep going
8 back to it.

9 **MR. PRESLEY:** Well, we know 14 is.

10 **MS. MUNN:** We know 14 is.

11 **MR. PRESLEY:** And let's see --

12 **MS. MUNN:** We have -- 18 is. So is 17.

13 **MR. PRESLEY:** Right.

14 **MS. MUNN:** And there -- I thought there was one
15 other -- no, there are two others, 23 --

16 **MR. PRESLEY:** 23 and 24 -- no, 23(b).

17 **MS. MUNN:** -- 23(b), yeah.

18 **MR. CLAWSON:** This is Chapter 4 -- right? -- or
19 Chapter --

20 **DR. MAKHIJANI:** Are we talking about things
21 that relate to comment number five, the
22 resuspension model?

23 **MR. PRESLEY:** Right, what -- what we were going
24 to do, Arjun, since Gene's back, is go back and
25 start on five. 'Cause I think by doing that we

1 may ask -- answer some questions for some of
2 these later issues.

3 RESUSPENSION MODEL

4 Five has to do with the resuspension model and
5 resuspension factors, and Gene, are you ready
6 to discuss this with us --

7 **MR. ROLLINS:** Yeah, we can start talking about
8 this.

9 **MR. PRESLEY:** -- (unintelligible) your finding,
10 sir?

11 **MR. ROLLINS:** I've done several things. Number
12 one, Dr. Anspaugh provided me with his
13 perspective on what the problems are associated
14 with, number one, my model -- my resuspension
15 model. And he also provided some information
16 about some of the items we should consider in
17 doing dose reconstruction regarding resuspended
18 contaminated material. Has the Board had an
19 opportunity to read this paper?

20 **MS. MUNN:** I have not.

21 **MR. PRESLEY:** Me either.

22 **DR. MAKHIJANI:** Ms. Munn, it was sent -- it was
23 sent out somewhere in the first part of
24 October. It's dated October 8, 2006.

25 **DR. ROESSLER:** I've read it, and I see I have

1 lots of little tabs on it, but I haven't
2 revisited it so I think I'd have to do some
3 studying.

4 **MR. PRESLEY:** Oh, I have read that, too.

5 **DR. ROESSLER:** Maybe you can point out
6 pertinent things in it.

7 **MS. MUNN:** Okay.

8 **MR. PRESLEY:** Yeah, I've probably got it on
9 here.

10 **MS. MUNN:** How would I have filed that?

11 **DR. ROESSLER:** One of the notes I have is -- it
12 says need -- we need to have NIOSH and SC&A,
13 along with Lynn Anspaugh's input, do some give-
14 and-take at a workgroup meeting. Maybe this is
15 it.

16 **DR. MAKHIJANI:** Yeah. Dr. Anspaugh I think is
17 in Tahiti, but --

18 **DR. ROESSLER:** We could all go there with --

19 **MR. ROLLINS:** Yeah, I wish I was with him.

20 **DR. MAKHIJANI:** He said he was having a good
21 time.

22 **MS. MUNN:** Maybe we should all go over and
23 discuss this with him.

24 **MR. ROLLINS:** I could speak in some general
25 terms about some of the items that he has

1 brought up. And first of all, I would preface
2 all my remarks by saying that I don't disagree
3 with -- with any of the technical issues that -
4 - that Dr. Anspaugh has -- has brought up.
5 These are -- these are things that we have all
6 thought about, but we have also tried to -- to
7 work towards a workable solution. And my -- my
8 original attempt was to try to come up with a
9 method that would provide something that we
10 could hopefully agree on would be a reasonable
11 overestimate or a reasonable underestimate,
12 depending on how we intended to use the -- the
13 material.

14 One thing I did do was go back and develop a
15 mass loading model based on full* contamination
16 data and mass loading factors that we -- that
17 are available for the Nevada Test Site. What -
18 - what my simplified -- and I will call it a
19 simplified mass loading model -- did not take
20 credit currently for any decay of short-lived
21 radionuclides, which was one of the major
22 concerns that Dr. Anspaugh voiced in his paper.

23 **MS. MUNN:** Uh-huh.

24 **MR. ROLLINS:** That can be done.

25 **DR. ROESSLER:** Yeah.

1 **MR. ROLLINS:** It becomes somewhat more
2 difficult when you consider that there were
3 multiple episodes that each one would have to
4 be handled as far as decay correction
5 differently and you can -- you can begin to see
6 how complicated it could be. But I think there
7 are some things we can do to simplify these
8 calculations by doing some bounding
9 calculations.

10 He has provided a list of radionuclides which
11 he says are important 21 hours after a
12 detonation. And one thing that I -- that I
13 could do that would not take an unreasonable
14 amount of time would be to go through these
15 radionuclides, compare them with their relative
16 abundance and their importance to dose --
17 taking those two factors together, I could -- I
18 could screen these to see where the potential
19 dose is coming from. And I think, hopefully,
20 we could all agree that if I can demonstrate
21 that we're capturing 90 or 95 percent of the
22 radionuclides that contribute significantly to
23 dose, then maybe a lot of these would drop out
24 and the problem would become a little more
25 tractable. I think I could do that in a

1 reasonable amount of time. Because a lot of
2 these radionuclides are short-lived, I don't
3 think their contribution to dose is going to be
4 of much significance. But we need -- as he
5 pointed out in his paper, we need to show that
6 to be the case.

7 Back to my mass loading model, you may remember
8 in a previous discussion that we had I had
9 average and maximum intakes based on my
10 resuspension model currently in the TBD. The
11 average intakes were kind of small and really
12 of no dose significance. I think my original
13 proposal was well, we can use those in a case
14 where an individual is clearly compensable
15 'cause it won't make any difference. The
16 maximum intakes, on the other hand, that I
17 originally provided from my resuspension model
18 are a couple of orders of magnitude higher than
19 the average, and I felt like that that would
20 provide a reasonable overestimate.

21 Well, in going back and applying a site-
22 specific mass loading model, what I've learned
23 is that my original maximum intakes in
24 becquerels per year would actually increase by
25 a factor of ten over what I had previously had

1 as a maximum intake. Now that's with no decay
2 correction, but I think what we can do, because
3 the relative dose is fairly small -- in fact
4 it's very small, because -- and I think -- I
5 don't -- I don't remember whether I gave you --
6 it seems to me that I provided these numbers
7 for you in a previous --

8 **MR. ROLFES:** Gene, that's correct. This is
9 Mark Rolfes. I do have -- I believe for the
10 August 8th call -- I take that back. Back in
11 July you did provide some dose tables
12 illustrating the maximum intake in associated
13 doses to various organs, and then the factor of
14 ten higher as well.

15 **MR. ROLLINS:** Yes. In fact the example that I
16 used to illustrate this was my assumption was
17 that an individual had ten years of the maximum
18 intake values provided in the table, and the
19 doses that I provided in the table were
20 actually 30-year integrated doses. And I also
21 provided a table that showed what would happen
22 if you increased these doses by a factor of
23 ten, which is -- which would, by -- I guess by
24 accident, look very much like my current mass
25 loading propos-- loading model proposal. And

1 what it shows is that, with the exception of
2 the respiratory tract organs and the case of
3 the liver for uranium and bone surfaces for
4 plutonium, the consequences to other organs in
5 the body is very small. We're talking several
6 millirem to maybe as much as 300 millirem.
7 That's for ten years of exposure.
8 So that helps to -- helps you, hopefully, to
9 get an idea of the magnitude of the problem and
10 what potential effect that it would have on a
11 probability of causation, for example.
12 Now in the case of lung, for example, if you
13 used my current proposed, simplified mass
14 loading model -- which would give us ten times
15 the intakes that were previously published in
16 the TBD as maximum intakes -- ten years of
17 exposure at a 30-year dose to the lung would
18 work out to nine and a half rem. Now from my
19 experience in using IREP and determining
20 probability of causation, for an individual
21 with a reasonable amount of latency period --
22 which is typically ten to 15 years -- and for a
23 previous smoker, which in my experience, 99
24 percent of the Energy employees were previous -
25 - former smokers, it takes about 60 to 65 rem

1 to exceed 50 percent probability of causation.
2 So another nine rem from resuspension could be
3 important to determining compensability.
4 Doses to the ET-2 region and the LNET regions
5 are even higher than that. They would run
6 about 20 -- 16 and 20 rem, respectively. But
7 some of those cancers associated need much more
8 dose than that to go compensable.
9 So I guess I've kind of, in a way, outlined
10 where we are as to the importance or potential
11 importance of resuspension. And now I -- now
12 I'm really at a loss as to where we should go
13 from here, how much resource should be expended
14 because, you know, this is a problem that if
15 you -- we can't know all the variables. We
16 can't know where a person was. We can't know
17 what the atmospheric conditions were at the
18 time that the individual was there. There's a
19 lot of uncertainty in this. But as I pointed
20 out, there are a few cancers that could be
21 affected if we become too claimant-favorable.
22 And so I can open this up to discussions and
23 maybe we can get some ideas of what a
24 reasonable path forward would be for this
25 problem.

1 **DR. ROESSLER:** Well, Gene, I think the comment
2 that's shown in the matrix about the working
3 group expressing concern about how significant
4 the impact was to go through all of this was
5 mine, because I remember that table -- I don't
6 have it in front of me now, but there were huge
7 negative exponents in some of the doses, and
8 you've just verified that for most of the
9 organs it's on the order or maybe millirem and
10 -- at a maximum calculation. So my -- my
11 concern at that time was that a great deal of
12 resources and money be expended on this, when
13 there'd probably be more important things to be
14 working on. But you now brought up this dose
15 to the lung as a potential one that could be
16 important, so I -- right now I'm not sure,
17 either, where we should recommend you go on it.

18 **MR. ROLLINS:** Well, I can throw out some ideas,
19 and maybe we can discuss the acceptability of
20 some of these ideas. For example, at Hanford
21 we have situations where we had construction
22 workers that were not monitored, so we
23 developed a coworker study that would allow us
24 to assign intakes of various radionuclides,
25 based on those people that were monitored --

1 based on that experience. And then we said,
2 because of some uncertainty, we can -- we can
3 double that, and we're currently doing that at
4 Hanford. There were, I suspect -- and maybe
5 Billy could comment on this, but there were
6 probably a fair number of people who were --
7 had a potential for being exposed, but were not
8 on a bioassay monitoring program. And of
9 course this whole premise of this environmental
10 -- occupational environmental chapter is that
11 there were people out there walking around
12 being exposed that nobody ever really gave it
13 much thought. But maybe Billy did give it a
14 lot of thought, I don't know. Maybe Billy has
15 some thoughts on this that could help move this
16 discussion forward.

17 **MR. SMITH:** Well, Gene, let me make a comment
18 here. Of course all of you have been to the
19 test site and know the size of the area that
20 we're talking about. And of course you know
21 everybody was monitored with external
22 dosimeters. We only did internal monitoring,
23 either bioassay sampling or whole body
24 counting, on a select subset of people,
25 primarily the radiation safety personnel.

1 Hence the RCTs, radiation monitors, health
2 physicists, industrial hygienists who worked in
3 radiological areas.

4 We also chose another subset of people that we
5 monitored, which were the WSI guards, those had
6 permanently-assigned stations, and also the
7 rolling guards because they were all over the
8 test site all of the time. And based on the
9 kinds of data that we got from doing the
10 bioassay sampling and whole body counting of
11 these individuals, then you could probably come
12 up with some -- some -- use these as a study to
13 do a coworker model for those people who were
14 not sampled and not whole body counted.

15 But I can tell you right now that the number of
16 positive doses or exposures that you would get
17 from people that -- from the two subsets that
18 we sampled were extremely, extremely small.

19 And the other thing that we had that nobody's
20 seemed to mention today is that we had a 24/7
21 environmental surveillance program where we air
22 sampled the air over the entire test site. We
23 had several hundred environmental air samples
24 that were running 24 hours a day, and those
25 were analyzed on a quarterly -- monthly and

1 quarterly basis using very large volumes of
2 air, and we were able to measure what the
3 plutonium concentrations were and the fission
4 product concentrations were in the
5 environmental air. And these concentrations,
6 again, were extremely low. All this is
7 documented in the NTS environmental reports
8 that are published.

9 **MR. ROLLINS:** This is Gene Rollins. Billy,
10 thank you for that. Also included in the TBD
11 Chapter 4 is a summary of the atmospheric
12 monitoring data. And as you said, Billy, even
13 using maximum values based on actual empirical
14 data that these monitors provided, it does not
15 support these maximum intake values that I --
16 that I have proposed. In fact I said that in
17 the TBD, that we need to be careful because the
18 actual empirical data does not support this
19 model data, and I gave reasons for that, mostly
20 just claimant-favorable assumptions in the
21 development of the model.

22 Now one of the concerns of Dr. Anspaugh is that
23 these averaging values that we get from these
24 monitors may not be reflective of what an
25 individual could have been exposed to had he

1 been in the wrong place at the wrong time, for
2 example. But now that's the very example of a
3 situation that we -- we could probably never
4 know whether this individual was in the wrong
5 place at the wrong time. And if we make the
6 assumption that everybody was in the wrong
7 place at the wrong time, then I think we may be
8 going a little bit too far in the claimant
9 favorability arena.

10 **MS. MUNN:** This gets outside of being claimant
11 favorable and gets into reputation of known
12 data, of good science and certainly of any
13 epidemiological study that could support any
14 such thing -- which of course we're not allowed
15 to utilize. But nevertheless, it's of real
16 concern to a few of us on the Board that we not
17 get outside the arena of good science or of
18 available data. So this appears to be a little
19 bit like some of the programs that have been
20 put together to try to incorporate all the
21 variables to compute global warming. We're
22 just -- we have to be really reasonable and be
23 cautious, I think. The effort that you folks
24 put into it is admirable, because it appears to
25 some of us that it's a real effort to be as

1 specific as possible. That's genuinely
2 appreciated.

3 Conversely, if the effort is going to lead us
4 to consequences that are very small, then some
5 of us have a real need to question that. So
6 thank you for what you're doing, but your --
7 your concerns over claimant favorability
8 falling past the point of reason and into over-
9 concern is very well-taken here.

10 **MR. ROLLINS:** This is Gene Rollins again.
11 Moving -- beginning to talk again about the
12 potential for a coworker study, what Billy says
13 has certainly been my experience, is that there
14 are very few positive bioassays at the Nevada
15 Test Site. So if we were to develop a coworker
16 study and try to assign dose, then my suspicion
17 is it's going to be driven largely by less than
18 MDA values.

19 Now for the fission products, that does not
20 result in any significant dose. However, for
21 plutonium and uranium, and for a select few
22 radionuclides, assigning missed dose does
23 result in some significant organ dose. But
24 that's one way we could do that, and we might
25 be able to make some justification for reducing

1 those numbers. But that's one approach that
2 we could use. We've used that at other sites,
3 and so there is precedent for it.

4 **MS. MUNN:** Precedents are always one of the
5 things that are of concern, I think, especially
6 when the circumstances vary so widely from one
7 site to another. So making a decision to, in
8 all cases, assume that there are large missed
9 doses for the claimants may fall outside the
10 realm of reason. It would be difficult to
11 justify that, I think. In a truly scientific,
12 peer-reviewed program it would be, I believe,
13 difficult to justify making that assumption
14 with a broad brush.

15 **DR. MAKHIJANI:** This is Arjun. Gene, why --
16 why are you not using the T^* to the minus 1.2
17 reduction to decay the -- first you correct and
18 go back using the X tables, but then -- you
19 can use a correction for decay so you don't
20 come up with numbers that don't have -- you
21 know, that don't -- that don't have sort of
22 physical reasonableness.

23 **MR. ROLLINS:** Could you excuse me for just one
24 second? I'll be right back with you.

25 **DR. ROESSLER:** What's he talking about, Hans?

1 Is that a --

2 **DR. BEHLING:** No, the -- Arjun the Hicks table
3 -- for instance, if you're looking for a time
4 20 hours past the detonation, the Hicks tables
5 give you exact citation of both activation and
6 fission products.

7 **DR. MAKHIJANI:** Yeah, I realize that, Hans, but
8 what I'm saying at that point if you can
9 calculate a gamma dose, why can't you then use
10 a T to the minus 1.2 to correct that as time
11 goes on?

12 **DR. BEHLING:** Well, in fact --

13 **DR. MAKHIJANI:** But this is resuspension. I'm
14 sorry. Yeah, okay.

15 **DR. BEHLING:** No, the Hicks tables actually
16 give it to you in terms of MR per hour, and
17 then you can determine, based on time interval
18 -- you can scale -- as you and I talked
19 yesterday, I'll show you how to use the Hicks
20 table.

21 **MR. ROLLINS:** As I said -- this is Gene Rollins
22 again. As I said, there are methods that we
23 can decay-correct, but it becomes very complex
24 if you try to decay-correct for the multiple
25 events. The reason -- the approach that I took

1 was based on the data that was available. As
2 Billy said, when the environmental reports
3 started in the late '60s and early '70s, there
4 was a plethora of air sampling data. I could
5 find nothing of any use prior to that. Prior
6 to that they were mostly interested in what the
7 conditions were actually in the plume, which is
8 not the conditions that people were exposed to,
9 so it was very difficult to try to move back in
10 time.

11 I still believe, based on what I believe a
12 screening analysis will tell me, is that the
13 short-lived isotopes I do not believe are going
14 to be large contributors to organ dose compared
15 to the other -- cesiums, the strontiums, the
16 uraniums and the plutoniums. Plutonium data of
17 course, because of security reasons, a lot of
18 that data was not available. You won't find
19 that sort of thing in the Harry Hicks reports.
20 Dr. Anspaugh does provide a recommendation
21 about how to get there by using ratios of
22 cesium. Again, additional complexity, many
23 variables to consider over time. It's just a
24 matter of where are we going to expend
25 resources.

1 **MR. PRESLEY:** How many cases are we talking
2 about here -- this would -- get into?

3 **MR. ROLFES:** There's approximately what -- the
4 total number of claims that we have for Nevada
5 Test Site I believe is around 1,300 claims.

6 **MS. MUNN:** Uh-huh, and we've probably processed
7 a number.

8 **MR. ROLFES:** Yes, so I would have the number
9 available right now. Maybe -- is there anyone
10 available to check to see the number of claims
11 that we have completed in NOCTS? Is there
12 anyone on the line that might have access to
13 NOCTS?

14 **MR. SUNDIN:** Mark, this is Dave Sundin. If
15 you'll give me a minute I think I can get that
16 for you.

17 **MR. ROLFES:** Great, thank you, Dave.

18 **DR. ROESSLER:** While he's doing that, I'd like
19 to address a question to Arjun. In view of the
20 discussion, the things that Gene has said about
21 the importance of -- or the impact of this on
22 the doses and also Billy's comments about the
23 whole body counting and the atmospheric
24 monitorings, do you think many people would
25 have slipped through the cracks? Have you

1 changed maybe your impression on this, that
2 this maybe is not deserving of a great effort?

3 **DR. MAKHIJANI:** I don't -- I don't know how to
4 answer that because I don't know how the claims
5 fall out. I think from -- take from what Gene
6 was just saying, I think maybe it might be more
7 relevant to the pre-1970 years than the post-
8 1970 years. But I'm not sure. I'm not sure.

9 **DR. NETON:** This is Jim Neton. It seems like
10 there's no really (unintelligible) that the
11 working group can come up with as far as
12 direction on this, and I -- Gene has proposed a
13 couple of alternatives. And I would suggest
14 that we, NIOSH, need to go back and deliberate
15 this among ourselves and pick a path forward
16 and then throw out the reasons why, you know,
17 we chose to do that and bring it back. I think
18 Gene's bounding analysis without decay
19 correction has merit. I think we need to
20 discuss how much extra work there would be to
21 decay-correct these values to get a more
22 reasonable number, and is that effort worth it.
23 And if not, then it may be that that's our best
24 estimate and we'll have to live with it. But I
25 think this -- maybe the ball is in our court

1 here now to come up with a recommendation to
2 the Board -- the working group.

3 **MS. MUNN:** I would also like to see this
4 investigation limited to the radionuclides that
5 one would reasonably expect to be significant
6 contributors. There seems to be no legitimate
7 reason for including minuscule contributions
8 which, added all together and taken in a lump,
9 are not going to make significant changes to a
10 POC in any case. And if we could just simply
11 get past the concept of trying to account for
12 every single radionuclide that could have been
13 even a minor contributor -- if we could even
14 get past that point it would seem to me that
15 you would have a better way to proceed. I
16 don't know how the other Board members feel
17 about that, but it would just seem wise to me
18 that the first step would be to eliminate
19 apparently insignificant contributors and focus
20 on only what you can -- what we all know are
21 the real problems.

22 **MR. ROLLINS:** This is Gene Rollins again. Dr.
23 Anspaugh did provide in his paper, Table 3, a
24 list of 38 radionuclides that in his expert
25 opinion -- has all the ones of, as he puts it,

1 relatively greater prominence at 21 hours after
2 the SEDAN event.

3 **MS. MUNN:** I see that, and those seem to be --
4 that seems to be a pretty thorough listing, to
5 me. As a matter of fact, even that seems to be
6 extensive.

7 **MR. ROLLINS:** Well, I would like to point out
8 that of the 38 listed there only 14 are
9 currently in our version of IMBA, so we could
10 not even generate annual doses for many of
11 these using our approved methods. However,
12 having said that, many of these are so -- short
13 half-life that effectively all of the dose
14 administered would be in the first year of the
15 intake anyway, so that's not an intractable
16 problem. I think doing a screening analysis on
17 these 38 would be of interest, and that would
18 be simply looking at the relative abundance at
19 21 hours and comparing that to the various dose
20 conversion factors -- organ dose conversion
21 factors, and then we can figure out which of
22 these 38 contribute the majority of a dose.

23 **MS. MUNN:** That seems eminently reasonable to
24 me, especially in view of the fact that I see
25 that this table even includes a number of the

1 other iodine isotopes that we were discussing
2 earlier in another context. Even those are
3 there. So I'd really like to narrow this down
4 to where it becomes a workable thing for NIOSH,
5 and have us all agree that this is not going to
6 throw people way underneath any reasonable POC
7 that they would otherwise have had.

8 **MR. ROLLINS:** There is one -- this is Gene
9 Rollins again. There is one serious problem,
10 as I see it, with this list. And that is some
11 of the really bad actors are not here, and that
12 is for security reasons.

13 **MS. MUNN:** Uh-huh.

14 **MR. ROLLINS:** (Unintelligible) 239, 238,
15 uranium-234, 238, those -- those radionuclides
16 are not in this list, and that was not by
17 accident. That was by design. And so to do
18 any comparative analysis to determine which of
19 these radionuclides provide most of the dose,
20 we have to include those, and somehow we have
21 to get a handle around them without violating
22 classification issues.

23 **MS. MUNN:** Yes, I can see that. And how to
24 approach that I see as a larger issue than
25 choosing which of the nuclides on the table are

1 the greatest considerations. Is there --
2 perhaps this is one of those cases where
3 atmospheric data might be of value.

4 **MR. SMITH:** This is Billy Smith. I believe so.
5 We -- the environmental reports do contain
6 concentrations of what the plutonium
7 concentrations were at the various sampling
8 locations on site, and you may be able to
9 correlate that data to the other fission
10 products like strontium and cesium that are in
11 Dr. Anspaugh's report.

12 **MS. MUNN:** That's a possibility, that it seems
13 much more reasonable to begin to approach it
14 from that direction.

15 **MR. ROLLINS:** This is Gene Rollins again. That
16 was -- Dr. Anspaugh did mention that there --
17 there may be a way, by ratioing --

18 **MR. SMITH:** Yep.

19 **MR. ROLLINS:** -- the more recent data ratios of
20 cesium to plutonium than -- that way we may be
21 able to go back and infer something about what
22 the relative abundance of plutonium would be to
23 these other radionuclides that he's listed in
24 Table 3. However, now -- if we get it right,
25 now we have generated a classification problem,

1 and that information would not get through
2 derivative classifiers.

3 **MS. MUNN:** So we have a catch-22 here.

4 **MR. ROLLINS:** Do you think I'm incorrect on
5 that one, Billy?

6 **MR. SMITH:** Well, you know, we cleaned up
7 Enewetak and we made gamma measurements on
8 Enewetak with the IMPs, the planar germanium
9 detectors, by looking at primarily cesium and
10 americium photons in the soil there, and we
11 were able to infer what the plutonium
12 concentrations were because chemical analysis
13 for plutonium was just too expensive. It seems
14 to me that if you looked at the model that was
15 used at -- for the Enewetak Atoll cleanup and -
16 - I don't know whether any of you have read
17 that report, but it's a -- it would take you
18 two years to read it, it's so big, but anyway,
19 there --

20 **DR. BEHLING:** I read it.

21 **MR. SMITH:** -- there is a good model in there.

22 **MS. MUNN:** Hans has read it.

23 **MR. SMITH:** Okay. There's a good model in
24 there that would allow you to infer what the
25 plutonium concentrations could be. And I think

1 that's -- to me, that's what you're trying to
2 come up with with a model is what is a
3 reasonable concentration for the, if you will,
4 classified isotopes -- and I don't mean the
5 classified plutonium is a classified
6 (unintelligible) per se, but you could come up
7 with some numbers for plutonium -- if ingested,
8 what would the dose consequences from those be.

9 **MR. ROLLINS:** Okay, this is Gene Rollins again.
10 Dr. Anspaugh took me to task over a statement
11 that I made that -- rightly so -- that most of
12 the contamination out there was from above-
13 ground tests. He says that's not the case. He
14 said -- he makes the case that most of it was
15 from venting --

16 **MS. MUNN:** Your ground vents, yeah.

17 **MR. ROLLINS:** -- and like the PLOWSHARE, and I
18 don't -- I don't disagree with that because
19 what I've been told is that --

20 **MR. SMITH:** Gene --

21 **MR. ROLLINS:** -- for the atmospheric tests --

22 **MR. SMITH:** Gene, I disagree with it. I don't
23 -- I don't believe -- Lynn Anspaugh and I
24 worked a lot together. I processed a lot of
25 his samples through my laboratory there in

1 Mercury, and I disagree that most of the
2 contamination that's on the ground there is due
3 to underground testing. No.
4 Most of the -- particularly the heavy stuff,
5 the plutonium and uranium, would have been laid
6 down as a result of atmospheric testing and
7 from some of the PLOWSHARE shots, but there
8 were not that many of those. SEDAN was one,
9 BUGGY was another one -- I can't think of the
10 names of some of the other PLOWSHARE shots.
11 But the plutonium areas -- there was an area
12 out there we called Plutonium Valley and --
13 which is fenced and the access to those areas
14 are controlled. You have to get permission
15 from DOE Operations to enter and you have to
16 sign an entry log, and you come out and you
17 sign an exit log which gives you a stay-time
18 for the people that are in there. You're not
19 allowed to get off the road while you're in
20 those areas. The RCTs are very, very aware of
21 the potential that exists in working in those
22 areas and they apply, you know, their everyday
23 rules to make sure that people are not
24 contaminated and don't get exposed or get an
25 intake.

1 I can tell you also that as of today they do
2 not go into those areas without knowing that
3 there's a -- there is contamination there.
4 They're required to read the postings and wear
5 appropriate PPE while they're in those
6 particular areas.

7 So I don't know what Lynn took you to task over
8 about where this contamination comes from, but
9 in a venting -- one of the great things about a
10 venting is that the -- the fission product
11 gases or the gases -- the stuff that's coming
12 out of the ground goes through several hundred
13 feet of soil and stemming materials before it
14 reaches the surface. Most of the stuff that
15 gets out is not plutonium or uranium.

16 **MS. MUNN:** Uh-huh, yeah.

17 **MR. SMITH:** Because it's scrubbed by all the
18 material that's above it before it gets out.
19 So you've got the uraniums -- I'm sorry, the
20 iodines that comes out and the xenons that come
21 out, rhodium, ruthenium, all of those types of
22 things and -- and some europiums.

23 **DR. MAKHIJANI:** But I -- I have Dr. Anspaugh's
24 paper right in front of me. I think he said
25 something a little bit more precise, is that

1 the -- the maximum values of contamination were
2 in Area 30, associated with the Test BUGGY.
3 And then the next higher values of
4 contamination are associated with Area 20,
5 which was the scene of cratering experiments.
6 So I think he -- he said something rather more
7 precise than what we're attributing to his
8 paper.

9 **MR. ROLLINS:** This is Gene Rollins again. I
10 think what I'm hearing is that NIOSH will take
11 an action to develop a model that we will bring
12 back to the Board, with justification for why
13 we believe it is adequate. I think we could --
14 we could debate this the rest of the day.

15 **DR. NETON:** Right. I think this discussion's
16 been helpful, though.

17 **MR. ROLLINS:** It has been, but I think -- it's
18 been helpful in identifying how we may move
19 forward on developing a model.

20 **DR. NETON:** Exactly.

21 **MR. ROLLINS:** And I think we've got an action
22 now to go out and do that and provide the Board
23 with justification as to why we believe it is
24 appropriate and adequate for dose
25 reconstruction.

1 **DR. NETON:** Right.

2 **MR. PRESLEY:** Okay, this is Bob Presley and
3 I've got it down that NIOSH will look at the
4 problem and come up with a recommendation to
5 the Board.

6 That concludes five, and we -- let's see, what
7 else did we bypass, did we bypass 12? Is that
8 what it was?

9 **DR. NETON:** And six and seven.

10 **MR. PRESLEY:** Six and seven?

11 **DR. MAKHIJANI:** Six goes with five, Mr.
12 Presley.

13 **MR. PRESLEY:** Right, right.

14 **DR. MAKHIJANI:** I think the next one is 12.

15 **MR. PRESLEY:** That's what I was thinking. Do
16 we want to break? It's five after 12:00. A
17 lot of us have been up since 4:00 o'clock this
18 morning. Do we want to bypa-- or break right
19 now at 12:00 and -- what's a reasonable time
20 for lunch, 45 minutes or an hour?

21 **DR. WADE:** Yeah, I think aim at -- aim at ten
22 of and we'll start at 1:00.

23 **MR. PRESLEY:** Is that all right with everybody,
24 ten of? Be back in here and we'll try to knock
25 this out by 3:00 o'clock.

1 **DR. MAKHIJANI:** Mr. Presley, might I be excused
2 after because I have to go to the hearing.

3 **MR. PRESLEY:** Only if you tell us what went on
4 at the hearing.

5 **DR. MAKHIJANI:** I will make notes if you like
6 and -- and I will -- I will tell you what went
7 on. I'll send you all an e-mail. How about
8 that?

9 **MR. PRESLEY:** Thank you, Arjun.

10 **DR. MAKHIJANI:** Okay. I'll be happy to be your
11 rapporteur.

12 **MS. MUNN:** I'd like to know if those folks ever
13 get any information at all about how much
14 really has been done. That'd be nice.

15 **MR. ROLFES:** This is Mark --

16 **DR. MAKHIJANI:** Yeah, well, I'm not testifying,
17 so --

18 **MS. MUNN:** Yes, I know you're not.

19 **DR. WADE:** I told them that. So we're going to
20 break here -- we're going to break the phone
21 line and we're going to dial back in at
22 ostensibly ten minutes of 1:00.

23 **MR. ROLFES:** I did want to check with the ORAU
24 -- the ORAU team members to make sure that they
25 are available for this afternoon following our

1 break.

2 **MR. ROLLINS:** This is Gene Rollins. I'll be
3 back on the line.

4 **MR. ROLFES:** Okay.

5 **DR. MAKHIJANI:** Hans, can you give me a buzz,
6 please, at home?

7 **DR. BEHLING:** Okay, what's your number there?
8 I don't have my telephone --

9 **DR. MAKHIJANI:** 301-365-6723. Did you get
10 that?

11 **DR. BEHLING:** Yes.

12 **DR. WADE:** Okay, we're going to break contact
13 now.

14 **DR. MAKHIJANI:** Thank you.

15 **DR. WADE:** Thank you all.

16 (Whereupon, a recess was taken from 12:05 p.m.
17 to 1:00 p.m.)

18 **DR. WADE:** Again I would ask, just for the
19 record, are there any Board members on the
20 line? Any Board members joining us by
21 telephone?

22 (No responses)

23 Okay, so we don't have a quorum. We can
24 proceed.

25 I'm sure those of you out there will identify

1 I'd been everywhere on that test site. Where
2 is there any Gravel Gerties?

3 **MR. ROLLINS:** I think Billy could probably
4 answer that question better than I.

5 **MR. SMITH:** Well, they were -- I think it's
6 Area 6, just south of (unintelligible) to the
7 west of the Mercury highway, over in the area
8 where the new DAF is located.

9 **MR. PRESLEY:** Oh, okay. These were -- were
10 used in experiments in early, early days.
11 Right?

12 **MR. SMITH:** I'm not sure what they were used
13 for other than for weapons storage and weapons
14 work.

15 **MR. PRESLEY:** Okay, yeah. Right.

16 **MR. ROLFES:** The Nevada Test Site did approve
17 the design of the Gravel Gerties.

18 **MR. PRESLEY:** Right, right, yeah, okay.

19 **MR. ROLFES:** They did test it, so --

20 **MR. PRESLEY:** That's what it was there, yeah.
21 We actually didn't build any of them, though.
22 Okay, I'm -- I thank you.

23 **MS. MUNN:** So did I understand you correctly,
24 Mark, they were designing the Gravel Gerties.

25 **MR. ROLFES:** They were testing it to make sure

1 it would hold up to a blast and confine
2 radioactive material, so --

3 **MS. MUNN:** Yeah, okay. So in effect there was
4 not a great deal of work that went on there.
5 They designed them, tested them once or twice
6 and went away.

7 **MR. ROLFES:** Correct.

8 **MS. MUNN:** So we're not talking about an issue
9 here that would involve either any appreciable
10 part of the site or any appreciable number of
11 individuals --

12 **MR. ROLFES:** Correct.

13 **MS. MUNN:** -- ever. Okay.

14 **MR. ROLFES:** That's right.

15 **MR. PRESLEY:** Right.

16 **MS. MUNN:** Thank you.

17 **MR. PRESLEY:** Thank you very much. What about
18 12(b)?

19 **MR. ROLLINS:** I'm not really sure why that
20 one's not shaded, either, Bob, because I have
21 agreed to implement the .16 working level --

22 **MR. PRESLEY:** Okay.

23 **MR. ROLLINS:** -- prior to 1985.

24 **MR. PRESLEY:** Okay. Can we deem these no
25 further action by the Board -- by the working

1 group, I mean?

2 **MS. MUNN:** Just a follow-up to see if it goes
3 in the revision.

4 **MR. PRESLEY:** Right. Okay, let's see, 12(c),
5 what about it?

6 **MR. ROLLINS:** I'm not really sure how that's
7 different from (a), but --

8 **MR. PRESLEY:** That's fine. It's all the same
9 thing.

10 **MS. MUNN:** Just the comment about Pantex, I
11 guess. Are we -- is that a -- is that a
12 necessary thing, to review the -- well, no,
13 (unintelligible) --

14 **DR. ROESSLER:** I think that --

15 **MS. MUNN:** -- do it.

16 **DR. ROESSLER:** I think that, because Pantex has
17 data, they're going to compare the soil type to
18 Pantex to see if that data is appropriate for
19 use at NTS. It sounds good to me.

20 **DR. NETON:** Am I to take it that we actually
21 have no Gravel Gertie monitoring data at NTS?

22 **MR. ROLFES:** Not that I've located. I haven't
23 looked specifically for it, but I don't know --
24 Gene, do you -- have you seen any specific air
25 monitoring data for radon within the Gravel

1 Gerties at Nevada Test Site?

2 **MR. ROLLINS:** No, I have not. Billy might be
3 able to --

4 **MR. SMITH:** I don't recall that we took any.

5 **MS. MUNN:** Well, small program, small number of
6 people.

7 **MR. PRESLEY:** Right.

8 **MS. MUNN:** Small claimant base.

9 **MR. ROLFES:** Based on EPA maps of radon areas
10 and such, the soil type at the Nevada Test Site
11 would be similar to that in Amarillo, Texas --

12 **MS. MUNN:** Yeah.

13 **MR. ROLFES:** -- and they're within the same
14 range.

15 **DR. NETON:** I was going to say 'cause we -- we
16 attempted to use those Gravel Gertie data from
17 Pantex at Iowa and we were not very successful
18 in doing that.

19 **DR. ROESSLER:** They're very different and
20 (unintelligible) get a space on the EPA radon
21 monitoring soil type thing.

22 **MR. ROLFES:** Iowa was much higher.

23 **DR. ROESSLER:** This sounds appropriate.

24 **MR. PRESLEY:** Okay, we finished up with 13 and
25 we're down to 14, which again has to do with

1 internal monitoring and again has to do with
2 comment five. Mark or Jim, what do we plan on
3 -- you know, with resolution five being done, I
4 don't think we have an action here.

5 **DR. NETON:** I'd agree with that.

6 **MR. PRESLEY:** Does everybody agree?

7 **MS. MUNN:** It appears to be covered by our
8 discussions in five.

9 **DR. NETON:** Yeah, we're talking about using the
10 Hicks data and mass loading model.

11 **MS. MUNN:** Correct.

12 **DR. WADE:** So how are you going to word that?

13 **MR. PRESLEY:** No action by working group right
14 now.

15 Now again, that's another thing --

16 **MS. MUNN:** Pending.

17 **MR. PRESLEY:** -- (unintelligible) we're talking
18 about, pending that --

19 **MS. MUNN:** Revision of Chapter 5.

20 **MR. PRESLEY:** -- revision of Chapter 5. But I
21 don't want to come back and revisit this the
22 next time we meet if Chapter 5 is not out.

23 **MS. MUNN:** Yeah.

24 **DR. NETON:** Good point.

25 **MR. PRESLEY:** Okay, 15, action, none. Has

1 anybody got any comments other than that?
2 We've -- resuspension of radionuclides
3 (unintelligible)? We've talked about that in
4 the past.

5 **MS. MUNN:** No, it's been agreed to.

6 **MR. PRESLEY:** Okay, 16, same thing, it has been
7 agreed to.

8 **MS. MUNN:** We're done with that one.

9 **INGESTION DOSES**

10 **MR. PRESLEY:** Now, 17, ingestion doses.

11 **MS. MUNN:** More in the revision of 5.

12 **MR. PRESLEY:** Right.

13 **DR. ROESSLER:** And it says there's agreement --

14 **MR. PRESLEY:** We also have an action on that
15 that when the model is approved and guidance to
16 the Chapter 4 revision.

17 **MS. MUNN:** Uh-huh.

18 **MR. PRESLEY:** Jim, have you got anything on
19 that other than -- than when Chapter 5 comes
20 out, look at it?

21 **DR. NETON:** Well, I'm looking at this ingestion
22 dose thing here real quickly, though. I'm --
23 ingestion doses -- talks about the EPA model at
24 5 milligrams per cubic meter.

25 **MR. ROLLINS:** This is Gene Rollins. This was -

1 - and you see in the response there where we
2 were talking about the relative importance of
3 ingestion versus inhalation, and this would be
4 related not to large particles but to
5 respirable particles.

6 **DR. NETON:** Uh-huh.

7 **MR. ROLLINS:** I provided some background
8 information here using some EPA-accepted
9 factors, and I think -- what I tried to
10 demonstrate was that the inhalation potential
11 dose far exceeds that that you would expect
12 from ingestion.

13 **MS. MUNN:** That makes sense.

14 **MR. ROLLINS:** Therefore --

15 **DR. NETON:** But Gene, does the environmental
16 model have any ingestion dose pathway at all?

17 **MR. ROLLINS:** No.

18 **DR. NETON:** No. This has been one of the
19 problems we've had with many sites. Well, it
20 started with Bethlehem Steel, but you know,
21 it's recognized by most health physicists that
22 ingestion is a minor -- a minor route of
23 intake. But in some way it needs to be
24 addressed, even if it's just to dismiss it and
25 say that it's pretty small for what reason. It

1 sounds like you've attempted to do that here in
2 your response.

3 **MS. MUNN:** I think all -- my interpretation is
4 that what's really needed is words with that
5 type of guidance going into the Chapter 4
6 revision. That's my interpretation. Is that
7 incorrect?

8 **MR. ROLLINS:** This is Gene Rollins. Would that
9 be satisfactory, that I could put a
10 justification for not considering --

11 **DR. NETON:** Yeah, I think so.

12 **MR. ROLLINS:** -- ingestion?

13 **DR. NETON:** I think if you can build that case
14 and put it in there and then -- you know,
15 'cause it's conspicuous by its absence.

16 **MR. ROLLINS:** Okay, I can do that.

17 **DR. NETON:** To many people it's intuitive. You
18 look at it and you've got ingestion pathway,
19 inhalation pathway and you need to address it
20 some way.

21 **DR. ROESSLER:** Give some relative numbers to
22 support it.

23 **MR. ROLLINS:** Right. Now this is just for the
24 fine particles that the -- the large particle
25 ingestion, that's another issue.

1 **DR. NETON:** Uh-huh.

2 **MS. MUNN:** Yes.

3 **DR. NETON:** Right.

4 **MS. MUNN:** Is that reasonable, Hans? Is that a
5 way to go?

6 **DR. BEHLING:** Yeah, I guess you wouldn't expect
7 people to be eating their lunch out there or
8 having deposition directly on their foods as
9 they're being consumed, so I would assume that
10 ingestion is a relatively minor pathway in
11 comparison to inhalation.

12 **MS. MUNN:** Yeah.

13 **DR. NETON:** I was going to suggest as one of
14 the overarching issues we are addressing the
15 ingestion pathway, but of course our main focus
16 is more for the manufacturing facilities, like
17 uranium operations. I'm not sure how directly
18 this would be applicable to NT-- our analysis
19 would be applicable to NTS, but --

20 **MS. MUNN:** I'm not sure, either, but I feel
21 relatively sure that any time we find ourselves
22 faced with even a potential resuspension
23 problem that this same issue is going to arise
24 again.

25 **DR. NETON:** Yeah. Well, we had had many

1 discussions with SC&A about the relative
2 magnitude of the ingestion pathway, and we've
3 been at odds. We've always maintained that
4 it's much smaller than what the EPA models that
5 are out there would predict for like home
6 environments and such. And we'd be prepared in
7 -- I think January time frame that's also
8 wrapping up with -- EG&G is doing that analysis
9 for us, as well.

10 **MS. MUNN:** Good.

11 **DR. ROESSLER:** In home environments don't they
12 talk about children eating dirt
13 (unintelligible) --

14 **DR. NETON:** Yeah --

15 **DR. BEHLING:** (Unintelligible) --

16 **DR. ROESSLER:** I can't imagine adult --

17 **DR. BEHLING:** -- home -- home gardener
18 (unintelligible).

19 **DR. ROESSLER:** Yeah.

20 **DR. NETON:** Some of this EPA study is
21 interesting, but if you look at some of the EPA
22 studies that estimated ingestion per day, they
23 were relying on fecal samples. And they
24 completely disallowed any amount of the inhaled
25 material that was subsequently swallowed as

1 part of the fecal bolus that's coming out. And
2 so in our opinion they've potentially
3 overestimated significantly the amount that's
4 just ingested from pure contact of hand to
5 mouth type situation, and we're looking at that
6 very closely. I hope to find some data --
7 we've just found some more recent data on
8 simultaneous measurements of fecal in ura-- in
9 urine for uranium workers. That would give us
10 some handle on what's coming out with the
11 various pathways. If you knew what the
12 inhalation was, you could sort of infer the
13 ingestion.

14 **MS. MUNN:** It would really be helpful if by the
15 January meeting you could work that out with --

16 **DR. NETON:** We hope to.

17 **MS. MUNN:** -- SC&A and get --

18 **DR. NETON:** That's why the final resolutions of
19 the Bethlehem Steel -- the Bethlehem Steel
20 profile has been done. We reissued it, but we
21 all agreed that was an overarching issue and we
22 would address that on the side, and that's one
23 of the issues that (unintelligible) --

24 **MS. MUNN:** Right.

25 **DR. NETON:** -- comes up.

1 **MR. PRESLEY:** It'd be interesting to see.

2 **MS. MUNN:** Yes.

3 **DR. NETON:** We do a lot of interesting work
4 behind the scenes.

5 **MS. MUNN:** It's really helpful to get that put
6 to bed.

7 **MR. PRESLEY:** Okay, 18.

8 **MS. MUNN:** Now on to more Chapter 5 stuff.

9 **DR. ROESSLER:** It says no further action, and
10 SC&A agrees with NIOSH's response.

11 **MS. MUNN:** Then --

12 **MR. PRESLEY:** Anybody have any problems with
13 that? No action.

14 **MS. MUNN:** And then (unintelligible) is done.
15 Right? Evaluation is complete. Discussion
16 included in the revision. We're done.

17 **DR. BEHLING:** Did this take into account some
18 of the recent work that was published by --
19 well, I guess (unintelligible) for DTRA --
20 what's the names? It was just recent article
21 on this (unintelligible).

22 **DR. NETON:** Yeah.

23 **DR. BEHLING:** God, I worked with him out in the
24 Marshalls. He works for the CIC*. But he came
25 up with some relationship between --

1 **DR. NETON:** Right.

2 **DR. BEHLING:** -- beta and gamma doses, and they
3 can be as high as 100 to one, and I'm not sure
4 whether or not that was --

5 **DR. NETON:** I doubt that that was included
6 'cause that just came out within the last --

7 **DR. BEHLING:** Yeah. Yeah, it just was
8 published in the last Journal or the one before
9 that.

10 **MR. PRESLEY:** Okay, so we can say 19's
11 complete? (Unintelligible) about that?

12 **MS. MUNN:** (Unintelligible). They had somewhat
13 of a different circumstance in the Marshalls
14 than (unintelligible), but do you think it's
15 applicable?

16 **DR. BEHLING:** Well, I'm not so sure it's all
17 that different. The atmospheric tests there
18 and -- certainly a higher magnitude, but the
19 ratio between beta and gamma probably is not
20 too different.

21 **MS. MUNN:** It says here the resolution included
22 development of time-dependent beta/gamma ratios
23 and procedures out for estimating the pre-1966
24 time period, so --

25 **DR. ROESSLER:** Is it Neil -- Neil Barrs*?

1 **DR. BEHLING:** Neil Barrs.

2 **DR. ROESSLER:** That was in the --

3 **DR. NETON:** Yeah, I was trying to come up with
4 that.

5 **DR. BEHLING:** Yeah, I was just
6 (unintelligible).

7 **DR. ROESSLER:** -- October issue.

8 **MR. PRESLEY:** All the stuff that was pre-'66
9 was for above-ground, which I can see what Hans
10 says there about the Marshall Islands. You
11 know, it -- you -- you -- everything's above-
12 ground there and everything's above-ground
13 prior to '66.

14 **MS. MUNN:** You think there's a possibility it
15 might change the time-dependent ratio that
16 (unintelligible) develop here?

17 **DR. BEHLING:** I think he also has a time-
18 dependent relationship. In fact, it's also --
19 he probably fine-tuned it in the most recent
20 *Health Physics* article, but he also published
21 in *The Green Book*, which was the DTRA manual.
22 I think you'll see the same tables there.

23 **MR. CLAWSON:** Doesn't it say here that NIOSH
24 will issue a procedure for establishing this,
25 but there's nothing -- there's nothing left for

1 the working group. But how do we tie that up
2 that --

3 **MS. MUNN:** Well, it's done --

4 **MR. PRESLEY:** The evaluation's been completed
5 and discussions included in the Chapter 6
6 revision. But now are you all going to do
7 anything further than that with this new...

8 **MS. MUNN:** Well, that's the question. Hans is
9 raising the question should (unintelligible) --

10 **DR. BEHLING:** Yeah, I haven't --

11 **MS. MUNN:** -- report be --

12 **DR. BEHLING:** It should at least be looked at -
13 -

14 **MS. MUNN:** -- looked at.

15 **DR. BEHLING:** -- and see, you know, how -- how
16 does that compare to -- to what is being
17 proposed here.

18 **DR. NETON:** I think that's reasonable.

19 **MR. PRESLEY:** Can we say then that NIOSH will
20 look at --

21 **DR. NETON:** Are you following that, Gene?

22 **MR. ROLLINS:** I'm not sure that I am.

23 **DR. NETON:** Well, there's an article that just
24 came out in the October issue of *Health Physics*
25 that dealt with these time-dependent beta/gamma

1 ratios by Neil Barrs, and Hans is suggesting we
2 at least need to look at it to see if it's
3 consistent with what -- what has been developed
4 by us.

5 **MR. ROLLINS:** Well, was this specific to the
6 NTS situation?

7 **DR. BEHLING:** Not to NTS, but these were done
8 in behalf of the DTRA dose reconstruction
9 project involving the Pacific Proving Ground,
10 but certainly they're comparable.

11 **MR. ROLLINS:** Yes, I would think so. It seems
12 to me -- yes, that report has been reviewed and
13 our revision is reflective, but I do remember
14 now because I didn't work on that directly.
15 That was Jack Fix and Dick Griffith worked on
16 that, and I do remember them passing the Barrs
17 report back and forth.

18 **DR. NETON:** Okay.

19 **MR. ROLLINS:** So in fact I think that's what
20 they used.

21 **DR. BEHLING:** Okay.

22 **MS. MUNN:** Oh, that would be good. Well, if
23 you could verify that, then there would be no
24 further action.

25 **MR. ROLLINS:** In fact you just gave -- I just

1 learned what that Barrs report was because I
2 didn't know what it was, but now you've filled
3 me in, so that's good.

4 **DR. NETON:** There's probably a Barrs report
5 that ended up becoming the *Health Physics*
6 publication.

7 **DR. BEHLING:** Yeah, it's in *The Green Book* as
8 well.

9 **DR. NETON:** If it's *The Green Book* then we've
10 got it.

11 **MS. MUNN:** So do we have an action or not?

12 **MR. PRESLEY:** Well, I put down here NIOSH will
13 look at the beta/gamma ratios and I'm going to
14 put down they can report back to us that it's
15 been -- that it's in the data that they're
16 using.

17 **MS. MUNN:** That the latest report --

18 **MR. PRESLEY:** Yeah, that the latest report
19 (unintelligible) --

20 **MS. MUNN:** -- (unintelligible) significant
21 differences.

22 **MR. ROLFES:** We did use the DTRA document, but
23 I'm not certain if it's the one published in
24 recent --

25 **DR. NETON:** You can check that.

1 **MR. ROLFES:** -- but we can just verify that
2 very simply.

3 **MS. MUNN:** Okay.

4 **MS. SMITH:** This is Cheryl. Actually Griffith
5 went to the Hicks data and developed his
6 beta/gamma ratios from that data. It's -- it's
7 very comparable -- it's not identical, of
8 course -- with information in the Barrs article
9 or the Barrs document.

10 **MS. MUNN:** Well, I would hope we'd only be
11 looking for major significant differences. And
12 if there are no major significant differences,
13 then I can't see an issue.

14 **MR. PRESLEY:** Okay, is everybody happy with
15 that?

16 **MS. MUNN:** That won't take a significant
17 commitment, will it?

18 **MR. PRESLEY:** I would not think that it would.
19 You seem to think that it's just a matter of
20 asking a question.

21 **MR. ROLFES:** I think we could have a couple of
22 questions and get it resolved.

23 **MS. MUNN:** Good.

24 **MR. PRESLEY:** Twenty, there appears to have
25 been --

1 **DR. NETON:** This is the same --

2 **DR. BEHLING:** (Unintelligible) interesting --

3 **DR. NETON:** This is the same as 11(d). Can we
4 consolidate these somehow so that we don't keep
5 having these recurring --

6 **MR. ROLFES:** I might be able to do that if it's
7 all right with the Board.

8 **MR. PRESLEY:** That'd tickle me to death. I'm
9 going to put a note up here that this will be
10 consolidated.

11 **DR. ROESSLER:** And we now know what statistical
12 methods are being used, so -- end of this
13 issue.

14 **MR. PRESLEY:** Twenty-one, TBD does not contain
15 information about internal or --

16 **DR. ROESSLER:** Extremity.

17 **MR. PRESLEY:** -- extremity dosimetry. We
18 marked the action complete on this. Anybody
19 have anything else?

20 **MS. MUNN:** Done. Right?

21 **DR. ROESSLER:** Right.

22 **MS. MUNN:** Similarly, comment 22.

23 **MR. PRESLEY:** Twenty-two, there are no new
24 (unintelligible) data of 1966 and we've got
25 that marked action complete.

1 revision, resuspension of doses.

2 **MS. MUNN:** No further action by us.

3 **MR. PRESLEY:** Is that acceptable?

4 **MS. MUNN:** Waiting for the train to come in.

5 **MR. PRESLEY:** Going to be doing a lot of
6 reading, I can see, when that does come. 23(b)
7 the same thing? Make sure -- nobody has a
8 problem with that.

9 **MS. MUNN:** No, model's approved. We do have
10 the model approved. Right? Or do we? Is the
11 model approved?

12 **MR. ROLFES:** The resuspension model? No.

13 **DR. NETON:** No.

14 **MR. ROLLINS:** This is Gene Rollins. No, we're
15 going to develop the model with the
16 justification and provide that to the Board.
17 That's an action for us.

18 **MR. PRESLEY:** Okay. Is that going to be done
19 in December or are you looking at that in
20 January? I didn't mean to put you on the spot.

21 **MR. ROLLINS:** Well, I don't want -- it won't be
22 just myself doing this so I -- and I hate to
23 commit other people before having even talked
24 to them about it. I think it would be
25 reasonable to think that we could get something

1 done in December, but I really would be
2 hesitant to commit to that time frame.

3 **MR. PRESLEY:** Okay. That way Lew can hold a
4 place on the -- on the table for it.

5 **DR. WADE:** That's right, I can.

6 **MR. PRESLEY:** Okay. Anybody else have anything
7 else?

8 **MS. MUNN:** Nope.

9 **HIGH-FIRED OXIDES**

10 **MR. PRESLEY:** Twenty-four, the presence of
11 high-fired oxides. We'll be talking here till
12 tomorrow.

13 **DR. NETON:** Well, not necessarily.

14 **DR. BEHLING:** Is there any reason to assume
15 that you don't have super S?

16 **DR. NETON:** No.

17 **DR. BEHLING:** I mean given -- given the high
18 temperatures.

19 **MS. MUNN:** It says Mark was going to verify.

20 **DR. NETON:** I can tell you that I've measured
21 plutonium in a lot of samples that came from
22 fallout and they're pretty insoluble. You had
23 to go to sodium pyrofluorate* fusion -- sodium
24 fusions to get those things in solution, so I
25 think the case is that there are -- there are

1 super S and our -- this OTIB, whatever it is,
2 50 -- I can't remember the number right off --

3 **MS. MUNN:** Was it 52?

4 **DR. NETON:** The TIB that's going to deal with
5 the super S is going to be applicable complex-
6 wide, with certain caveats. I guess one could
7 arg-- one could speculate as to whether it's
8 even more insoluble than the super S that we've
9 seen at other locations, but --

10 **MS. MUNN:** Well, we can take off the words that
11 say Mark's going to verify that. We can say
12 the OTIB in progress is complex-wide.

13 **DR. NETON:** Yes, it will be. Again, given
14 certain caveats. It wouldn't necessarily be
15 applicable to the ceramicized plutonium
16 particles at Los Alamos, but... I think it
17 would be hard -- we'd be hard-pressed not to
18 consider these to be super S plutonium.
19 That was easy, Mark.

20 **MR. PRESLEY:** Twenty-five -- yeah, it was a
21 whole lot easier than I thought it'd be.

22 **MS. MUNN:** How far -- how are we doing with
23 that OTIB with that high-fired super S stuff?

24 **DR. NETON:** I want to say that it's done, but
25 it hasn't been signed by -- I haven't signed it

1 yet, but the last I heard it was -- we'd come
2 to resolution with SC&A on all of the models
3 and such. I think we are waiting -- well, we
4 weren't going to wait for the final revision --
5 review by SC&A, which was Joyce Lipsztein and
6 others looking to see if they could find cases
7 in -- that were more refractory, more insoluble
8 than our so-called design case we chose.

9 **MS. MUNN:** But even if they do, that will be --

10 **DR. NETON:** It would be a modification and it
11 will be incorporated, but the nuts and bolts of
12 the proce-- the OTIB are done and --

13 **MS. MUNN:** So the heavy lifting's over with and
14 --

15 **DR. NETON:** Oh, yeah.

16 **MS. MUNN:** -- you're just polishing now, good.

17 **SITE EXPERT REVIEWS**

18 **MR. PRESLEY:** Item 25 deals again with the
19 documentation of site expert reviews, and it
20 brought to our attention that SC&A was not
21 getting some of the reviews. And I was
22 wondering where -- have we been able to get
23 them what they've asked for -- all except the
24 classified stuff?

25 **MR. ROLFES:** Gene, have we provided any

1 additional interview notes to SC&A or have you
2 sent me anything recently --

3 **MR. ROLLINS:** I'm going to -- we -- what we
4 did, we collected all of our interview notes,
5 all of our recollections -- it was really a
6 quite extensive list, and all of our e-mails
7 and everything, and what we have done -- and I
8 don't know what the status is, but we were
9 sending those out to a derivative classifier at
10 the Nevada Test Site to get the okay to
11 distribute those. That was our instruction, to
12 do that. And I don't know where we are in that
13 process right now, but I will find out and get
14 back to you.

15 **MS. MUNN:** Good.

16 **MR. ROLFES:** There were also -- Gene, there
17 were also some interview notes from SC&A I
18 believe that we were requesting. Is that not
19 true?

20 **MR. ROLLINS:** If they have some that they would
21 like to share with us, I think that would be a
22 good thing.

23 **DR. ROESSLER:** I remember that coming up at a
24 meeting (unintelligible) --

25 **MR. ROLFES:** I think we had requested that and

1 we have yet to receive those, as well, from
2 SC&A.

3 **MS. MUNN:** And I thought there were going to be
4 some internal phone conversations about --

5 **MR. ROLFES:** Yeah, I haven't received them. I
6 haven't been -- that hasn't been followed up,
7 so...

8 **DR. WADE:** Why don't -- then maybe you could
9 call Arjun --

10 **MR. ROLFES:** Uh-huh.

11 **DR. WADE:** -- I mean there seems to be concerns
12 on both sides, so I mean let's just work it out
13 and swap stuff.

14 **MR. PRESLEY:** That's what I'm going to put
15 here, interview notes are in the hands of the
16 DC at NTS and NIOSH also has not received notes
17 from SC&A, and then I'll put a note here that
18 Mark will get with Arjun.

19 **MR. SMITH:** Hey, Gene, this is Billy. Did you
20 see the interview that I did with SC&A?

21 **MR. ROLLINS:** I don't believe I have.

22 **MR. SMITH:** Tom Bell took my -- some --
23 interviewed me about two years ago, so that's --
24 -- that's something that's in their hands that
25 you haven't seen.

1 **DR. BEHLING:** (Off microphone) (Unintelligible)

2 **MR. PRESLEY:** Does anybody else have anything
3 about the 25 issues -- Mark? -- that you want
4 to bring back up? Jim? Hans?

5 (No responses)

6 One of the things that I would like to bring up
7 on the table is do we have a list of items that
8 are specific to all sites that we're looking
9 into right now?

10 **DR. NETON:** Yes, we're compiling that.

11 **MR. PRESLEY:** Okay. Okay.

12 **DR. NETON:** Brant Ulsh came back from the
13 Nevada Board meeting with a list that you guys
14 started, and he's polled the health physicists
15 for other issues that should go on that list,
16 so we've expanded it some. So we do have that
17 list available and that's the list that we'll
18 speak from at the next Board meeting as to the
19 status on where we are with these things.

20 **MS. MUNN:** That will be so helpful.

21 **MR. PRESLEY:** Brant brought a concern up about
22 we've -- we've got here no action required, no
23 action by the working group, but we have all of
24 the data that's not complete on Chapter 2, 4, 5
25 and 6, I believe, and when that comes out we

1 want to set down and really go through that
2 with you all, and I guess Arjun, and make sure
3 that we've covered all those things and we're
4 not going to get down -- two or three meetings
5 down the road somebody snakebite us.

6 **MR. CLAWSON:** Everything's pending on that.

7 **MS. MUNN:** I hope we don't have another two or
8 three more meetings. I hope we're getting real
9 close to the point where we --

10 **MR. PRESLEY:** I do, too, but I mean -- what I'm
11 talking about going af-- after we do this and
12 say yeah, the site profile -- you know, we make
13 a recommendation to accept or delete, whatever
14 it be, but I'm talking about down the road from
15 that somebody come back and say oh, you all
16 didn't do this. That -- I want to make sure
17 that we cover all the bases on this so that
18 doesn't happen. This one's been not as complex
19 as some, but it's been quite complex.

20 **MS. MUNN:** Well, if we cover the bases that
21 matter, then that's really and truly the best I
22 think anybody can do. There's always going to
23 be some minor detail somewhere that can be
24 worried out of the matrix.

25 **DR. WADE:** But I think Robert's point -- and I

1 think it's a generic one that -- let's say that
2 we come to a very knotty issue, there's good
3 discussion, there's agreement intellectually
4 that a certain action will happen and then we
5 remove it from the list. There needs to be
6 some follow-up to see that that action happens.
7 And that's something that we -- NIOSH I think
8 have to be prepared to offer to the Board.
9 This is following on beyond the action of the
10 working group to see that those commitments are
11 actually followed up upon and in the way that
12 was agreed upon. So I mean I think that's
13 something that we need to, at this December
14 meeting, talk about as well, what's the
15 mechanism for that.

16 **MS. MUNN:** I hope so. I would hope that it
17 would become a standard part of our agenda so
18 that, just as we see our caseload changing from
19 meeting to meeting, we would also see the
20 action items -- the outstanding action items
21 changing as well.

22 **MR. CLAWSON:** This may not be the time or the
23 place, but something that's been bothering me
24 that I've started to see pop up is -- let's
25 take like Los Alamos, the lanthium (sic), I

1 believe it was lanthium?

2 **MS. MUNN:** Uh-huh, lanthanum.

3 **DR. ROESSLER:** Lanthanum.

4 **MR. CLAWSON:** Okay. Now they were covered for
5 that, but it's interesting because where was
6 that processed at, where was that manufactured
7 at? Are we looking at what came into this?
8 And so I've dug into a little bit of it. It
9 was Idaho, and that doesn't even show up in our
10 TBD, so one of the things I want to kind of see
11 is when we do find these -- these oddball
12 things, that we trace it back so that we can't
13 get beat on later of -- here you covered it at
14 Los Alamos and where it was manufactured and
15 produced was -- it doesn't even show into the
16 technical database. And somehow I'd like to be
17 able to keep track of that the same 'cause
18 we've got many sites, and each one of these
19 sites are unique in several aspects. But when
20 we find these -- I guess the term's wrong, but
21 oddball little issues like this, we come to
22 find out where they came from and make sure
23 that they're addressed, that that's in the
24 profile, too.

25 **DR. WADE:** That's obviously a valid point. I

1 mean do we want to put that on the agenda for
2 the next meeting? I mean this is sort of
3 tracking beyond a facility, sort of the birth
4 to death realities of certain materials. I
5 mean do -- I don't know, what's our -- do we do
6 that? Do we look at...

7 **DR. NETON:** I think we do it. We haven't
8 formalized that process at all, though. I'm
9 wondering if this wouldn't be something to put
10 on the overarching issues list just to -- as a
11 placeholder at this point to --

12 **DR. WADE:** Can you put it -- can you see that
13 it goes on the list?

14 **DR. NETON:** I'll put it --

15 **DR. WADE:** I mean that list will be brought up
16 before the Board in December.

17 **DR. NETON:** Right. It may not be a perfect
18 spot for it, but my thinking is --

19 **MR. CLAWSON:** Well, and I'm trying to figure
20 out how to put it, too, because I've seen some
21 of these overarch-- these issues appear with
22 Savannah River, certain oddball things, and
23 they came out of Y-12 I believe it was. All of
24 these sites are intertwined uniquely --

25 **DR. NETON:** Oh, yeah.

1 **MR. CLAWSON:** -- from certain different little
2 processes.

3 **MR. PRESLEY:** Especially your production sites.

4 **MR. CLAWSON:** Right, and I've -- I've just seen
5 that these things come up and we cover it at
6 one site, but we never take where it came from.
7 And lanthium (sic) was the interesting one to
8 me because --

9 **MS. MUNN:** Well, it surprises me that you
10 didn't find any indication of it, though.

11 **MR. CLAWSON:** It's not in -- well, and --
12 that's a pretty big site profile that I've been
13 going through on -- just Idaho, and in going
14 through it I hadn't seen anything on it. Now
15 there may be a little blurb or something that I
16 missed. But see, this was part of the process
17 and I want to make sure that we're covering --

18 **MS. MUNN:** Yeah --

19 **DR. NETON:** That's an excellent --

20 **MS. MUNN:** -- that's an excellent point, but
21 the other point that goes along with that is
22 that it may or may not be significant on one
23 site, but might be quite significant on another
24 and that there's an obvious connector
25 (unintelligible) --

1 **MR. CLAWSON:** Well, and the way I found into it
2 was this was produced by the NTR reactor, which
3 only found four people that even have knowledge
4 of it and they pulled this out of the reactor,
5 super fast, put it in and shipped it because of
6 the very short half-lives.

7 **MS. MUNN:** Hot stuff needed to get to where it
8 was going.

9 **MR. CLAWSON:** Right, and -- and it didn't -- it
10 didn't show anything like this, and I just want
11 to make sure that we're covering our bases on -
12 -

13 **MS. MUNN:** Yeah.

14 **MR. CLAWSON:** But I don't know where to bring
15 it up and I apologize if this is the wrong
16 place.

17 **DR. WADE:** No, this is it --

18 **MS. MUNN:** This is the right place.

19 **DR. WADE:** -- you put it in the list, then I
20 would ask you, when that list is up there, then
21 you need to embellish the point and --

22 **MR. CLAWSON:** Okay.

23 **DR. WADE:** -- and then the Board could decide
24 in various ways to deal with it. It could ask
25 NIOSH to do it. It could ask SC&A to do it.

1 They could form a working group. I mean there
2 are vario-- I mean this is sort of a continuity
3 issue --

4 **MS. MUNN:** Yes, it is.

5 **DR. WADE:** -- around the complex. It makes
6 sense.

7 **MR. CLAWSON:** Well, we've seen it with so many
8 sites, we're -- we're all intertwined. Idaho
9 sits there with Y-12, it's got almost something
10 from every one of these sites. And how it got
11 there, it's sometimes -- it's an unknown. It
12 just all of a sudden appeared, you know.

13 **DR. WADE:** You think?

14 **MR. CLAWSON:** Yeah. Yeah, I'm sure there's
15 documentation, though, at -- somewhere.

16 **DR. WADE:** Well, I think that's an excellent
17 point.

18 **MS. MUNN:** Yes, it is a good point.

19 **DR. WADE:** So not only is this working group
20 sort of doing its work on Nevada Test Site, but
21 you're also sort of blazing the trail in terms
22 of overarching issues and tracking, and I think
23 those are things that we really need to focus
24 more on -- now that we have stuff to track.
25 The other issue, to make it more complex as it

1 relates to SC&A, is that if NIOSH says we're
2 going to do -- there's an intellectual
3 agreement and NIOSH says we're going to do
4 this, then one question is was that done, and
5 then does the Board want its contractor to
6 review what was done to see that it has met the
7 spirit of that agreement. Or does the Board
8 want to do that or do you want closure not only
9 in terms of checking a box, but in terms of
10 looking at content. And you know, that's
11 something the Board can take up when we have
12 these discussions.

13 **MS. MUNN:** That would be a second tier issue
14 for the Board.

15 **MR. PRESLEY:** We might be able to go back --
16 the item that Brad's talking about may have
17 already been addressed by ORAU in some of their
18 data mining, so to speak, about what
19 radionuclides and what materials are on each
20 one of the sites. It may be, Jim, that -- Mark
21 -- one of you all need to ask ORAU by chance
22 has that been done, and it may have been.

23 'Cause we used to -- one of the things that we
24 used to do at Y-12 was every year you had to
25 report to a DOE oversight committee the

1 chemicals that you had on site. And that list
2 was very, very extensive 'cause I was the
3 person at Y-12 that did it the last two years
4 before I retired. And I think that this -- it
5 may be easier than we think. Now it may be
6 harder to check it --

7 **MR. CLAWSON:** Well, I know that the chemicals -
8 - because you've got a chemical database that
9 you're tracking, and they did a really good job
10 on that. And I just have to take from my
11 personal experience, I was told up until 1995
12 that we had no plutonium in the raw uranium.
13 Okay? So that -- you know, that brings up --
14 that brings up the issue until we had for --
15 that they classified as positive -- false
16 positive, and now all of a sudden -- we all
17 that have been involved with this know that
18 plutonium is a natural byproduct and there
19 always is going to be some there. So I just
20 want to make sure that we're covered on this
21 and maybe track -- as we get into these issues,
22 I'd like to look at that and maybe we could
23 discuss it more in December and go from there.

24 **DR. WADE:** I think it's an excellent
25 suggestion.

1 **MR. PRESLEY:** (Unintelligible) something to me
2 needs to be done.

3 **DR. WADE:** It does. I mean it's sort of what
4 you would expect, as this program matures, that
5 you would start to have the intelligence and
6 the time to look at some of these sort of
7 broader issues, and look for some continuity
8 within the system, where there should be
9 continuity.

10 **MR. CLAWSON:** Well, as a new person coming on
11 to this, what has really surprised me about
12 everything is how all these sites are
13 intertwined with one another, in many different
14 aspects and in many different programs, bits
15 and pieces and so forth like there, and I just
16 want to make sure we're covering this.

17 **MS. MUNN:** Thank you.

18 **MR. PRESLEY:** Anybody else have anything else?

19 **DR. WADE:** I'd like to thank the workgroup
20 particularly for making the effort, and I think
21 it was a productive day on many levels --

22 **MS. MUNN:** Yes.

23 **DR. WADE:** -- not only the Nevada Test Site,
24 but also these other things. And it's
25 wonderful to see Jim back at the table and --

1 **MS. MUNN:** It sure is.

2 **MR. PRESLEY:** What I want to say, it's good to
3 see Jim back, and I want to thank Mark for all
4 of his work because I know he's pushed to get
5 this done. And Jim, than you for being here
6 and adding your expertise.

7 **DR. NETON:** Good to be back.

8 **DR. ROESSLER:** I appreciate the Cincinnati
9 people for coming to this hotel so those of us
10 who travel don't have to go all the way down to
11 your offices.

12 **MR. PRESLEY:** Yeah.

13 **MS. MUNN:** Yes, very much appreciated. It's
14 all I can do to get here from the airport, much
15 less back across the river and up to NIOSH.

16 **DR. WADE:** While we're passing out thanks to
17 Hans for making the trip. Obviously you must
18 be a bit under the weather. You seem to be --

19 **DR. BEHLING:** Well, I've got this beautiful
20 case of oak poison over the weekend so that's
21 why my face is just -- you know, I just feel
22 like -- it's torture on my face and I hope
23 (unintelligible) is working a little bit here.

24 **MS. MUNN:** I hope so.

25 **DR. WADE:** So we doubly appreciate the effort.

1 It's always a pleasure when you join us.

2 **DR. BEHLING:** Yeah, I was cutting down trees
3 and I know I'm very, very allergic to poison
4 ivy and oak. I was wearing gloves, but I got a
5 lot of sawdust in my face and I just wiped my
6 face with the gloves that must have had some
7 poison on it and I know better than that.

8 **DR. WADE:** What can I tell you, it says it all.

9 **MS. MUNN:** (Unintelligible) try to keep you
10 from contaminating yourself. There you go.

11 **DR. WADE:** Thank you all. Travel safely.

12 **MR. PRESLEY:** Thanks to everybody.

13 **DR. WADE:** Thank you on the phone for your
14 contribution.

15 **MR. ROLFES:** Thank you, Gene, Cheryl and Billy.

16 **MR. SMITH:** Okay, Mark.

17 **DR. WADE:** The Board will next begin its
18 activities at 10:00 tomorrow morning with a
19 subcommittee meeting, and you all are welcome
20 to join.

21 (Whereupon, the meeting concluded, 1:45 p.m.)

22

23

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of November 15, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 7th day of January, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**