

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOLUME I

The verbatim transcript of the Meeting of the
Advisory Board on Radiation and Worker Health held
at the Holiday Inn on the Hill, Washington, D.C.,
on Tuesday, January 22, 2002.

NANCY LEE & ASSOCIATES

Certified Verbatim Reporters

P. O. Box 451196

Atlanta, Georgia 31145-9196

(404) 315-8305

C O N T E N T S

VOLUME I
January 22, 2002

PARTICIPANTS (by group, in alphabetical order)	3
WELCOME AND INTRODUCTIONS	
Dr. Paul Ziemer	4
Board Member Introductions	8
Mr. Larry Elliott	10
Audience Introductions	11, 51, 92
FACA ORIENTATION	
Ms. Helen Kuykendall	16
FILM ON COMMITTEE MEMBERSHIP	25
DEPARTMENT/AGENCY WELCOME AND PERSPECTIVES	
Mr. Claude Allen	27
Dr. Kathleen Rest	31
Mr. Shelby Hallmark	36
OFFICE OF GENERAL COUNSEL BRIEFING	
Ms. Mary Armstrong	53
REVIEW OF EEOICPA, EXECUTIVE ORDER AND CHARTER	
Mr. Larry Elliott	60
BOARD RESPONSIBILITIES/OPERATING PROCEDURES	
Dr. Paul Ziemer	80, 95
PROBABILITY OF CAUSATION RULE 42 CFR PART 81	
General Background	
Mr. Ted Katz	111
Scientific and Technical Basis	
Dr. Mary Schubauer-Berigan	123
INTERACTIVE RADIOEPIDEMIOLOGIC PROGRAM (IREP)	
Mr. Russ Henshaw	150
Dr. Mary Schubauer-Berigan	175

C O N T E N T S

(Continued)

DOSE RECONSTRUCTION RULE 42 CFR PART 82

 General Background

 Mr. Ted Katz 185

 Technical Approach - Overview

 Dr. James Neton 193

PUBLIC COMMENT PERIOD

 Mr. Richard Miller 222

 Mr. David Richardson 231

 Mr. Roger Shaw 240

ADJOURN 243

CERTIFICATE OF REPORTER 244

Legend of the Transcript:

- (phonetic) = Exact spelling unknown
- = Break in speech continuity
- (sic) = Exactly as spoken

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

ADVISORY BOARD MEMBERS

CHAIR

PAUL L. ZIEMER, Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

LARRY J. ELLIOTT
Director, Office of Compensation Analysis and Support
National Institute for Occupational Safety and Health
Centers for Disease Control & Prevention
Cincinnati, Ohio

MEMBERSHIP

HENRY A. ANDERSON, M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

ANTONIO ANDRADE, Ph.D.
Group Leader, Radiation Protection Services Group
Los Alamos National Laboratory
Los Alamos, New Mexico

ROY LYNCH DeHART, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

RICHARD LEE ESPINOSA
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

SALLY L. GADOLA, M.S., R.N., COHN-S
Occupational Health Nurse Specialist
Oak Ridge Associated Universities
Occupational Health
Oak Ridge, Tennessee

JAMES MALCOM MELIUS, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

WANDA I. MUNN
Senior Nuclear Engineer (Retired)
Richland, Washington

ROBERT W. PRESLEY
Special Projects Engineer
BWXT Y-12 National Security Complex
Clinton, Tennessee

GENEVIEVE S. ROESSLER, Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

INVITED SPEAKERS

CLAUDE ALLEN
Deputy Secretary
U.S. Department of Health and Human Services

MARY ARMSTRONG
Office of the General Counsel
Centers for Disease Control and Prevention

SHELBY HALLMARK
Director, Office of Workers Compensation Programs
U.S. Department of Labor

RUSS HENSHAW, M.S.
Office of Compensation Analysis and Support
National Institute of Occupational Safety and Health

TED KATZ, M.P.A.
National Institute of Occupational Safety and Health

HELEN KUYKENDALL
 Committee Management Office
 Centers for Disease Control and Prevention

JAMES NETON, Ph.D., CHP
 Office of Compensation Analysis and Support
 National Institute of Occupational Safety and Health

KATHLEEN REST
 Acting Director
 National Institute of Occupational Safety and Health

MARY SCHUBAUER-BERIGAN, Ph.D.
 Health-Related Energy Research Branch
 National Institute of Occupational Safety and Health

STAFF/VENDORS

CORRINE HOMER, NIOSH
 MARIE MURRAY, Writer/Editor
 KIM NEWSOM, Certified Court Reporter

AUDIENCE PARTICIPANTS

JORDAN BARAVY	FAY MARTIN
DAN BURNFIELD	JOHN MAURO
GRADY CALHOUN	DAVID MICHAELS
ALLISON DAVIS	FRANK MORALES
FRANCES DE PEYSTER	JEFFREY L. NESVET
JAMES ELLENBERGER	DAVID RICHARDSON
JOSEPH FITZGERALD	D.M. SCHAEFFER
MIKE GIBSON	ROGER SHAW
MARK GRIFFON	JOSH SILVERMAN
JEFF HILL	ROBERT SPENGLER
LIZ HOMOKI-TITUS	DAVE SUNDIN
ALICE KELLEY	ROBERT G. TABOR
JEFFREY L. KOTSCH	ROSE TOUFEXIS
CHARLES LAND	PETER M. TURCIC
SONYA LEVINE	DAVID F. UTTERBACK
JAMES L. LIVERMAN	TRUDI ZIMMERMAN

P R O C E E D I N G S

48:32 a.m.

1
2
3 **DR. ZIEMER:** Good morning, ladies and
4 gentlemen. We're going to call the meeting to
5 order, so I would ask that you grab your coffee
6 and juice and so on and please take your seats as
7 soon as possible.

8 Welcome, everyone, to the first meeting of
9 the Advisory Board on Radiation and Worker
10 Health. I'm Paul Ziemer of Lafayette, Indiana.
11 I've been asked to chair this board. This, of
12 course, is our first meeting, and we're all in a
13 way sort of excited about the fact that this
14 effort is now underway.

15 The operations of this Board are governed by
16 the provisions of Public Law 92-463, which is the
17 law that sets forth the standards for advisory
18 committees. This particular Board is charged by
19 its charter and under the Public Law that sets it
20 forth is charged with very specific
21 responsibilities in terms of the matters for
22 advising the Secretary of Health and Human
23 Services with respect to the public law that
24 we're involved with. And it's my intent as
25 Chair, and I know it's the intent of all the

1 Board members, that we carry out our
2 responsibilities to the best of our abilities.
3 We seek to meet both the spirit and the letter of
4 the law; that's Public Law 106-398, which is the
5 Energy Employees Occupational Illness Program Act
6 of 2000.

7 Let us begin this morning by introducing the
8 members of the Advisory Board. And they are
9 sitting here at the U-shaped conference table,
10 and we'll simply go around, and I'm just going to
11 ask for the Board members to introduce themselves
12 and their location or employer. We'll begin with
13 Roy DeHart right here, and then proceed around.
14 Just the Committee members, and then we'll
15 introduce the other staff in a moment.

16 **DR. DeHART:** Roy DeHart. I'm Director of
17 the Center for Occupational and Environmental
18 Medicine, Vanderbilt University, Nashville,
19 Tennessee.

20 **MS. MUNN:** Wanda Munn. I'm retired from
21 Westinghouse Hanford Company, Fast Flux Test
22 Facility, in Richland, Washington.

23 **DR. ZIEMER:** I'm not sure everyone can hear,
24 and Wanda, if you wouldn't mind using the mike
25 and repeating. You don't have to talk loud, but

1 just toward the mike.

2

3 **MS. MUNN:** Wanda Munn, retired Nuclear
4 Engineer from Westinghouse Hanford Company, Fast
5 Flux Test Facility.

6 **DR. ZIEMER:** Thank you.

7 Tony.

8 **DR. ANDRADE:** I'm Tony Andrade. I'm the
9 Group Leader of the Radiation Protection Services
10 Group at the Los Alamos National Laboratory. I'm
11 also a Nuclear Engineer by training, but now a
12 Health Physicist.

13 **MR. PRESLEY:** I'm Robert Presley. I'm an
14 engineer at the Y12 plant, which is now the BWXT
15 Y-12 Plant in Oak Ridge.

16 **DR. ROESSLER:** I'm Genevieve Roessler. I'm
17 retired from the Nuclear Engineering Department,
18 University of Florida, and I'm a Health
19 Physicist.

20 **DR. ZIEMER:** Let me skip over Mr. Elliott a
21 minute and go over to Dr. Anderson.

22 **DR. ANDERSON:** I'm Henry Anderson. I'm
23 Chief Medical Officer with the Wisconsin Division
24 of Public Health.

25 **MS. GADOLA:** Sally Gadola, Occupational

1 Health Nurse Specialist at Oak Ridge Associated
2 University, Oak Ridge, Tennessee.

3 **MR. ESPINOSA:** I'm Richard Espinosa with
4 Johnson Controls Northern New Mexico, Sheet Metal
5 Workers Local 49, Shop Steward Union Trustee.

6 **DR. MELIUS:** I'm Jim Melius. I'm a
7 physician with the Laborers' Union in New York.

8 **DR. ZIEMER:** Thank you.

9 Those are the ten members of the Board,
10 including I'm one of the ten, so there's ten of
11 us.

12 And then let me introduce the individual who
13 is the lead staff person and serves as Executive
14 Secretary for this Board, and that's Larry
15 Elliott, who's Director of the Office of
16 Compensation Analysis and Support, NIOSH -
17 National Institute for Occupational Safety and
18 Health - which in turn is part of the Centers for
19 Disease Control, which in turn is part of Health
20 and Human Services, which in turn is part of the
21 U.S. Government, and so on.

22 Larry. Would you please introduce your
23 staff who are here, or let them introduce
24 themselves.

25 **MR. ELLIOTT:** Sure.

1 I think we have - they're all outside,
2 perhaps. Oh, here's Cori. Cori Homer, who's
3 Committee Management Specialist; and Nichole
4 Herbert is coming; here's Martha DiMuzio, who's a
5 Program Analyst in my office; and then Nichole
6 Herbert, who's my secretary, who's helping us out
7 here today; and Ted Katz, who's Policy Analyst in
8 the Institute. And I think that's all of the
9 NIOSH staff that are here right now.

10 We also have our writer/editors, Marie
11 Murray and Kim Newsom.

12 **DR. ZIEMER:** We have a number of other guests
13 and observers here today. We welcome you.

14 I might ask if you have not already done so
15 and wish to address the Board during the public
16 comment portion, there is a sign-up book and we
17 ask you to sign up. We do that mainly so we can
18 allot the time fairly amongst those who wish to
19 make public statements for the record.

20 We would also like to learn who you are.
21 And perhaps if I can ask you all to speak loudly,
22 simply stand and introduce yourself, who you are
23 and where you're from, and we'll try to catch the
24 names here if we can. Thank you.

25 Start right here, and just move across.

1 **MR. SHAW:** Good morning. I'm Roger Shaw
2 with the law firm of McCarter & English out of
3 Newark, New Jersey.

4 **MR. ELLENBERGER:** I'm Jim Ellenberger. I'm
5 a consultant with PACE International Union, the
6 single largest union that represents workers in
7 the nuclear weapons complex, and our union is not
8 represented on this panel.

9 **MS. DE PEYSTER:** Good morning. I'm Frances
10 de Peyster. I'm the Deputy of the CDC Washington
11 Office, around the hall from NIOSH, and I'm here
12 as an observer.

13 **DR. ZIEMER:** Thank you. Welcome.

14 **MS. DAVIS:** I'm Allison Davis. I'm the CIO
15 Administrative Officer for NIOSH.

16 **MS. KELLEY:** I'm Alice Kelley. I'm with the
17 Office of General Counsel for CDC at DHHS.

18 **MS. KUYKENDALL:** Good morning. I'm Helen
19 Kuykendall from CDC's Committee Management
20 Office.

21 **DR. ZIEMER:** Incidentally, some of these
22 folks are actually on the program, so you'll hear
23 from them again.

24 **MS. ARMSTRONG:** I'm Mary Armstrong. I'm
25 with the Office of General Counsel for CDC.

1 **MR. GIBSON:** I'm Mike Gibson. I'm Vice
2 President of the Atomic Workers Energy Council,
3 who represents a lot of DOE sites and atomic
4 workers at those sites.

5 **DR. ZIEMER:** Thank you.

6 **MR. GRIFFIN:** I'm Mark Griffin, a Health
7 Physicist consultant with PACE International
8 Union.

9 **MS. MARTIN:** I'm Fay Martin from Oak Ridge,
10 with the Local Oversight Committee and Citizens
11 Advisory Panel.

12 **MS. LEVINE:** I'm Sonya Levine from the
13 Solicitor's Office with the Department of Labor.

14 **MS. TOUFEXIS:** I'm Rose Toufexis. I'm also
15 with the Solicitor's Office in the Department of
16 Labor.

17 **MR. NESVET:** Jeff Nesvet, Solicitor's
18 Office, Department of Labor.

19
20 **MR. TURCIC:** Pete Turcic, the Director of
21 the Energy Employees Occupational Illness
22 Compensation Program, Department of Labor.

23 **DR. MICHAELS:** My name is David Michaels.
24 I'm at George Washington University School of
25 Public Health, and a consultant to the Department

1 of Labor.

2 **MR. KOTSCH:** I'm Jeff Kotsch. I'm the
3 Health Physicist for Pete's group at the
4 Department of Labor.

5 **MR. TABOR:** I'm Robert Tabor. I'm from
6 Fernald Atomic Trade and Labor Council, Fernald
7 Lab.

8 **MR. HILL:** I'm Jeff Hill, a 27-year employee
9 at Oak Ridge National Laboratory. I'm also one
10 of the Atomic Trade and Labor Council
11 Environmental Health and Safety representatives.
12 I'm glad to see labor on the Board.

13 **MR. LIVERMAN:** I'm Jim Liverman. I'm a
14 consultant to the Defense Nuclear Facilities
15 Safety Board (inaudible).

16 **MR. BURNFIELD:** Dan Burnfield. I'm a Health
17 Physicist for the Defense Nuclear Facilities
18 Safety Board.

19 **DR. ZIEMER:** Okay. I think we may have had
20 one or two others come in after we got underway.
21 Did we miss anyone?

22 Yes, in the very back, just walked in. Can
23 you introduce yourself? We're introducing
24 everybody.

25 **MS. HOMOKI:** Liz Homoki, Office of General

1 Counsel.

2 **DR. UTTERBACK:** I'm David Utterback with
3 NIOSH.

4 **DR. ZIEMER:** And if the bus lady comes in to
5 change the coffee we'll introduce her as well.
6 Very good.

7 Please consider yourself introduced to
8 everyone else here, and certainly during the
9 breaks if you want to have exchanges, consider
10 yourselves introduced.

11 Let me ask if everyone has received an
12 agenda. Is there anyone who did not get an
13 agenda? There are copies on the table. Just
14 take a moment and grab one if you do not have
15 one.

16 I'm now going to switch positions here, and
17 we have a number of presentations which in a
18 sense are in the form of orientation for the
19 Board itself.

20 And Larry, if you would introduce our first
21 speaker at this point, then we'll proceed.

22 **MR. ELLIOTT:** Good morning again. This is
23 Larry Elliott, and we do have an opening session
24 right now with Helen Kuykendall from the Office
25 of Committee Management, Centers for Disease

1 Control, to give a brief presentation to the
2 Board about the public law that establishes
3 advisory committees.

4 And you're going to have to bear with me
5 while I get this started back up, Helen, so tell
6 your best joke.

7 **MS. KUYKENDALL:** Oh, my goodness. I didn't
8 know that was going to be a requirement. It's
9 not, according to FACA.

10 I do want to say welcome to the first and
11 long-awaited meeting of the Advisory Board on
12 Radiation and Worker Health. I do work with
13 CDC's Committee Management Office, and according
14 to FACA, each agency must have a Committee
15 Management Officer, and that person for CDC is
16 Burma Burch. We have responsibility for
17 providing overall guidance and management for
18 CDC's Federal Advisory Committees to ensure
19 compliance with applicable laws and regulations.
20 We work closely with NIOSH officials and with OCG
21 staff to help the Board do business according to
22 the requirements of the Federal Advisory
23 Committee Act.

24 And I know that you all want to get down to
25 business as quickly as possible, so I will try

1 not to take up too much of your time this
2 morning. But I do want to give you just a very
3 brief overview of the purpose for and
4 requirements of FACA. And also I think Mary is
5 going to share a video with you that will give
6 you a little bit more detail about the
7 requirements of FACA and about your
8 responsibilities as a special government
9 employee, a member of the Advisory Board on
10 Radiation and Worker Health.

11 And Dr. Ziemer, I was very impressed with
12 your grasp of the way the system works and the
13 way the flow goes. And this morning after the
14 video and this presentation, you probably will
15 know everything about FACA, more than you ever
16 wanted to know but were afraid to ask because you
17 were afraid you would fall asleep. And there is
18 that slide up there somewhere.

19 **DR. ZIEMER:** Helen, could I ask, as you
20 proceed do you want Committee members to ask
21 questions as you present, or wait til the end?

22 **MS. KUYKENDALL:** It doesn't matter. Probably
23 - I will say that because the video does go into
24 more specific detail that a lot of your questions
25 may be answered after that point in time. So if

1 you'd like -

2 **DR. ZIEMER:** That would be good.

3 **MS. KUYKENDALL:** The Federal Advisory
4 Committee Act was enacted by Congress, Public Law
5 92-463, in October of 1972. Congress decided to
6 establish a system for the creation and operation
7 of advisory committees in the Federal branch - in
8 the Executive Branch of the Federal government.
9 Congress created FACA to enhance accountability
10 of advisory committees to the public to protect
11 against undue influence of special interest
12 groups and to reduce costs associated with the
13 operation of advisory committees.

14 A committee is considered subject to the
15 requirements of FACA when it is established by
16 the Federal government, and that can be either by
17 statute mandated by Congress; it can be
18 established at the discretion of the head of an
19 agency; or, in this case, by the President.
20 Actually this is by statute, but the members are
21 appointed by the President.

22 The Federal government controls the
23 activities of the committee, and committee
24 members are other than full-time or part-time
25 Federal employees. If the committee advises the

1 government and gives consensus advice -
2 individuals can give advice to the Federal
3 government, and if it is individual advice it is
4 not considered subject to the requirements of
5 FACA. But if it's consensus advice it falls
6 under the Federal Advisory Committee Act. And
7 the committee must have a specific purpose,
8 organized structure, and fixed membership.

9 FACA defines a Federal advisory committee as
10 any committee, board, commission, council,
11 conference, panel or task force that is
12 established or utilized by the Federal government
13 for the purpose of obtaining consensus advice or
14 recommendations on issues or policies.

15 The Advisory Board on Radiation and Worker
16 Health, as Dr. Ziemer has already pointed out,
17 was mandated by Congress, Public Law 106-398, to
18 advise the President on the development of
19 guidelines for making determinations related to
20 radiation exposure of DOE facility employees who
21 have specified cancer as stated in the law, and
22 to advise on the scientific validity and quality
23 of dose estimation and reconstruction efforts
24 being performed for purposes of the compensation
25 program. It's to advise on the feasibility of

1 adding classes to the Special Exposure Cohort and
2 other matters related to radiation and worker
3 health in DOE facilities considered appropriate
4 by the President.

5 And I know that Larry and other NIOSH
6 officials probably are going to go into more
7 detail about the functions for the Board, so I
8 won't do that this morning.

9 The governing authorities for the Advisory
10 Board are, of course, FACA and the Energy
11 Employees Occupational Illness Program Act;
12 Executive Order 13179, which delegated
13 responsibility for the Board to the Secretary of
14 HHS, who further delegated it to CDC and NIOSH.
15 The Board is also governed by GSA, General
16 Services Administration, regulations which was in
17 1977 given oversight responsibility for Federal
18 advisory committees; and it is also governed by
19 some department and agency policies.

20 FACA requires that a committee be chartered,
21 that it have balanced membership, and that its
22 meetings be open to the public, according to the
23 government in the Sunshine Act. And FACA also
24 requires that detailed minutes of each meeting be
25 kept, and must contain the date and location of

1 the meeting, a record of persons attending -
2 which is why, if you signed in, that's why, and
3 another reason that we introduce ourselves; and
4 FACA - the detailed minutes must contain a
5 complete and accurate description of matters
6 discussed and conclusions reached, and contain
7 any advice or recommendations provided by the
8 committee.

9 FACA also says that committee documents must
10 be made available to the public for copying as
11 long as the committee exists. So all of the
12 documents that are shared with you today must be
13 maintained, usually by the designated Federal
14 official or executive secretary, and those terms
15 are interchangeable. So all of these documents
16 will be available as long as the committee is in
17 existence.

18 FACA says that committee membership will be
19 fairly balanced in terms of points of view
20 represented and functions to be performed, and
21 its members are appointed as special government
22 employees and must comply with the conflict of
23 interest statutes. And the video will go into a
24 little bit more detail about that, and Mary
25 probably also will be talking about that.

1 Members serve on advisory committees generally
2 for overlapping terms up to four years, but in
3 this case, I believe, with the Advisory Board,
4 the President chose to make appointments for one
5 year initially.

6 Okay. And I see you all are still with me.

7 The structure of the committee is the
8 designated federal official or the executive
9 secretary, the chair, and the members. And the
10 responsibilities of the DFO are to supervise the
11 day-to-day operations of the committee, to
12 approve meeting agendas, to attend all committee
13 meetings – the Advisory Board cannot meet without
14 a designated federal official – and the DFO must
15 ensure that all committee meeting notices are
16 published in the *Federal Register* at least 15
17 days in advance of the advisory board meeting.
18 The DFO can also adjourn committee meetings when
19 he determines that it is in the public interest
20 to do so, and he can chair the meeting when
21 directed to do so.

22 The responsibilities of the committee chair
23 or board chair are to preside over the committee
24 meetings and to ensure public participation, and
25 the committee chair is also responsible for

1 certifying the accuracy of the meeting minutes.
2 I would also like to say that the DFO and the
3 committee chair usually work very closely
4 together in developing the agenda and deciding on
5 how the meetings will be conducted. It's helpful
6 to determine that in advance so that you can
7 maximize the use of the committee's time and
8 facilitate the meetings.

9 A special government employee - and if you
10 all got the standards of ethical conduct for
11 employees in the Executive Branch and completed
12 your confidential financial disclosure report
13 form, which is required in order for you to
14 attend this meeting, you know that you are a
15 private citizen appointed by, in this case, the
16 President. But generally speaking, special
17 government employees are appointed by the agency
18 head or the secretary, as well as the President.

19 And you have been appointed based on your
20 expertise that will contribute to the committee's
21 objectives, and you serve with or without
22 compensation for 130 days or less a year, and in
23 this case your charter says that you receive
24 compensation. And you are here to provide your
25 personal opinion only, and you are not the voice

1 of your organization. You are here to give your
2 opinion based on your knowledge and expertise of
3 the issues, and you are legally held accountable
4 for ethical issues, particularly financial
5 interests.

6 This next slide shows a little bit of the -
7 gives you an idea of the management for federal
8 advisory committees. The designated federal
9 official works with you and communicates with my
10 office, the Committee Management Office. And we
11 work, as I said earlier, very closely with OCG in
12 the operation of your committee and with other
13 matters related to the committee - the charter
14 establishment, your recharter, which will happen
15 in two years. And we also work very closely with
16 the Office of the Secretary and the department
17 committee management officer there, who is in the
18 office of the White House Liaison; and that
19 office works very closely with the White House.

20 And as I said earlier also, GSA has
21 oversight responsibility for the Federal Advisory
22 Committee Act, and FACA requires that the
23 President make an annual report to Congress of
24 all of the committee activities and costs. So we
25 will look to NIOSH officials to provide us

1 information about the administrative work of the
2 committee and the cost, and we in turn will
3 provide that to the Secretary's office, who in
4 turn provides that to GSA. And then GSA prepares
5 the report for the President to Congress.

6 If you would like more information about
7 Federal Advisory Committee Act, the law,
8 applicable laws, the GSA Final Rule, there's a
9 wealth of information at GSA's web site,
10 gsa.gov/committeemanagement. It also gives
11 information about all of CDC's and HHS's advisory
12 committees.

13 Thank you.

14 **DR. ZIEMER:** Thank you very much.

15 We did indicate that we would perhaps defer
16 questions, but if there is a pressing question
17 that any member of the Board has, let me give you
18 the opportunity to raise that question now.

19 [No responses]

20 **DR. ZIEMER:** If not, we will proceed. We're
21 way ahead of schedule, which usually is pretty
22 good, allows the Chair to insert more jokes if
23 necessary.

24 We do have the video. Now is that video
25 next? Some of the other official welcomers are

1 not yet here to welcome us, I think is going to
2 be the problem there. Let me look here a moment.
3 Time out.

4 I think we'll be all right. Let's proceed
5 with the video, if it's ready.

6 [Whereupon, the video entitled
7 "FACA - The First Meeting" was
8 shown.]

9 - - -

10 **DR. ZIEMER:** Okay, now we'll open the floor
11 and see if any of the Board members have
12 questions to direct to Helen.

13 Helen, are you still here?

14 **MS. KUYKENDALL:** Yes.

15 **DR. ZIEMER:** Thank you.

16 [No responses]

17 **DR. ZIEMER:** It appears that the
18 presentation was either completely clear - I'll
19 leave it at that, it was completely clear. Thank
20 you.

21 Then we'll move on to the next item on the
22 agenda. We have some particular members of the
23 agency, of HHS and NIOSH and Department of Labor,
24 that we want to introduce and give them the
25 opportunity to make some remarks.

1 I'm going to ask Larry Elliott if he would
2 introduce these guests this morning.

3 **MR. ELLIOTT:** Yes, we're certainly pleased
4 to have Mr. Claude Allen here from the Department
5 of Health and Human Services, Deputy Secretary;
6 and Director of Occupational Worker - am I
7 getting this right? - OWA, Shelby Hallmark from
8 Department of Labor; and Kathleen Rest, who's the
9 Acting Director of NIOSH. And I think we have -
10 if you want to take the front, we have places for
11 you.

12 Claude Allen, then, will begin.

13 **MR. ALLEN:** Good morning. Let's try that
14 again. Good morning. I know, it's a little
15 difficult after watching an ethics video, having
16 to do that every year.

17 Just as a bit of advice for you, if you have
18 questions, do ask. The rules are very
19 complicated, but they can be simplified by asking
20 simple questions to our counsel staff. I
21 certainly have to do it just about every day of
22 the year, whenever I - whether I'm traveling or
23 meeting with someone in the office. Just keeping
24 in touch with them is very helpful.

25 But I do want to reassure you that in many

1 ways complying with the ethics rules is very
2 simple if you keep a very simple rule of thumb,
3 and that is if it doesn't seem right, you'd best
4 ask before you take the next step. But also it
5 should not prevent you from carrying out your -
6 not only your duties in serving as a Special
7 Government Employee, but also in your day-to-day
8 operations.

9 In fact, we labored long and hard over your
10 nominations to this Advisory Committee. I was
11 directly involved on behalf of the Secretary in
12 overseeing that process, and so we do know much
13 about you. And it's nice to finally get here to
14 welcome you here to this effort.

15 First, let me start off again by first
16 welcoming you and thanking you on behalf of
17 Secretary Tommy Thompson. It's been an honor to
18 work with so many other important agencies - the
19 Department of Labor, Department of Energy, and
20 our agencies within the Department of Health and
21 Human Services - to try to come to grips with a
22 challenge that we all have, and it's been a
23 cooperative effort.

24 In fact, just to give you an idea, I meet
25 with - via conference call - at least once a

1 month with my counterparts, the Deputies at the
2 Department of Labor and Department of Energy, to
3 talk about these very issues to sort through some
4 of the challenges that we confront, some of the
5 sometimes differing opinions that may exist
6 between the agencies on what we should be doing.
7 And we seem to be able to resolve those very
8 readily in that meeting. So I do spend time
9 looking at this very important issue.

10 I also want to appreciate your commitment in
11 bringing your special talents and your skills to
12 bear on serving not just the government, but also
13 serving those families and those individuals and
14 survivors of individuals who've worked for our
15 nuclear industry and work in weapons programs.
16 Indeed, I need not remind you that in a time like
17 this we are busy right now looking at our
18 bioterrorism preparedness and at how we would
19 respond to not only bioterrorism in terms of
20 biological and chemical, but also radiological
21 and nuclear.

22 And so therefore the work that you're doing
23 very much enables this government to fulfill its
24 obligation to those who serve. And so I want you
25 to realize the high importance that we place on

1 the roles that you serve in serving on this
2 Advisory Committee. You bring to this program
3 the views and the expertise of workers and
4 independent scientists and physicians, and that
5 is what we've looked at very carefully as we
6 constructed the Board, which was very specific in
7 its makeup.

8 We're asking you to advise us on the
9 policies we're establishing for current and
10 potential cancer claimants under the new
11 compensation program. And we're also asking you
12 to advise us on decisions whether to add worker
13 groups to the Special Exposure Cohort. I've
14 learned a lot about this over the last few
15 months, more than what I had anticipated in this
16 job, but it has been a very important component,
17 and that is what groups of individuals qualify
18 for coverage. We also are asking you to help us
19 ensure the quality of our radiation dose
20 reconstruction program at NIOSH. They will be
21 focusing on quite a number of applications that
22 come through, and so we're asking you for your
23 expertise there, as well.

24 Larry Elliott, the Executive Secretary, will
25 review the responsibilities of the Board with you

1 in detail. I wanted just to share with you again
2 that our aim at HHS is to earn the public's
3 confidence in this important new program, and to
4 meet high standards of medicine and science as
5 far as possible while ensuring that claimants and
6 their survivors are given fair, timely and
7 practical service. This Committee has a key role
8 in achieving these aims.

9 And again, as Secretary Thompson has made it
10 very clear in our Department that we are one
11 department, notwithstanding the fact that we have
12 many agencies or many components of it; but we
13 are also one administration, so we want to work
14 very cooperatively with the Department of Labor
15 and the Department of Energy in arriving at the
16 very best that we can provide to these families
17 and survivors in terms of their claims.

18 So I again want to thank you on behalf of
19 Secretary Thompson for your decision to serve.
20 We appreciate your accepting this invitation.
21 And please do not hesitate, if we can provide you
22 with any service from the Department itself, to
23 contact us through Larry or anyone else here
24 who's serving you in that capacity, as to
25 assistance or advice. So again, thank you again

1 for your commitment and your dedication to this
2 effort. Appreciate it.

3 **DR. ZIEMER:** Thank you very much, Mr. Allen.

4 Now let us call on Dr. Rest to address the
5 group.

6 **DR. REST:** Good morning to all of you, and I
7 extend my personal welcome to you on behalf of
8 the National Institute for Occupational Safety
9 and Health.

10 In joining this Board you really have
11 assumed a vitally important role for advising HHS
12 and CDC/NIOSH on its responsibilities under this
13 new compensation program. We recognize that this
14 is no small commitment on your part, and so I'm
15 here to thank you up front today for the
16 contributions that you're going to make to this
17 very important effort.

18 As you know, Congress established this
19 program to provide timely, uniform and adequate
20 compensation for the men and women who worked in
21 this country's nuclear weapons program and
22 sustained occupational diseases as a result of
23 their work. These dedicated workers labored long
24 and hard on behalf of this nation, and we owe
25 them a great debt. For those who've become ill

1 in the performance of this work, we need to work
2 together very hard to ensure that we effectively
3 implement the program that Congress has created
4 to help compensate them.

5 As you know, the Energy Employees
6 Compensation Program Act named NIOSH to assist
7 the Department of Health and Human Services in
8 carrying out its responsibilities because of its
9 – of the integrity and the excellence of its
10 scientific expertise. As just noted by Deputy
11 Secretary Allen, these responsibilities include
12 making new policies to implement the program and
13 building new programs to assist claimants, the
14 Federal Compensation Program at the Department of
15 Labor, and the Office of Worker Advocacy at the
16 Department of Energy. HHS will be relying on
17 NIOSH to take the lead in implementing and
18 carrying out the major responsibilities assigned
19 to HHS under this Act.

20 Now as those of you who know NIOSH probably
21 realize, involvement in a compensation program is
22 a new role for us at NIOSH, which is the primary
23 Federal agency conducting research and prevention
24 activities in occupational safety and health.
25 NIOSH does have substantial expertise in this

1 area, however, as it's conducted epidemiologic
2 research for many years addressing health risks
3 to DOE workers. Now we at NIOSH feel very
4 honored to have been entrusted with these new
5 responsibilities, and I want to assure all of you
6 that we have made it a top priority for us.
7 We're working really hard to make this program
8 successful and to get it fully launched as
9 quickly as possible.

10 To date, and in the short time that the
11 program has been up and running, NIOSH has
12 accomplished a number of things. We've
13 established the Office of Compensation Analysis
14 and Support within NIOSH with Larry Elliott as
15 the Director of that office, now located in
16 Cincinnati. We've staffed - we've begun to staff
17 up this office with a very impressive technical
18 and scientific team, as well as a group of
19 dedicated support staff.

20 We've established records facilities,
21 systems and procedures for the dose
22 reconstruction program. We've developed an
23 interim final rule on dose reconstruction and a
24 notice of proposed rule-making on the probability
25 of causation. We've developed a web site that I

1 hope you've all logged onto. We've adapted
2 existing software for probability of causation
3 calculations and internal dose estimation. We've
4 issued an RFP for a dose reconstruction program,
5 appointed physicians to serve as panelists – as
6 members of medical panels serving the DOE Office
7 of Worker Advocacy. We've begun to receive
8 cancer claims from the Department of Labor and
9 begun the process of dose reconstruction.

10 And we're responsible for staffing and
11 funding this Advisory Board. And I'm here to
12 assure you that we will do our very best at NIOSH
13 to provide you with the support and the resources
14 that you need to fulfill your own significant
15 responsibilities under this program. We
16 recognize the enormous commitment that you've
17 made, and we certainly look forward to working
18 with you in the coming months.

19 Now our aim as part of this program, the
20 compensation program, is to serve the nuclear
21 weapons workers and their survivors as well as
22 possible. With your advice, we have to establish
23 HHS policies and decisions that are fair to
24 workers and their survivors, that are grounded to
25 the extent feasible in good sound science, and

1 that are practical and timely. With your advice,
2 we have to achieve a dose reconstruction program
3 that meets those high standards and serves the
4 critical needs of claimants and the Department of
5 Labor.

6 Now in working with you, the Board, I can
7 tell you that we are committed to helping you
8 fulfill your responsibilities. Working
9 collaboratively with you and with our sister
10 agencies to assure efficient and effective
11 implementation of this program, we will give you
12 the support that you need, and we are certainly
13 committed to open and honest communication
14 throughout this process.

15 So again, on behalf of NIOSH, I want to
16 thank you for joining in this important endeavor,
17 and we certainly look forward to working with you
18 in the coming months.

19 **DR. ZIEMER:** Thank you very much. And Dr.
20 Rest, will you be able to stay with us a little
21 while, at least through the break, so committee
22 members can meet you?

23 **DR. REST:** I'll be with you through the
24 break.

25 **DR. ZIEMER:** Thank you very much. I should

1 note this meeting would become very restless if
2 you left.

3 Okay. Mr. Hallmark, please.

4 **MR. HALLMARK:** Thank you. It's my pleasure
5 to join with Secretary Allen and Dr. Rest to
6 welcome you in your role as the Advisory
7 Committee on this very important topic today.

8 I'd like to just congratulate you for having
9 been selected for this activity and, as the two
10 previous speakers, thank you for accepting it.
11 It's going to be a difficult task, but I assume,
12 given your background and the interest that you
13 bring, that you'll be able to achieve great
14 things in this role.

15 It is going to be challenging. Usually
16 advisory committees are focusing their help on
17 one particular part of the government. This
18 particular program gives you the opportunity to
19 address three or four Cabinet-level departments,
20 and is rather unique in that regard.

21 We are the Department of Labor, the agency
22 that was given lead responsibility in actually
23 implementing the Federal part of the Energy
24 Employees Occupational Illness Compensation
25 Program Act. We did not name the program; I want

1 the record to show that. Congress is responsible
2 for that. But we call it affectionately EEOICPA,
3 so if you'd like to get used to that acronym,
4 you're going to hear it.

5 We are obviously, as the entity that's
6 responsible for taking and adjudicating claims,
7 we're vastly interested in what you do and the
8 effectiveness and quality and speed with which
9 you do it, because all of those things will
10 affect us. And I'll talk a little bit more about
11 that as I go on.

12 The Department of Labor has the
13 responsibility for, as I said, adjudicating the
14 Federal benefit program under this statute. That
15 involves our issuing lump sum payments and
16 medical benefits for those who are found to be
17 eligible. It requires us to provide an
18 administrative appeal process for those who
19 disagree with our decisions. And ultimately we
20 would be involved with the Department of Justice
21 in defending those decisions in court for those
22 who are still aggrieved after they've gone
23 through our process.

24 We're eager to see the results of the
25 Board's deliberations, primarily, I should say,

1 because until HHS can complete the work on their
2 probability of causation rule, with your input
3 and review, we won't be able to address thousands
4 of cases that are already in hand. And that's a
5 matter of grave concern to the agency that has
6 those cases in hand, and people know our address.
7 So we're interested in getting this process
8 moving.

9 The other piece is that the Board has the
10 responsibility to advise HHS and NIOSH with
11 regard to their dose reconstruction process, and
12 with regard to the expansion process for the so-
13 called Special Exposure Cohort. It's very
14 important for all the agencies involved that
15 those processes are strong, they're reasonable,
16 they are understandable to the public that's
17 interested in this, so that we can have a
18 credible program and one that everyone is proud
19 to administer.

20 Just to let you know a little bit about what
21 the Department of Labor has been up to since this
22 program went into effect, we published our
23 interim final regulation back in May, and that
24 allowed us to begin taking claims and
25 effectuating this program on the date required by

1 Congress, which was July 31st, 2001. We had put
2 in place a benefit claims structure analogous to
3 others, other parts of the Department of Labor.

4 By the way, Larry, I'm with the Office of
5 Worker's Compensation Programs. The Office of
6 Worker Assistance is over in that other program
7 at Department of Energy.

8 We have - we've got 150 Federal employees in
9 place now in four district offices around the
10 country in Seattle, in Denver, in Jacksonville,
11 Florida and in Cleveland, Ohio; and a national
12 office staff including our Final Adjudication
13 Branch, which is the ultimate deciding body for
14 us.

15 We've put in place, along with the
16 Department of Energy, ten resource centers in the
17 major sites that DOE weapons facilities are
18 located in, and those have been up and running
19 since July also. And we've established a process
20 of outreach, which has led us to do town hall
21 meetings on more than 60 occasions, and we've
22 done a number of traveling resource centers where
23 we send people out to locations where we don't
24 have currently a formal office to help people
25 file their claims. So we've got a lot of

1 outreach going on. We're trying to reach the
2 public who may be interested in filing this type
3 of claim.

4 As of last Thursday, January 17th, we had
5 18,061 claims, so you can see the program is
6 real. It's growing, and it will continue to
7 grow.

8 Many of the claims that we have in hand are
9 ones that Department of Labor has the authority
10 and responsibility to take all the way to the end
11 at this point. Those include those Special
12 Exposure Cohort cases, individuals who have
13 radiation-induced cancers of a kind listed in the
14 statute, and who worked in a facility where the
15 statute provides us with a presumption that there
16 was occupational linkage to that particular
17 condition. So those Special Exposure Cohort
18 cases we can take to the end.

19 Beryllium exposure cases we can adjudicate
20 and make a final decision on; silicosis cases for
21 those who are miners involved in digging tunnels
22 for tests; and the supplemental benefit program
23 for those who will receive benefits from the
24 Department of Justice under the Radiation
25 Exposure Compensation Act - that's not a piece of

1 the statute that you have direct contact with.
2 But those are the four areas where we can take
3 the case and go all the way to the end.

4 And in six months, and actually less than
5 six months since this bill became effective, we
6 have made a good start, I believe, in trying to
7 address those cases where we have that full
8 responsibility. We've made 2,500 what we call
9 recommended decisions in those four district
10 offices. We've made 1,570 final decisions in our
11 final branch, final adjudication branch. And
12 we've made 1,044 lump sum payments to injured
13 workers and their survivors, and clearly that is
14 a substantial number. It's not as many as we'd
15 like, but it is a good beginning, I believe,
16 given the start-up requirements involved in this
17 kind of a major entitlement program.

18 But the majority of the cases that we have
19 in hand, and clearly the majority that we expect
20 to get over the next several years, are cases
21 that involve a radiation exposure and a claim of
22 cancer caused by radiation exposure where NIOSH
23 will have to do a dose reconstruction. That set
24 of cases is going to require an intricate level
25 of interaction and cooperation between the

1 Department of Labor and HHS, and is the source of
2 a lot of our interest in how you do your work and
3 the kind of advice you provide.

4 Specifically, just to give you a notion of
5 the degree to which we have to interact closely
6 with HHS, the process involves something like the
7 following. We receive the claim and screen the
8 claim to determine whether the individual was a
9 DOE worker and has one - has a cancer. Having
10 done that, we refer the case to NIOSH for a dose
11 reconstruction. NIOSH completes that
12 reconstruction, returns the case to DOL. DOL
13 then adjudicates the case, makes a final decision
14 based on the exposure report that we get from
15 dose reconstruction and on HHS's probability of
16 causation regulation. And having done that, if
17 the claimant has objections or concerns, we may
18 have to send the case back to HHS to reconsider
19 that dose reconstruction. So you can see cases
20 will be going back and forth between the two
21 agencies, and that's the reason I suspect why I'm
22 here today talking with you at a HHS-sponsored
23 operation.

24 We are - I'm happy to report that that level
25 of cooperation that's going to be needed to

1 implement this program has in fact been working
2 very well in the early going here. We have had
3 very good relationships and working coordination
4 with HHS, and I'd like to congratulate NIOSH for
5 the work they've done so far in terms of putting
6 together their regulations and their procedures
7 for going forward. This is an intensely
8 difficult undertaking, and as Kathy had
9 mentioned, not an area that NIOSH has been
10 familiar with in terms of processing individual
11 claims. The Department of Labor does that kind
12 of work and NIOSH has not, historically, and it's
13 been quite gratifying to see how quickly and how
14 professionally NIOSH has moved ahead in that
15 process.

16 We are, as mentioned, in the process of
17 sending cases over to NIOSH. Fifteen hundred
18 cases are there so far which require dose
19 reconstruction. Another 1,500 cases will
20 probably be delivered by April, which is the goal
21 that NIOSH has for getting their regulation in
22 place. And until that regulation is in place and
23 effective, even though the dose reconstruction is
24 complete, Department of Labor can't act on the
25 case because we have no basis for making a

1 decision about probability.

2 So that's where your input comes in. That's
3 where the urgency, the rubber meets the road, as
4 far as this panel is concerned. It's not an
5 academic exercise. There are already 1,500;
6 there'll soon be 3,000 cases, individuals. These
7 are workers or their survivors who have incurred
8 a very serious or fatal disease, all of whom are
9 currently waiting, more or less patiently -
10 hopefully patiently - for this process to be
11 elaborated and then to work for them.

12 We are also waiting patiently, and hopefully
13 we'll be working with you. If the Board needs
14 help from the Department of Labor with respect to
15 our specific part of this, we'll be glad to
16 provide any information you might need. It's a
17 difficult task, as I said earlier. I again
18 commend you for taking it on, and I know that the
19 nuclear workers who suffered these exposures
20 deserve your serious and best efforts.

21 Thank you very much.

22 **DR. ZIEMER:** Thank you very much, Mr.
23 Hallmark. And I assume that you might be here
24 for a while, and perhaps we'll have a chance to
25 chat with you during the break, at least?

1 **MR. HALLMARK:** Absolutely.

2 **DR. ZIEMER:** Thank you.

3 Might I - we'll allow a few minutes for some
4 questions here. Let me pose one to start with.

5 Would I be putting you on the spot to ask
6 you to identify the ten resource centers that DOL
7 has established around the country?

8 **MR. HALLMARK:** Not at all. I'll speak into
9 the microphone here. We have with DOE
10 established the centers, starting - I think our
11 first one went up in Paducah, Kentucky. There
12 are ten centers around the country - Hanford; Las
13 Vegas; Rocky Flats, Colorado; Paducah; Oak Ridge;
14 Savannah River, South Carolina - okay, now you've
15 - now I'm starting to slow down here -

16 **DR. ZIEMER:** Los Alamos?

17 **MR. HALLMARK:** Los Alamos, yes.

18 **UNIDENTIFIED:** Idaho.

19 **MR. HALLMARK:** And the national - thank you
20 back there, Rick.

21 **UNIDENTIFIED:** Portsmouth.

22 **MR. HALLMARK:** And Portsmouth, Ohio. How
23 could I forget Portsmouth? Okay.

24 So I think that's nine, and we have
25 Anchorage, Alaska, which is a smaller site that's

1 run by employees who are in the former worker
2 program up there.

3 **DR. ZIEMER:** Thank you very much.

4 **MR. HALLMARK:** And we've done traveling
5 centers where we send those people from those
6 offices out to do the same kind of work in
7 several different places - Southern California;
8 Buffalo area of New York; Reading, Pennsylvania;
9 western Pennsylvania, and on several occasions to
10 the Amarillo area where Pantex is.

11 **DR. ZIEMER:** Let me ask if other Board
12 members have questions.

13 Yes, Dr. DeHart?

14 **DR. DeHART:** If you can, do you have a
15 crystal ball guess as to how many claimants there
16 will be by the time the program runs its course?

17 **MR. HALLMARK:** I think that would be very
18 difficult to guess. We had initially estimated
19 at Labor something like 80,000 claims in the
20 first two years. That probably was a little high,
21 based on what we've received in the first six
22 months here. However, I think it's a little
23 early yet to say.

24 As you know, Congress has recently amended
25 the statute to broaden the definition of

1 survivor, among other important sort of fixes,
2 and also addressing themselves to people who have
3 tort claims and how they need to proceed with
4 their tort claims in light of possible
5 eligibility under the EEOICPA benefit program.
6 Both of those may have an impact of bringing
7 people in who had been reluctant to come forward
8 or who thought they were not covered. In the
9 case of survivors, very clearly adult children,
10 so-called, were clearly disallowed by the
11 language of the previous statute.

12 So we expect - we're seeing something like
13 500 or 600 claims per week coming in now. We
14 expect that perhaps to grow, and we could see as
15 many as 75,000 in the first two years. And then
16 it's an ongoing program. As people incur these
17 diseases that are covered under the Federal
18 statute, they will become eligible over time.
19 And obviously, since the statute covers cancer
20 and cancer applies - visits the lives of a very
21 high percentage of the American population, we
22 can expect this program to continue for a long
23 time.

24 **DR. ZIEMER:** Other questions either for Dr.
25 Rest or Dr. Hallmark? Yes.

1 **DR. ANDERSON:** Of the 1,000 lump sum
2 payments, what's been the average payment?

3 **MR. HALLMARK:** The lump sum payments are
4 established by Congress, and they are \$150,000
5 for the individuals in this cohort. They are
6 \$50,000 for the supplement for the RECA
7 beneficiaries, but there's no different amount.
8 It's that - unless it's -

9 **DR. ANDERSON:** All of these have been in the
10 150 group?

11 **MR. HALLMARK:** No, no. Quite a number of
12 them have been RECA supplements, because that was
13 something we could do very quickly based on the
14 Justice Department telling us, yes, these people
15 were our beneficiaries. So in fact, the majority
16 actually are RECA benefits as opposed to the
17 other.

18 **DR. ZIEMER:** And there it's the difference -
19 their original payments were \$100,000?

20 **MR. HALLMARK:** These were uranium miners who
21 originally received \$100,000, and the law gave
22 them the extra \$50,000 as a matter of parity.
23 But we are receiving and processing claims very
24 rapidly now. And as I say, our infrastructure
25 being in place, we expect to get a lot of the -

1 especially Special Exposure Cohort cases through
2 the system in the next few months.

3 **DR. ZIEMER:** And your beryllium, the numbers
4 you gave us for beryllium included the beryllium
5 sensitivities where you're covering medical care
6 as well, or -

7 **MR. HALLMARK:** The numbers of cases decided
8 included some beryllium cases. We would not
9 issue a lump sum payment in the case of beryllium
10 sensitivity; that's correct.

11 **DR. ZIEMER:** Right.

12 Other questions?

13 [No responses]

14 **DR. ZIEMER:** Thank you.

15 It's 10:00 o'clock. We are going to take
16 our break. Since we've already had the film on
17 committee membership it basically puts us really
18 a little ahead of schedule, so we can allow the
19 break to continue till about 20 after, give you a
20 little breathing space. So let's all take a
21 break at this time.

22 [Whereupon, a break was taken from
23 approximately 10:00 a.m. until
24 10:25 a.m.]

25 - - -

1 **DR. ZIEMER:** Thank you, we'll come back to
2 order now.

3 Before we proceed with the agenda, just a
4 couple of items. First of all, an instruction to
5 our Board members. When you do have comments or
6 questions, it's been requested that you speak
7 into the mikes. It's important not only for our
8 recorders, but for those who are here observing
9 to hear what you are saying.

10 Secondly, if you are a visitor or observer
11 and would like to address the Board or make a
12 public comment or have items for the record, we
13 ask that you sign up. There is a sign-up book
14 out in the foyer, and if you would please sign
15 up. This is mainly so we can allow the time
16 accordingly. But I know that there are some of
17 you that have arrived since we mentioned this
18 earlier today, so this is a reminder to you if
19 you do wish to speak later when we have that
20 public comment portion of the agenda, we need to
21 have you on our roster to do so. So please sign
22 up.

23 And then I would ask if there are those of
24 you who arrived sort of mid-morning or after the
25 introduction period, we would like to learn who

1 you are, again so we have some idea of who's
2 here. This is, after all, an open meeting. So
3 are there any of you that arrived after the
4 introduction periods of this morning that are
5 here, if you would please stand and identify
6 yourself, and tell us who you are and where
7 you're from. There are quite a few of you. This
8 is a whole new group; are we in the same meeting?
9 Okay.

10 Just start here on my left, and we'll sweep
11 across. Speak loudly so the recorder can also -
12 I know you've registered, or I assume you have,
13 but we're also recording here as well.

14 **MR. SPENGLER:** Thank you. Good morning. I'm
15 Bob Spengler, the Associate Administrator for
16 Science at the Agency for Toxic Substances and
17 Disease Registry.

18 **DR. ZIEMER:** Thank you.

19 **MR. MAURO:** I'm John Mauro. I'm with
20 Sanford Cohen and Associates. We're a consulting
21 firm.

22 **MS. ZIMMERMAN:** Trudi Zimmerman, Office of
23 Compensation Analysis and Support.

24 **DR. SCHUBAUER-BERIGAN:** Mary Schubauer-
25 Berigan, NIOSH Health-Related Energy Research

1 Branch.

2 **MR. HENSHAW:** Russ Henshaw, Office of
3 Compensation Analysis and Support, NIOSH.

4 **MR. SCHAEFFER:** Mike Schaeffer, Department
5 of Defense, Defense Threat Reduction Agency,
6 Program Manager of the nuclear test personnel
7 review.

8 **MR. MORALES:** Frank Morales with the
9 Government Accountability Project.

10 **MR. MILLER:** Richard Miller, Government
11 Accountability Project.

12 **DR. ZIEMER:** Across here, go ahead.

13 **DR. NETON:** Jim Neton from the NIOSH Office
14 of Compensation Analysis and Support.

15 **MR. SUNDIN:** And I'm Dave Sundin, Deputy
16 Director of the Office of Compensation Analysis
17 and Support.

18 **MR. CALHOUN:** I'm Grady Calhoun, Office of
19 Compensation Analysis and Support.

20 **MR. RICHARDSON:** David Richardson. I'm an
21 epidemiologist at UNC Chapel Hill.

22 **MR. BARAVY:** Jordan Baravy (phonetic),
23 ALF-CIO.

24 **DR. ZIEMER:** Thank you all. Did we miss
25 anyone? Thank you for being here, and we'll

1 proceed now with the agenda.

2 The next person on the agenda is Mary
3 Armstrong, who's with the Office of the General
4 Counsel of NIOSH. And Mary's going to come and
5 address us on some legal issues. This is again
6 some information that's very important to the
7 Board itself.

8 Mary, I know you're -

9 **MS. ARMSTRONG:** I'm right here.

10 **DR. ZIEMER:** Oh, there you are, standing in
11 the wings. Thank you.

12 **MS. ARMSTRONG:** I'm Mary Mitchell Armstrong.
13 I'm the Senior Attorney with the Office of
14 General Counsel assigned to NIOSH.

15 As Kathy mentioned, NIOSH is primarily a
16 research agency, the research agency for
17 occupational safety and health. And until last
18 year in October, I was the only attorney for
19 NIOSH, so this program in particular will
20 probably mean that NIOSH will have quite a few
21 more attorneys. But we are primarily - it is
22 primarily a research agency, and is also - we're
23 relatively new in the area of rule-making.

24 In addition to me, Alice Kelley - if you'll
25 stand up, Alice - is working with me, and Liz

1 Homoki has been working with the program.

2 I'm here just to do - I talked with some of
3 you personally when we were reviewing your 450s.
4 I just wanted to emphasize again that if you have
5 any questions concerning those 450s and any
6 questions regarding anything that - as far as
7 conflict of interest, please give Larry Elliott a
8 call, and he will get to one of us and we will
9 try to answer your questions. And we've been
10 working very closely with the Office of General
11 Counsel's ethics divisions, too. So in the
12 future, if anything happens and you have any
13 questions, please do not hesitate to call.

14 But I think you've probably been fairly
15 overwhelmed with ethics this morning with our
16 film and et cetera, so I'm basically here to give
17 - just to talk briefly about the rule-making
18 process.

19 As you are aware, we have put out an interim
20 final rule on the dose reconstruction methods.
21 And as a matter of fact, NIOSH is in the process
22 of processing some dose reconstructions. We also
23 have put out a notice of proposed rule-making for
24 the probability of causation. By statute, by the
25 energy statute, you all are to provide us advice

1 on the probability of causation, and NIOSH has
2 also requested advice on the dose reconstruction,
3 and that's the purpose of you being here today.

4 As I think you are aware, NIOSH is hoping to
5 finalize both of these regulations by early - by
6 April. As Shelby mentioned, there are many
7 claims - the cancer claims cannot be finalized
8 until the probability of causation regs are
9 finalized. So we are under a tight time frame to
10 try to get these in place, which will mean that
11 you will have to put in some extensive work
12 during that time frame, along with the Agency.

13 This meeting is being transcribed. The
14 transcript of the meeting will go into the record
15 for both rule-makings. That includes any
16 comments you make, any comments the public makes,
17 the presentations, et cetera, will all go into
18 the record for both rule-making, and we're
19 holding open the records for your
20 recommendations.

21 I wanted to emphasize, however, that we are
22 in the comment period and are here getting your
23 comments. The people who give presentations here
24 are going to try to be as responsive as possible
25 to your questions, but neither NIOSH nor the

1 Department has made any final decisions on the
2 final contents of these rules. We're here to
3 listen to what you have to say, to listen to what
4 the public had to say previously during the
5 comment period, and to take all those into
6 advisement. And so they're - we're still in the
7 process of coming up with the final reg, and
8 nothing has been finalized.

9 Again, we appreciate you being involved with
10 this, and this is quite a challenge. We have
11 many advisory boards within HHS. There are at
12 least 168 that are just appointed by the
13 Secretary that are just discretionary, so I
14 imagine we have probably over 200 advisory boards
15 altogether. Very few of them have quite as much
16 work load as you all do, so we appreciate your
17 participation. And if you have any questions,
18 need to contact any member of my staff or me, or
19 any member of my staff, please contact Larry and
20 we'll get in touch with you.

21 Do you have any questions? Yeah.

22 **DR. MELIUS:** What are the dates on the
23 comment periods, and does that include the next
24 meeting of the Board?

25 **MS. ARMSTRONG:** The dates on the comment

1 period is - we did not include the next period of
2 this Board. This is -

3 **MR. ELLIOTT:** I'll speak to that in a
4 minute.

5 **MS. ARMSTRONG:** Yeah.

6 **DR. ZIEMER:** Okay. Other questions?

7 [No responses]

8 **DR. ZIEMER:** Mary, you realize that our
9 agenda requires you to speak for a half-hour?

10 [Laughter]

11 **MS. ARMSTRONG:** Well -

12 **DR. ZIEMER:** Just keep going.

13 **MS. ARMSTRONG:** I was going to say I'm among
14 the technologically-challenged, so you didn't
15 have to sit through a PowerPoint for me because I
16 can't quite do that. So -

17 **DR. ZIEMER:** Thank you, we applaud you
18 there.

19 **MS. ARMSTRONG:** So anyway, I'm sure that
20 you'll - the less you hear from the lawyers, the
21 better.

22 **DR. ZIEMER:** Can you give us a timetable on
23 once the comment period closes - and I believe
24 that's this week, is it not? Or is it next week,
25 two weeks from now?

1 **MS. ARMSTRONG:** The comment period for the
2 public will be closing again today - I mean,
3 tomorrow.

4 **DR. ZIEMER:** Tomorrow for the public, yes.

5 **MS. ARMSTRONG:** But for receiving the
6 Board's comments will be after -

7 **DR. ZIEMER:** Right. Now what's the
8 timetable, once you have the comments and you
9 have to deal with those, is there a target date?
10 Or maybe I'm getting into Larry's talk here, as
11 to when the final rule will hit the books.

12 **MS. ARMSTRONG:** We are hoping to have the
13 final rule, as you say, hit the books or hit the
14 street in April. This involves NIOSH having to
15 go through your comments and consider them, draft
16 the final rule. That has to be cleared by the
17 Department, and there's various things that have
18 to be done before it can be finalized. So we're
19 on a very tight time frame. We want to get this
20 rule, these rules finalized and so that we can
21 get this program up and running and people paid.

22 **DR. ZIEMER:** And is it my understanding that
23 the final rule also includes, perhaps as an
24 appendix or something, the public and Board
25 comments, as well as the response of the Agency

1 to the comments?

2 **MS. ARMSTRONG:** The Agency will address the
3 comments in the preamble to the rule.

4 **DR. ZIEMER:** In the preamble, thank you.

5 **MS. ARMSTRONG:** Right. The comments
6 actually are available now. You can see them on
7 the OCAS -

8 **DR. ZIEMER:** They're on the web site, yes.

9 **MS. ARMSTRONG:** - OCAS web site.

10 **DR. ZIEMER:** Right.

11 **MS. ARMSTRONG:** But they won't be appended
12 to the final -

13 **DR. ZIEMER:** But the responses will?

14 **MS. ARMSTRONG:** Well, but the comments will
15 be addressed in the preamble to the rule-making
16 as to why changes were made or not made.

17 **DR. ZIEMER:** Are there questions for Mary at
18 this time? Questions on the other legal issues,
19 your conflicts of interest and so on?

20 [No responses]

21 **DR. ZIEMER:** Okay. Thank you very much,
22 Mary.

23 And if you do have private comments or
24 questions for Mary on any of those legal issues,
25 including your conflict of interest waiver

1 documents, you can talk to Mary individually on
2 that.

3 Right?

4 **MS. ARMSTRONG:** I'm actually going to have
5 to leave and go back to HHS, but Alice and Liz
6 will be here.

7 **DR. ZIEMER:** You have staff people here to
8 help. Thank you.

9 Okay, then I think we will proceed, even
10 though we're a little ahead of schedule. That's
11 fine. And we're going to now hear from Larry
12 Elliott, who, as has already been indicated,
13 serves as Executive Secretary for this Advisory
14 Board, as well as serving as the Director of the
15 Office of Compensation.

16 Larry, please proceed.

17 **MR. ELLIOTT:** Well, as many of the previous
18 speakers have mentioned, you have several
19 responsibilities and a huge, challenging task
20 before you, and we're going to talk about that
21 now. I'm going to walk you through the
22 responsibilities as they're specified from their
23 genesis in the Act, the Employees Compensation
24 Program Act, as well as the Executive Order and
25 finally your charter.

1 But let me step back and briefly talk about
2 – we've reopened the public comment period for
3 both rules, the interim final rule for dose
4 reconstruction and the notice of proposed rule-
5 making for probability of causation. Those were
6 reopened last week in order that this Board and
7 the public can provide comments during this Board
8 meeting, and the Board will be able to provide
9 its consensus comments to the record before
10 February 6th. That's a daunting challenge.
11 We're going to have a lot to do before February
12 6th.

13 Tomorrow, the close of business tomorrow,
14 will end the public comment period, the receipt
15 of public comments for the record. But we'll
16 leave the record open for the Board's
17 deliberations on its consensus comments, which
18 again will need to be submitted by February 6th.
19 That's a goal that we have set, and I'd like to
20 see us achieve that goal. And it's tied in to
21 our need and our intent to finalize and
22 promulgate these rules so that we can use them,
23 and so that the Department of Labor can
24 adjudicate the claims that we have in our hands
25 and those that we understand are forthcoming.

1 Any questions on our reopening of the public
2 comment period and what that constitutes for this
3 body?

4 **UNIDENTIFIED:** What were the two areas
5 again?

6 **MR. ELLIOTT:** The two areas? There's two
7 rules. Is that -

8 **UNIDENTIFIED:** Yeah, you mentioned public
9 comment for something and something.

10 **MR. ELLIOTT:** Well, there's two rules.
11 There's an interim final rule on dose
12 reconstruction. That's a rule that NIOSH will
13 use, along with technical guidelines that support
14 that rule, to do individual dose reconstructions
15 on cancer-related claims.

16 And then there's a rule of probability of
17 causation, which is a notice of proposed rule-
18 making, a slightly different track toward
19 promulgation. And that rule will be used by the
20 Department of Labor to finally adjudicate and
21 come forward with a recommended decision on a
22 cancer-related claim.

23 **UNIDENTIFIED:** Thank you.

24 **DR. ZIEMER:** Incidentally, these are Code of
25 Federal Regulations, Part 42 -

1 **MR. ELLIOTT:** 42.

2 **DR. ZIEMER:** - CFR 81 and 82.

3 **MR. ELLIOTT:** Right.

4 Yes, Dr. Anderson?

5 **DR. ANDERSON:** Just a quick question on the
6 choice of February 6th. Was this a statutory
7 requirement, that you could only open it for a -

8 **MR. ELLIOTT:** No, sir.

9 **DR. ANDERSON:** I mean, it seems that you're
10 putting a great deal of -

11 **MR. ELLIOTT:** I'm putting pressure on the
12 Board, yes, I am.

13 **DR. ANDERSON:** You're putting pressure on
14 the Board without having consulted the Board on -
15 we cancelled the last meeting because you weren't
16 able to process paperwork.

17 **MR. ELLIOTT:** Right.

18 **DR. ANDERSON:** And now we're left with a
19 two-week period here to - and generally the
20 advice you get is proportional to how much time
21 one has to give to it, so -

22 **MR. ELLIOTT:** Yes, we understand that. We
23 recognize that. And that's why I'm being very
24 frank with the Board, that this is a challenge
25 and a goal that we - the Department has set in

1 order to achieve an April promulgation deadline.

2 **DR. ZIEMER:** And I might comment, Dr.
3 Anderson, I think the Board, by the end of the
4 day tomorrow, will have a better feel for whether
5 that's realistic. I think perhaps the Agency
6 feels that the quality of our advice may be
7 inversely proportional to the time available, so
8 who knows. It's all in the modeling. It's like
9 the dose reconstruction.

10 **MR. ELLIOTT:** Well, we're going to give you
11 as much help and support to try to achieve this
12 goal as possible. And as I've said to staff from
13 the very start, we're going to do the best we
14 possibly can, and we'll see what we can
15 accomplish.

16 So from the Energy Employees Occupational
17 Illness Compensation Program Act, it was the
18 sense of the Congress - or you can translate that
19 into their understanding, or perhaps their belief
20 - that there were hundreds of thousands of
21 workers who had served the nation in developing
22 the nuclear weapons arsenal, and also that many
23 of those workers have had to pay a high price for
24 that occupational employment in dealing with the
25 special types of exposures that they encountered.

1 These bullets are all paraphrased from the
2 opening of that Act, and that really is – serves
3 as the backdrop and the background on why we're
4 here today. There was a huge watershed shift in
5 philosophy and culture surrounding DOE and the
6 weapons program that has resulted in a
7 compensation program dedicated to those workers.

8 The purpose of this compensation program is
9 to provide timely, uniform and adequate
10 compensation for covered employees, or their
11 survivors, who have suffered from illnesses
12 incurred in the performance of the Department of
13 Energy work and its contractors and
14 subcontractors, and those entities that were in
15 place before DOE came along that were contracted
16 under the Atomic Energy Commission, called atomic
17 weapons work employers.

18 What this body is specifically concerned
19 with regarding the language of the Act is those
20 claimants who come forward who have cancer. And
21 in this part of the Federal program for this
22 compensation program, an employee at a DOE work
23 site who was a contractor or a DOE employee at
24 that work site and sustained cancer in the
25 performance of duty at that work site will be

1 awarded compensation if it was determined that
2 the cancer was at least as likely as not related
3 to the radiation exposure in the performance of
4 that duty. What's critical here to understand is
5 that we're only dealing with radiation. We're
6 not dealing with inter - effects from chemicals
7 or interrelated effects from other types of
8 exposures. We're only going to assess radiation
9 exposure and its potential association in
10 relationship with the cancer as an outcome.

11 An individual who's a covered employee must
12 have a specified cancer if they are within a
13 member - a member of the Special Exposure Cohort,
14 and those are 22 cancers that are listed that
15 have been amended recently by acts of Congress.
16 So we're not going to see those individual SEC
17 cancer claims. The Department of Labor will
18 automatically verify their employment through
19 Department of Energy, verify the diagnosis of the
20 cancer, assure that it's one of the 22 that's
21 presumed in that list, and provide an award.
22 This body will see all other types of cancer and
23 all other - for individuals at all other sites,
24 as well as individuals at these Special Exposure
25 Cohort sites who do not have one of those 22

1 listed cancers.

2 I'm going to move to the Executive Order. I
3 didn't cover a lot of territory in the Act. I
4 hope you've had time, chance to read it. There's
5 a lot of other information in the Act about
6 beryllium and silica. We're only going to focus
7 on cancer. But if you have questions about that,
8 we would entertain those and give you a response.

9 So in the Executive Order we get a little
10 bit more specific information about who's going
11 to do what and how they're going to do it. This
12 Order sets out the Agencies' responsibilities
13 across four Departments in the Executive Branch,
14 and those responsibilities are specified to
15 accomplish the program's goals and building on
16 the principles and the framework that was set
17 forth in the Act. The Department of Labor, the
18 Department of Health and Human Services, and the
19 Department of Energy are all responsible for
20 developing and implementing specific actions
21 under the Act to compensate these workers.

22 Here's the specific responsibilities of the
23 Secretary of Labor – and Mr. Hallmark went
24 through these in his presentation, but just as a
25 reminder they have the lead, as the lead Agency,

1 in administering the program:

2 They determine the eligibility and
3 adjudicate the claims for all the Federal
4 compensation claims that come forward, not only
5 cancer, but the silica and beryllium. They have
6 promulgated their regulations for the
7 administration of the program, which Mr. Hallmark
8 mentioned, back in May, and that's how this
9 program is to function.

10 They are to ensure the availability of all
11 forms necessary to complete a filing of a claim.
12 And if you've been on their web site, you've seen
13 these forms. Their resource centers provide the
14 forms and provide guidance on how these are
15 completed. They are to develop information
16 materials in accordance - in coordination with
17 the Department of Energy and with the Department
18 of Health and Human Services, which are designed
19 to help claimants understand the process and
20 understand their eligibility for this program,
21 and how to file their applications.

22 The Secretary of Health and Human Services
23 have been given these responsibilities under the
24 Act:

25 Specifically, to promulgate the regulations

1 that we have before us in draft form to establish
2 guidelines for determining the probability of
3 causation and for methods to conduct and complete
4 dose reconstructions on an individual claim
5 basis. We're also in the Department of Health
6 and Human Services responsible for conducting
7 those individual dose reconstructions for a
8 verified cancer claim.

9 We have another responsibility, which is to
10 consider and issue determinations on petitions by
11 classes of employees to be treated as members of
12 the Special Exposure Cohort. This is a distinct
13 and daunting challenge before this committee. We
14 will bring forward at a later meeting of this
15 body the process guidelines, policy guidelines
16 from the Secretary on how he wishes to proceed
17 with this, and seeks your review and guidance on
18 those.

19 We are also in HHS to appoint members to the
20 DOE physicians' panel, which Dr. Rest indicated
21 to you we have accomplished that, and the
22 Department of Energy is finalizing its rule on
23 how those panels will operate and be run. It is
24 simply our role at HHS to provide appointed
25 physicians to serve on those panels. Those

1 panels review state-based compensation claims.
2 They don't do anything or have any auspice over
3 the Federal side of the program.

4 And finally, HHS is responsible for staffing
5 and administrative support to this Advisory
6 Board.

7 The Secretary of Energy has a number of
8 responsibilities, which take two slides rather
9 than the one for Labor and HHS:

10 Energy is to provide HHS and this advisory
11 body, in accordance with law, assistance and
12 access to all relevant information that we need
13 to do dose reconstructions, that we need to
14 evaluate worker exposures, and understand how we
15 should handle petitions for additions to the
16 Special Exposure Cohort.

17 And as permitted by law, upon request from
18 the Department of Labor or the Department of
19 Health and Human Services, DOE is to require
20 their contractors and subcontractors and
21 designated beryllium vendors to provide
22 information that would be relevant to a given
23 claim.

24 DOE is also to identify and notify
25 potentially eligible individuals of the

1 compensation program, and they're doing that
2 through their outreach program jointly with
3 Department of Labor.

4 The Secretary of Energy also has a
5 responsibility to designate atomic weapons
6 employers and provide additions to the list of
7 designated beryllium vendors. If you've been on
8 our site and gone to the related links and looked
9 at DOE's site, you'll see the list. I think I
10 shared that with you when we were talking about
11 where we might want to meet in the future.
12 That's a relevant list of all covered facilities
13 around the country, and this is a responsibility
14 that Energy has to augment that list and make it
15 correct and as complete as possible.

16 They are at Energy to negotiated agreements
17 with states to provide assistance to the
18 Department of Energy contractor employees filing
19 state Worker's Comp claims, and I know that
20 they're still engaged in establishing those
21 agreements with the states.

22 They at Energy are also to provide annual
23 reports on the Worker Assistance Program
24 regarding the claims-related statistics that are
25 generated, both on the Federal side and the state

1 program side.

2 And they are to publish in the *Federal*
3 *Register* a list of atomic weapons employer
4 facilities that I mentioned earlier, and that has
5 appeared and been updated.

6 The Attorney General in the Department of
7 Justice has some specific responsibilities, as
8 well, as specified in the Act.

9 These include developing procedures to
10 notify each claimant of their approval of a
11 Radiation Exposure Compensation Act claim – the
12 RECA program – by the Department of Justice, and
13 the availability of supplemental awards under
14 this Energy Employees Occupation Illness
15 Compensation Program.

16 The Attorney General is also to identify and
17 notify eligible uranium workers or their
18 survivors about the availability of this
19 supplement, and they're also to provide
20 information upon request from the Department of
21 Labor needed to adjudicate claims of a covered
22 uranium employee under this new program.

23 The Executive Order also provides some more
24 specifics, in detailed outline here, for what
25 this Advisory Board is charged with and what your

1 responsibilities are.

2 As we noted, you're appointed by the
3 President. There's been a delegation of
4 authority to HHS to staff this Board and provide
5 administrative support to the Board. And you're
6 charged with providing advice to the Secretary
7 and also to our regulatory docket on the
8 guidelines for determining the probability of
9 causation.

10 That's the first thing we're going to take
11 up, is that rule. And when we look at that rule
12 and you start thinking about what you want to
13 comment on or what you want to discuss, I would
14 enjoin you to look at the early part of that
15 rule, and there are three questions that we asked
16 everybody in the public to comment on. The
17 Secretary would like you to focus on those three
18 questions and center your comments on that. The
19 Secretary would like you to identify any other
20 questions you want to advise on, but we really
21 would seek your input and advice, counsel on
22 those three questions. And I can go over those
23 in a moment when I get back to my seat.

24 Also, you are to evaluate and review the
25 scientific validity and the quality of dose

1 reconstructions. And we're going to have to
2 discuss how we're going to go about that.
3 Tomorrow we have an agenda item on the work of
4 the Board and how we're going to schedule this
5 work, how you want to arrange the work of this
6 Board.

7 This is a huge task, an ongoing task, where
8 you'll be engaged in reviewing dose
9 reconstructions. And I'm sure that you're not
10 going to find yourselves wanting to sit down and
11 look at thousands of dose reconstructions that
12 we're going to have to do, so we're going to have
13 to talk about a sampling strategy and an approach
14 that makes sense and is representative and
15 reasonable.

16 And finally, the Board has a responsibility
17 to advise the Secretary on how to handle, how to
18 decide on petitions for the Special Exposure
19 Cohort. And so we need to discuss that as a
20 process for this Board, and how your advice will
21 be engendered to the Secretary.

22 Let me talk a little bit about the structure
23 of the Board. The charter indicates that the
24 Board will consist of no more than 20 public
25 members appointed by the President, so the

1 President still has an option here to fill ten
2 more seats, or he can leave this at ten.

3 The members shall include affected workers
4 and their representatives, and representatives
5 from the scientific and medical communities.
6 This is the balance that was attempted to be
7 achieved by the appointments.

8 The Chair is also designated by the
9 President, and it's an option for this Board to
10 establish subcommittees or working groups to
11 facilitate the work of the Board. And that's
12 something we need to talk about with regard to
13 this dose reconstruction review process.

14 Frequencies of the meetings shall be based
15 upon the Agency needs as determined by HHS, CDC,
16 and NIOSH. And as a Designated Federal Official,
17 I assure you I am working very closely with your
18 Chair, Dr. Ziemer, to establish the agenda.
19 Looks like we need to regroup on how much time we
20 allot, but we're - it's good to be ahead of the
21 agenda rather than behind the agenda. So we're
22 learning from that.

23 A government official will have to be
24 present at all meetings, and we can hold meetings
25 over the telephone. We might choose to do that,

1 where we will have a public meeting by phone to
2 conduct business of the Board. If we prepare
3 consensus comments and need to vote on those with
4 minimal discussion, we might be able to do that
5 before February 6th in order to accomplish that
6 task.

7 So I'm trying to give you a little bit of
8 insight. Do I expect you to finish all of this
9 up before close of business tomorrow? No. Do I
10 expect you to try to get consensus comments on
11 the probability of causation rule by February
12 6th? Yes. How do we do that? We're going to
13 use the rest of today and tomorrow to try to
14 achieve that, and if we need to have a public
15 telephone call to finalize those comments we will
16 do so.

17 All meetings shall be open to the public,
18 and public notice will be given of all meetings.
19 So if we decide that we need to have a telephone
20 conference call to finalize some business we will
21 announce that, and we'll announce it as soon as
22 possible. When we talk about the work and the
23 schedule of work for the Board tomorrow, we'll
24 need to take this into consideration in order for
25 Cori to make the announcement publicly, that we

1 would have a meeting before our next scheduled
2 meeting February 13th, the 12th and 13th.

3 All records of the proceedings shall be kept
4 as required by the laws and the Department
5 regulations, and they're available to the public,
6 available, of course, to each individual Board
7 member. As we noted, this Board is in a paid
8 status as a Special Government Employee.

9 Earlier in Helen's talk and in the film you
10 saw that there's an annual report that has to be
11 prepared. That's my responsibility, and I want
12 you to realize what I'm going to be reporting on
13 in that report: How well we do in achieving our
14 goals and moving forward in our work.

15 We will provide a list of all members, and
16 we'll talk about their backgrounds and what
17 perspectives they bring in that report. We talk
18 about the functions and the dates of the meetings
19 and the places of the meetings, and the purpose
20 behind each meeting. And we also in that report
21 present any recommendations, consensus comments
22 or advice that's been generated from those
23 meetings during a fiscal year.

24 So each fiscal year we will prepare a report
25 containing this information. This report is

1 advanced to the Office of Committee Management,
2 and it eventually makes its way up through and to
3 the Congress through the President's office.

4 Unless renewed by appropriate action prior
5 to the expiration date, which this charter has
6 two years, this committee will terminate in two
7 years. But I anticipate that that will be
8 renewed, given the workload that we have.

9 And that's all I have to present to you.
10 Are there any questions about the tasks, the
11 responsibilities, the challenges that we have?
12 We're starting to get into the meat of our work
13 here, so it would be good if you have any doubts
14 or thoughts that you want to – need clarification
15 on.

16 [No responses]

17 **DR. ZIEMER:** I see no Board members rising
18 to that challenge to ask a question. I know that
19 the Board has had copies of the charter and
20 related documents for some period of time, and
21 has had an opportunity to study them.

22 Thank you very much, Larry.

23 I'm going to pause at this point for some
24 housekeeping item or items, and then we'll return
25 to the agenda. First of all, for lunch today you

1 will be on your own. And I believe Cori may have
2 prepared a list of nearby restaurants or eating
3 establishments and other fast food places,
4 whichever your preference is.

5 Cori, do we have that available?

6 **MS. HOMER:** We do.

7 **DR. ZIEMER:** Let's go ahead and distribute
8 that at this point.

9 I also would mention to the Board that you
10 are basically on your own for dinner this
11 evening. There is no Board dinner planned.
12 We're not going to have a working lunch or dinner
13 today, so you're pretty much on your own,
14 whatever arrangements you make.

15 Now let's return to the agenda. I want to
16 follow up on Larry Elliott's comments.

17 First of all, Larry, perhaps it would be
18 good if you amplified what the three questions
19 are that have been asked of the independent
20 reviewers, and which are being asked of this
21 Board to consider. Could you direct us to those
22 three questions?

23 **MR. ELLIOTT:** Yes.

24 If you would turn to your tab that has the
25 probability of causation rule presented. On that

1 first page under Roman numeral I, Comments
2 Invited, you'll find three questions.

3 The first: Does the proposal make
4 appropriate use of current science and medicine
5 for evaluating and quantifying cancer risks for
6 DOE workers exposed to ionizing radiation in the
7 performance of duty?

8 The second: Does the proposal appropriately
9 adapt compensation policy as it has been applied
10 for the compensation of veterans with radiation
11 exposure from atomic bombs to compensation policy
12 for radiation-exposed nuclear weapons production
13 workers?

14 And the third: Does the proposal
15 appropriately and adequately address the need to
16 ensure procedures under this rule remain current
17 with advances in radiation research – health
18 research?

19 Likewise, under your tab on dose
20 reconstruction and that rule, on that same first
21 page under Comments Invited, you'll find three
22 additional questions pertinent to that rule on
23 dose reconstruction. And I won't – I guess –
24 should I read those for the record?

25 **DR. ZIEMER:** No.

1 **MR. ELLIOTT:** Okay.

2 **DR. ZIEMER:** They're similar questions.

3 **MR. ELLIOTT:** They're similar questions.

4 Any question from the Board about these
5 questions, and certainly any other questions you
6 want to add? But we're just trying to focus your
7 discussion and deliberation in this regard.

8 [No responses]

9 **DR. ZIEMER:** If not, then I would like to
10 prepare the Board for our discussion that will
11 occur after lunch.

12 We're going to have a discussion on Board
13 responsibilities, and I want to provide you with
14 some items to think about. You can start
15 thinking over lunch on these items, and then be
16 prepared to discuss them.

17 Because one of the things that we have to do
18 as a Board as we make recommendations is to reach
19 what is called consensus. And there may be some
20 question about what consensus means for a group
21 like this. And in fact, one of the jobs that we
22 have is to determine how it is we are going to
23 operate as a Board. How is it we are going to
24 reach consensus, and what does it mean to reach
25 consensus?

1 So let me throw out some ideas for you to be
2 thinking about, and then we can talk about how we
3 can formalize these ideas, if that is - I don't
4 have the answers, but I want to stimulate your
5 thinking on some approaches that might be used,
6 and then we can finalize those later in the day.

7 First of all, a lot of how this committee
8 operates has already been defined in the public
9 law, so we don't have to deal with how our
10 membership is selected and how often we meet, and
11 the keeping of records and so on. That's already
12 defined in the law, and is in a sense beyond our
13 scope.

14 We do have some degree of flexibility,
15 however, in determining how we are going to
16 operate in terms of defining issues and coming to
17 consensus on questions or items that we want to
18 recommend to the Secretary of Health and Human
19 Services. So let me start - and I'll sort of
20 break these down into categories of items to
21 think about.

22 First of all, what constitutes a quorum?
23 Now I am proposing that we will normally operate
24 under *Robert's Rules*. Now *Robert's Rules* are
25 designed to do two things. One is to allow the

1 majority to reach its conclusion, but also to
2 allow the minority to be heard. On any question
3 there are typically two and sometimes more views,
4 so *Robert's Rules* are really designed so that
5 those with what you might call minority views
6 have a chance to voice their views and those
7 views be taken into consideration, but that
8 ultimately the minority does not control the
9 final decision; that the majority can rule. And
10 there are a variety of ways that this is done.

11 In Robert's - under *Robert's Rules*,
12 particularly if you have large groups - say, 100,
13 like you would have in the Senate, or many more
14 in some larger assemblies - *Robert's Rules* sort
15 of help you keep order and make sure that those
16 who have views are allowed to air them. And so
17 there's a large degree of formality that is
18 carried out when you use *Robert's Rules* in a
19 large assembly.

20 In a small assembly such as this, a ten-
21 member committee, *Robert's Rules* can be used a
22 little more informally. For example, if it's
23 clear through discussion on some minor point that
24 we're in agreement, the Chair can simply declare
25 that there's agreement on this, and let's do it.

1 Now I'm not talking about necessarily the formal
2 recommendations to the Secretary of Health and
3 Human Services, but on issues where we might have
4 some debate on what we should do next. On the
5 other hand, we do have to have some definitions
6 on how we go about determining, when we make the
7 formal recommendations, what it takes to do that.

8 Now on the issue of quorum, that is normally
9 well-defined. In *Robert's Rules* it's not
10 necessarily defined. *Robert's Rules* allows by-
11 laws, for example, to define what a quorum is.
12 In fact, I was at one time involved in a group
13 that defined a quorum as those present, as long
14 as it included one of the officers of the
15 organization. Well, that sort of covers anybody
16 that shows up, I guess. But typically a quorum
17 is more than half the members. In our case that
18 would be six.

19 I'm unsure myself as to whether the FACA
20 rules require that to be the quorum, but unless I
21 learn otherwise, I think we can -

22 **MS. HOMER:** Generally it's one more than
23 one-half.

24 **DR. ZIEMER:** One more than one-half. By my
25 advanced math, that's close to six.

1 **UNIDENTIFIED:** Let's discuss it.

2 **DR. ZIEMER:** Yeah, let's discuss it. So I
3 think we can assume that a quorum is six. Now
4 that would mean that we could have a meeting and
5 could do business with six people.

6 Now this leads to the next issue, and that
7 is what then constitutes consensus? Now it's one
8 thing if all ten members are present and you say,
9 well, we need a majority or we need two-thirds or
10 some percentage. But if you have just a quorum -
11 six people, for example - a majority of six is
12 four, but that's not half of the committee. So
13 you have those kinds of issues.

14 So what I would like us to think about, for
15 example, would be if we do talk about consensus,
16 that we consider, for example, that consensus is
17 at least 50 percent of the membership. That
18 would be also six, six positive votes on
19 something.

20 Now under *Robert's Rules*, the Chair does not
21 normally vote. In fact, under *Robert's Rules* the
22 Chair votes when there is a tie. When there are
23 ten members, nine of whom are voting, you never
24 have a tie, which means the Chairman would never
25 vote. Well, the Chairman sort of objects to

1 that. In fact, under *Robert's Rules*, if you went
2 with a majority of those voting, you would have
3 five as the pass point. Is that consensus?

4 So one thing I would like us to think about
5 is should we in fact specify that in cases where
6 there are, for example, five/four votes, that we
7 mandate that the Chairman vote. Now that can
8 still drive it to a real tie, which means you
9 don't have consensus. Or it can tip to a six/four
10 vote.

11 So I'm not suggesting we answer that
12 question now. I want you to think about it, but
13 I want to talk about it when we return from lunch
14 so we can sort of codify how we will achieve
15 consensus. We could also say that
16 consensus is something else. Is it
17 two-thirds rather than one-half, in
18 which case it would be seven votes
19 rather than six?

20 Now likewise, if we don't have full
21 membership present, is consensus a majority of
22 those present and voting, or is it a fixed
23 number? For example, is it always, say, six?
24 That is, if you have only six present, do they
25 all have to agree on something for it to be

1 consensus? So think about that, as well. And in
2 fact, I think for us the issue of what
3 constitutes consensus is one of the key things we
4 need to establish for our working rules.

5 Next, subcommittees and working groups. As
6 I understand it, we are allowed to have
7 subcommittees and working groups.

8 Subcommittee would simply be subsets of
9 this group here. The Chairman could, for example
10 - and normally it's the Chairman's prerogative to
11 appoint such subcommittees; always done, of
12 course, with the input of the full committee.
13 But for example, if we say we need a subcommittee
14 to work on answering this particular question -
15 for example, one of the three questions that was
16 posed - to draft a response for the full
17 committee to review, then we could say, okay,
18 let's ask these three or four people to be that
19 subcommittee. And I think that's the Chair's
20 prerogative, and we certainly will do that as
21 needed.

22 With respect to working groups, it's my
23 understanding that the Board can in fact have
24 working groups that might include even outside
25 experts. Although there's a breadth of expertise

1 on this committee, there are some issues where we
2 might want additional expertise, and it may be
3 that we would have to consider establishing some
4 sort of working group to address some particular
5 issue that the committee perhaps feels
6 uncomfortable or wants more detail on. I don't
7 have anything particular in mind, but that is
8 something that, as I understand it, could be
9 done.

10 **MR. ELLIOTT:** Yes.

11 **DR. ZIEMER:** And then the other thing is
12 that I want to mention that at all of our
13 meetings, including today's and tomorrow's
14 session of this meeting, we will have a time for
15 public input. Generally, as we proceed, that's
16 intended for members of the public or particular
17 groups to give their views on any of the issues
18 that are before us.

19 It is not my intent that those become
20 sessions where we debate with people what their
21 views are, but rather hear their views and take
22 them into consideration as we deliberate.
23 Whether or not you individually agree with any
24 particular person's view, I certainly think it's
25 appropriate if you have questions to ask of

1 members of the public to clarify something that
2 they present, but it's not, certainly has not
3 been my intention, that we use that time to
4 debate them on their views or try to change
5 somebody's views.

6 So those public sessions are simply times
7 where we hear what other people's views are on
8 some of these issues, and give them a chance to
9 comment either on how we are proceeding or
10 comment on the rules or concerns that they may
11 have.

12 Now let me ask for any immediate responses.
13 Again, I just want to sort of get a feel or
14 feedback as to where some of you are on these
15 issues. If there's items that you think that -
16 and I've simply thought about some of these, and
17 I raise them now to make sure you're thinking
18 about them.

19 But are there some other issues that you may
20 have thought about as to how we proceed?

21 And again, some of the timing issues - Dr.
22 Anderson raised the issue of do we have enough
23 time. I don't think we know right now the answer
24 to that. But certainly this Board has a fair
25 amount of latitude, and can decide when and where

1 they're going to do something. But I think we
2 also want to be responsive to the needs.

3 I'm an academician who likes to take years
4 and years to study things, but there is a sense
5 in which this is upon us. We're not going to
6 have all the answers to all the scientific
7 questions. We clearly will not. And so we have
8 to make decisions with what's available.

9 I open the floor for comments. Yes.

10 **DR. DeHART:** Roy DeHart.

11 We are not an expert committee. We're an
12 advisory committee, which burdens us with a
13 greater task than most similar committees would
14 have. As an advisory committee, we sit around
15 this table bringing our own individual expertise,
16 whether it's health physics, epidemiology,
17 medicine or whatever, to the table. But there
18 are voids, major educational and scientific
19 voids, when we start dealing with these subjects.
20 And I think that has to be a reality and
21 considered. It is with me. And even though
22 there are time constraints and limitations, I
23 don't know how quickly we can fill the void, or
24 whether I just accept the consensus of the table
25 and go with that. And I'm going to have to work

1 on that as we go through.

2 **DR. ZIEMER:** Thank you.

3 **MR. ELLIOTT:** If I could, certainly the
4 NIOSH technical staff are here to help answer
5 questions, help explore areas that you may not be
6 comfortable with or have experience with or
7 education in. And if there are other external
8 experts that the Board wants us to bring in, we
9 can certainly accommodate that.

10 **DR. ZIEMER:** Okay, there's a lot of
11 pondering going on.

12 **UNIDENTIFIED:** Yeah, there is.

13 **MR. PRESLEY:** Sir, Bob Presley.

14 **DR. ZIEMER:** Yeah, Bob.

15 **MR. PRESLEY:** Under the quorum and what
16 constitutes consensus, there's going to be times
17 that some of us are going to have to excuse
18 ourselves. I think we need to look at that.

19 **DR. ZIEMER:** Thank you, that's a good point.
20 What do we do if there are abstentions? In other
21 words, in some cases that may be due to conflicts
22 of interest. I know on my sheet there are
23 certain items that I'm precluded from voting on.
24 So whatever we decide in how to proceed, we'll -
25 and I hadn't thought of that - we'll need to

1 include what do we do in those cases.

2 Thank you, good point.

3 Others? Yes.

4 **DR. DeHART:** In fact, it might be wise
5 someplace during the day to find out what areas
6 we have to exclude ourselves. We may find that
7 there are three or four of us that are going to
8 be out at the same time.

9 **DR. ZIEMER:** Right. Right. A chance for
10 the others to really exercise their power, right?
11 Okay, thank you.

12 Other comments?

13 [No responses]

14 **DR. ZIEMER:** Thank you. If that - if there
15 are no more comments, I think we will extend the
16 lunch hour a little bit since not everybody's
17 familiar with the locations, maybe give you a
18 little more time to take your lunch. You may
19 have to go off site anyway. I don't know if the
20 place here will accommodate everybody at once
21 anyway. So that'll give us a little more time.
22 Plan to be back here at 1:00 o'clock, and we will
23 continue. So we're in recess till 1:00 o'clock.

24 [Whereupon, a lunch recess was
25 taken from approximately 11:22 a.m.]

1 until 1:05 p.m.]

2 - - -

3 **DR. ZIEMER:** Thank you. We'll now
4 reconvene.

5 I trust you all had a suitable break and
6 lunch period. We have some folks who've joined
7 us since the lunch period, and I should tell
8 those who've joined us, particularly observers,
9 that earlier today we had everybody introduce and
10 say who they were and who they were representing.
11 And I know we have at least one and maybe more
12 people who now have joined us after lunch.

13 So I'm going to start over here with Joe
14 Fitzgerald, and Joe, if you'll stand and tell us
15 who you are, and then we'll see if anyone else -

16 **MR. FITZGERALD:** I'm Joe Fitzgerald, I'm
17 with SAIC.

18 **DR. ZIEMER:** Thank you.

19 And who else has joined us since - in the
20 back, please.

21 **MR. SILVERMAN:** I'm Josh Silverman. I'm
22 with the Department of Energy's Office of
23 Environment, Safety and Health.

24 **DR. ZIEMER:** Thank you, Josh.

25 Any others?

1 [No responses]

2 **DR. ZIEMER:** Very good.

3 One announcement that's a repeat. If you do
4 wish to make any public statements at the
5 appropriate time later today, we ask again that
6 you sign up in the foyer. There's a sign-up
7 sheet, again simply for purposes of allotting
8 time fairly amongst those who wish to speak.

9 I remind you again that - this is for the
10 Board, others as well - but you're on your own
11 for dinner this evening.

12 Let me ask Cori if there's any other
13 housekeeping items we need to address right now.

14 **MS. HOMER:** Not at the moment.

15 **DR. ZIEMER:** Okay, not so far as we know.
16 Thank you.

17 We're going to deal with the issue of Board
18 procedures in just a moment, but before we do
19 that I'm going to ask Larry - Larry, if you could
20 give us very briefly the information, the general
21 information about waiver issues. I think it - we
22 talked earlier this morning about having people
23 tell their waiver areas. I don't see any need
24 that we do that right now. We're not going to be
25 dealing with site-specific stuff certainly today

1 or even the next meeting, but perhaps some
2 general information about the conditions under
3 which committee members are required to sign
4 waivers. And Larry, if you could provide that
5 information, then we'll proceed from there.

6 **MR. ELLIOTT:** Certainly.

7 Not every member of this Board received a
8 waiver letter this morning. There were, I
9 believe, eight individuals who did - seven
10 individuals who did. And it's expected that the
11 Board will focus largely on matters of general
12 applicability, as opposed to matters involving
13 specific parties or matters that uniquely and
14 distinctly affect any particular person or
15 organization.

16 And so that's the background of the general
17 applicability of the waivers that were granted.
18 That means that this Board is going to take on
19 discussion and deliberation on matters that have
20 wide-ranging and general applicability, the
21 probability of causation rule and the dose
22 reconstruction rule.

23 The waivers do go further to provide
24 specific individual guidance to each member who
25 received a waiver regarding matters that would

1 come under discussion that are more specific in
2 nature to their particular personal experience or
3 financial involvement. And so when we come to
4 the point of discussing reviews of individual
5 dose reconstructions at a given site or reviews
6 and advice to the Secretary on Special Exposure
7 Cohort petitions, that's when an individual Board
8 member might feel they need to recuse themselves.

9
10 And so I think - I hope that is adequate
11 background information on these. And they are -
12 of course, the waivers are available under the
13 Freedom of Information Act, and I'm sure that -
14 and I know that we will have a such request, if
15 we don't already have it in our hands. And we
16 will respond to that request by providing a copy
17 of the waivers that have been signed. And those
18 are not available today, but they will be
19 available as we get back into the offices and get
20 these on file and make all appropriate notations
21 to them.

22 **DR. ZIEMER:** Thank you.

23 We want to proceed now with the agenda item
24 that's called Board Responsibilities and
25 Operating Procedures. You recall that we had

1 some preliminary discussion before lunch at least
2 to stimulate your thinking on some of the issues
3 that we need to consider as we more or less
4 codify the procedures that we will use to develop
5 recommendations to the Secretary of Health and
6 Human Services.

7 What I propose that we do at this point is
8 get individual feedback from the Board members on
9 your views on the issues that I raised before
10 lunch. I'm not proposing that we draft something
11 here as we sit at the table, but try to get some
12 idea of what the views of the members are on the
13 issues that were raised.

14 And then we will draft a - probably this
15 evening, and the Chair will ask for some
16 volunteers to help draft that - but we will get a
17 straw man draft that we can use tomorrow. I
18 don't think we'll be at a point today where we
19 need to be voting on any issues. Today is still
20 an informational day. So we really have into the
21 day tomorrow to finalize how we proceed.

22 So again, particularly what we want to be
23 talking about is the voting procedures, as to how
24 we come to what we were calling consensus. And I
25 know there's some debate about the meaning of the

1 word consensus itself. I understand, and I've
2 asked that we even get a dictionary definition of
3 that. Preliminary indication is that even the
4 dictionary's a little vague. It does not - in
5 the dictionary is not defined as unanimity, but
6 we'll actually get the formal definition of that.
7 But the issue really is how we agree to develop
8 recommendations that we take forward to the
9 Secretary.

10 So I would be glad just to open it up if you
11 have individual views on any of those issues that
12 we raised on how we vote, what constitutes -
13 well, what do we do if we have less than the full
14 committee here, those kinds of issues. Have you
15 had a chance to think about that, or was the food
16 so distracting that you didn't think about it at
17 all? Okay, let's start with Wanda, and -

18 **MS. MUNN:** The food was not that
19 distracting. You know, when you first posed
20 these questions it was fairly clear in my mind
21 what I thought should be done, and then someone
22 threw a curve at us when we started thinking
23 about those of us who had to recuse ourselves and
24 how many of us there might be.

25 That issue notwithstanding, my personal

1 feeling is that in a board of ten individuals, a
2 quorum really and truly should be more than just
3 one over five. I would prefer to call a quorum
4 seven people in order to be able to do business.
5 I think that's reasonable, given the small number
6 that we have, the intensity of the work that
7 we're going to have to be doing, and the kinds of
8 decisions we're going to be making.

9 Having said that, consensus in a group that
10 size or in the full committee, from my point of
11 view, would be certainly – a number of six would
12 be to me acceptable and probably reasonable,
13 especially given the fact that I've suggested a
14 quorum be seven.

15 **DR. ZIEMER:** Thank you, Wanda.

16 I might ask the staff, and perhaps Mary or
17 someone else can – Mary Armstrong can tell us if
18 the FACA act defines quorum, if that's already –
19 yes?

20 **MS. KUYKENDALL:** Actually –

21 **MS. NEWSOM:** Could you use the microphone,
22 please?

23 **MS. KUYKENDALL:** Yes.

24 **DR. ZIEMER:** If it is defined, then we'll
25 have to use what the definition is in the Act.

1 Otherwise -

2 **MS. KUYKENDALL:** Quorum is not defined in
3 the Act, but it is addressed in Department - in
4 the Department manual. And quorum, according to
5 the manual, is one-half plus one of the committee
6 membership.

7 Consensus neither is defined in FACA, but in
8 the GSA regulations it states that - it refers to
9 a common viewpoint. But consensus can sometimes
10 be a little problematic, and it is okay to have
11 opposing viewpoints or minority viewpoints
12 because certainly sometimes you want those. So
13 it is good that you all are having this
14 discussion and deciding early on what your
15 consensus vote is going to be.

16 **DR. ZIEMER:** Okay, thank you.

17 Others? Yes.

18 **DR. MELIUS:** Two points. One is that no
19 matter what we define as a quorum, I would hope
20 that the people in setting up the meetings would
21 make every attempt to make as many people as
22 possible available for the meetings, that we not
23 try to just go to six or seven, whatever it is.

24 And probably as important as that is that in
25 all our deliberations and major recommendations

1 that we involve all the committee members in
2 those - in that process, so even if someone can't
3 make it to a committee meeting that we - we may
4 want to defer a formal vote or recommendation
5 until the Chair's had a chance to communicate
6 with that person or persons and get their
7 viewpoint, or defer to the next meeting possibly
8 on some decisions where we really should try to
9 reflect everybody's input into the decision, give
10 them the opportunity to participate.

11 Secondly, on the issue of consensus, I guess
12 I'm particular thinking of this extremely tight
13 time frame we've been given by Larry and others
14 here in terms of the regulations, and that
15 however we define consensus that we make sure
16 that our - whatever report or however we
17 communicate that should reflect everybody's
18 viewpoint, so if there's different viewpoints or
19 a minority viewpoint or whatever that that be
20 included.

21 And I would particularly see with this time
22 frame we have that maybe what our communication
23 is is a collection of our comments or our
24 reflections on the major issues with these -
25 scientific issues with these regulations, that if

1 we really try to achieve a consensus document
2 that we would all agree on and vote on, that -
3 I'm not sure that's even going to be really
4 possible in the extremely short time frame. So -
5 whereas I can see other issues where we have a
6 longer time frame, that we would try to spend a
7 longer amount of time and reach closer to a
8 consensus in terms of what the - how the
9 recommendation should read.

10 But I just think that the time frame is
11 going to really dictate a lot of what we'll be
12 able to do.

13 **DR. ZIEMER:** Thank you, Jim.

14 Yeah, Roy.

15 **DR. DeHART:** Paul, you had in the earlier
16 statements raised the question of your voting.
17 As I mentioned earlier, this isn't a technical
18 panel; it's an advisory panel. And we all bring
19 different experience, different education,
20 different viewpoints, perhaps. I think you are
21 critical. Every one of the ten should be voting
22 if a vote is what's required.

23 On consensus, I'd just remind you that in
24 many situations consensus does not require a
25 vote.

1 **DR. ZIEMER:** Yes. Thank you, Roy. I always
2 appreciate people feeling that I'm really needed.

3 Other comments? Yes.

4 **DR. ANDERSON:** Just following up on what Roy
5 said, I think again issuing advice, it's
6 important to get all the advice that's out there,
7 as I wouldn't want to have a viewpoint, since ten
8 is relatively small, in a very controversial
9 area. In some instances the one person, if you
10 leave one person out, that might be the most
11 knowledgeable person who's going against the
12 others who may not know as much.

13 So I think it's important for us to identify
14 when we are unanimous on something, and I think
15 that's fairly understandable, and we wouldn't
16 spend much time on issues that we all say that
17 that looks just fine. I think then it depends on
18 what the issue is, how close to that we want to
19 get.

20 I think - I have somewhat of a problem
21 calling a consensus a simple majority. I would
22 rather use the term "the majority" or whatever it
23 is, and just move on from there. And I think it
24 then depends on - if we're into word-smithing, we
25 may then want to go for - we'd have something in

1 between the simple majority and a unanimity. If
2 we want to call that something else, whether it's
3 seven or eight, I think that might be another
4 level of significance to the Agencies if eight
5 out of the ten people felt this is the best we
6 can do. That certainly is a very significant
7 level, and I wouldn't be overly concerned about
8 not reaching unanimity.

9 So I think it is important to get all the
10 viewpoints out so people can see that, and I do
11 think it's important for the Chair to be part of
12 the voting.

13 It's hard to know what we're going to call
14 what, since we really haven't seen anything that
15 we're going to vote on yet. So I think that - I
16 think we can have some general terminology, that
17 if we're going to take a position it ought to be
18 the lowest level of a position would be simple
19 majority; and in this case, if there's ten of us
20 voting, that would have to be six. So then you'd
21 have the far - the other side would be unanimous;
22 and then maybe something in between, which would
23 be a seven or eight.

24 **DR. ZIEMER:** Thank you.

25 Other comments? Gen.

1 **DR. ROESSLER:** Consensus is a word that to
2 me, whether it's in the dictionary or not, really
3 implies we're all agreeing. And I think maybe
4 that's what the public perception is, or maybe
5 our colleagues' perception is.

6 So I would recommend if we can get rid of
7 that word, if we're not bound by our charter,
8 just to get rid of that word and use the words
9 that have been suggested here. And I like Jim's
10 approach, is that whatever word we use, we make
11 sure that every Board member's vote or comments
12 are a part of it.

13 **DR. ZIEMER:** Okay, thanks.

14 Other comments? Yes, Roy.

15 **DR. DeHART:** Not to dig this hole any
16 deeper, but there is one other alternative to
17 voting - abstention. And I could see a situation
18 dealing with a technical question that I'd simply
19 have to abstain from because I don't know. I
20 have no opinion.

21 **DR. ZIEMER:** Yes, Tony.

22 **DR. ANDRADE:** Paul, I think that trying to
23 put all these ideas together, I've kind of - it
24 falls into line with what I thought about over
25 the lunch break.

1 There are going to be some of us that are
2 not able to render an opinion on some issues
3 because of the very reasons that Dr. DeHart
4 brought up. There are going to be cases in which
5 one is not ready, willing and able, simply
6 because of perhaps conflict of interest
7 situations, to render an opinion on a certain
8 situation.

9 When there is unanimity I think it'll be
10 obvious, and I think we're already in agreement
11 about that, okay. However, I think we should go
12 ahead and stick with just the simple definition
13 of quorum, and those people who are ready,
14 willing and able to put forth a decision or to
15 make a decision, and those who - well, can do
16 that. Then we should require a majority vote on
17 any issue, because it is those consensus
18 positions that are our most important product
19 back to HHS through NIOSH, and those things that
20 are going to be recognized.

21 So I really believe strongly that it should
22 be a majority. And even if all members are
23 present, okay, that majority does not necessarily
24 have to be a majority of those who are here. It
25 is simply a majority of those who have not

1 abstained. And I think if we go along with
2 something that has that as a bases, we'll be able
3 to move forward from here.

4 **DR. ZIEMER:** For clarification, Tony, you're
5 arguing for majority of those present and voting?

6 **DR. ANDRADE:** And voting.

7 **DR. ZIEMER:** And voting.

8 **DR. ANDRADE:** Right.

9 **DR. ZIEMER:** As long as there's a quorum.

10 **DR. ANDRADE:** Yes.

11 **DR. ZIEMER:** Okay. So in some cases, if the
12 quorum is six and - I'm hypothetical here -

13 **DR. ANDRADE:** Sure.

14 **DR. ZIEMER:** - and one of those abstains,
15 you've got five voting, three would carry the
16 day.

17 **DR. ANDRADE:** Three would carry the day.

18 **DR. ZIEMER:** Okay.

19 **DR. MELIUS:** Well, what if five abstain?

20 **DR. ZIEMER:** That's one view -

21 **DR. MELIUS:** What if five abstain? I just
22 don't -

23 **DR. ZIEMER:** No - well, obviously we can get
24 all sorts of extremes.

25 **DR. MELIUS:** Yeah.

1 **DR. ZIEMER:** Right now I think we're simply
2 getting some viewpoints that we can write
3 together into a formal -

4 **DR. ANDRADE:** If I might follow onto that,
5 this would also serve to put more pressure on the
6 administrative end of this body, in that whatever
7 we're going to be discussing that we should be
8 quite specific about what we want to accomplish
9 in future meetings, in the agenda, so that not
10 only the people that need to be there and have a
11 strong opinion about that will be there, or can
12 at least make a bigger effort to be there, but
13 the public as well will also be informed about
14 the specific issues that are going to be
15 discussed.

16 **DR. ZIEMER:** Very good.

17 **DR. ANDERSON:** I would just say that I think
18 we can, depending on what the issue is, it's very
19 easy electronically to go out and the day after
20 get everybody involved. So I would try to avoid
21 the issue of a critical position really being
22 only taken based on three out of ten. I mean,
23 whatever we call it, the Agency's going to look
24 at, well, it went three out of ten. It's not
25 going to carry as much weight.

1 So I would think we ought to set up a
2 system, either we're going to have an electronic
3 mechanism, or since it's a FACA we probably have
4 to have it public, so we do a teleconference. I
5 would think we ought to, just as part of the
6 process here, set up a teleconference for one
7 hour two days after the meeting in case there is
8 something that needs follow-up. If - you can
9 always cancel a call like that, but putting aside
10 an hour two or three days later would be a
11 process I think we probably could do. That would
12 be announced in the *Federal Register* so you could
13 meet your time lines.

14 And I would ask staff to maybe look at that,
15 and that would be a way that you could get
16 whatever the issue was out to people if it came
17 up, wasn't on the agenda but a vote was taken.
18 Then you'd still have that time for the others to
19 get up to speed. So I think we could -

20 **DR. ZIEMER:** Agreed.

21 **DR. ANDERSON:** - we could work around
22 people's schedules.

23 **DR. ZIEMER:** Tony.

24 **DR. ANDRADE:** Agreed, and I don't believe
25 that it's - that what you're saying is counter

1 to anything -

2 **DR. ZIEMER:** Use the mike, Tony.

3 **DR. ANDRADE:** I don't believe that it's
4 counter to anything that I brought up, and I
5 really feel strongly that we're always going to
6 have seven out of ten people voting on an issue.

7 **DR. ZIEMER:** Okay, Sally.

8 **MS. GADOLA:** I just had just a little
9 aftermath. I think it's important - and this is
10 probably going to be already stated in other ways
11 - and that is that it's clear as to who
12 abstained, and those that objected, who they were
13 that objected and why they objected, because that
14 might be something important later on.

15 **DR. ZIEMER:** Thank you. And certainly that
16 would all be in the record, yes.

17 Other comments?

18 [No responses]

19 **DR. ZIEMER:** I'm going to ask if any of the
20 Board members want to volunteer to help a little
21 while tonight in drafting something.

22 Okay, I've got Roy - this becomes a working
23 group - Roy and Tony. Okay, we've got Wanda,
24 Sally. Last chance. Okay, and I'll work with
25 them. That's half the committee.

1 Now for the other half, guess what you have
2 to - no. We don't have a job for you right yet.

3 Okay, good. Any other comments, staff
4 comments? I would like to have at least one
5 staff member with us. Larry, you or some of your
6 staff -

7 **MR. ELLIOTT:** Always be here.

8 **DR. ZIEMER:** Help this evening on this, yes.
9 Right.

10 **MR. ELLIOTT:** It goes without saying -

11 **DR. ZIEMER:** We'll allow them to eat supper,
12 but -

13 **MS. MURRAY:** Excuse me, Dr. Ziemer, I only
14 heard three names.

15 **DR. ZIEMER:** Oh, I've got Roy DeHart, Tony
16 Andrade. I think we've got - Wanda also
17 volunteered, Wanda Munn. Sally did, and I did.

18 **MS. MURRAY:** Thank you.

19 **DR. ZIEMER:** Okay.

20 **UNIDENTIFIED:** (Inaudible)

21 **DR. ZIEMER:** Is that - no, that's not a
22 quorum. We can't conduct - we're not going to
23 conduct business. It is a working group.
24 Actually, it's a subcommittee. It's not a
25 working group; a subcommittee. Call it a

1 subcommittee.

2 **MR. ELLIOTT:** But I would add that there's
3 clearly a definition on quorum for us to hold a
4 meeting. We must have six to hold a meeting, so
5 that's a clear definition we do have. But that's
6 separate from the quorum -

7 **DR. ZIEMER:** Right, but I think the
8 sentiment that we heard was that - but let's not
9 do that if we can avoid it. Let's find time when
10 all can be there if possible, or most. And then
11 if there needs to be a vote, possibly we do some
12 electronic things yet.

13 Okay, I think we have the comments recorded.
14 I hope mine agree with what the official
15 recorders' are, otherwise the document may look
16 very different.

17 Okay, we're going to proceed with the agenda
18 item. The next item on the agenda is to get a
19 lot more detail on the probability of causation
20 rule - background, scientific and technical
21 basis. And for that Ted Katz of NIOSH is here.

22 And Ted, are you going to - yes, there you
23 go. Please proceed.

24 **MR. KATZ:** Thank you. And special thanks to
25 the Board. We're really very happy to finally

1 have you here. It's been a long wait to get your
2 advice on these rules. I've been involved in the
3 development of these rules since the beginning,
4 and we've been wishing for six months to have
5 you. So it's great to finally have you indeed.

6 I'm going to be giving background - as you
7 see, I'm Ted Katz, I'm sorry, with NIOSH - I'm
8 going to be giving you a general background.
9 This whole process of helping you, help you get
10 into, find your way into our shoes so that you
11 can advise us on how to finalize these rules in
12 the best way possible.

13 And then I'll be followed on each of these
14 rules by Jim Neton and Mary Schubauer-Berigan -
15 in the other order, actually - who'll be giving
16 you a lot more technical and scientific detail.
17 So my presentations are going to be very general,
18 surficial maybe.

19 Okay, this is the overview of my talk. I'm
20 going to be discussing the purpose of the HHS
21 guidelines. What are they going to be used for,
22 how are they going to be used? What are the
23 basics of determining cause? My presentation's
24 going to be very elementary, but I think
25 important for public discussion on these issues.

1 And then I'm going to speak about what
2 Congress requires of us with respect to
3 probability of causation, and finally what our
4 goals are here, what we bring, NIOSH brings to
5 the table. And let me start right away, then,
6 with the purpose here.

7 Congress requires the DOL to determine
8 whether or not a cancer was at least as likely as
9 not caused by radiation arising from DOE
10 employment. What are the basics of determining
11 cause – sorry. It requires DOL to make these
12 determinations using these guidelines, so this is
13 the only recipe that's going to be applied.

14 And the requirement applies – and Larry
15 spoke to this earlier – to all non-SEC – that
16 means Special Exposure Cohort – claims. And as
17 Larry explained earlier, that also means people
18 who are in this Special Exposure Cohort but who
19 have a cancer that doesn't fall within the list
20 of specified cancers. And there's all sorts of
21 cancers. To give you some examples, skin,
22 prostate, cancer of the larynx.

23 What are the basics of determining cause?
24 We have four elements here. There are actually
25 five – I'll add to this. First, cancer risk

1 models. We need to know the relationship between
2 radiation dose and the chance of getting cancer.
3 And scientists have developed ways to bring
4 together the science base and mathematics to
5 produce an estimate, an estimate of cause, at
6 least for an individual in this case.

7 We also need associated with that - which
8 I've left off this list here - the type of
9 cancer. Cancers differ in their sensitivity to
10 radiation, so we need to know that. We need to
11 know the radiation dose for the claimant, or
12 doses, as it may be. We need a policy for
13 addressing uncertainty, and we need a policy for
14 addressing unknowns, and I'm going to get more
15 into this.

16 And in my talk I'm going to answer questions
17 as I go forward, so you may want to bust in and
18 ask a question, but you may want to just let me
19 roll first.

20 Addressing uncertainties: There are no
21 methods that will prove whether or not a cancer
22 was caused by a person's radiation dose. So what
23 we have instead are research on populations that
24 have been exposed to higher levels of radiation
25 than the normal population, and comparisons of

1 the rate of cancers among those populations with
2 higher doses than the normal population.

3 So in those studies when you have - when you
4 find that the higher exposed population, for
5 example, has double the number of - rate of
6 cancers as the normal population, something
7 that's referred to as a doubling dose, that would
8 - you would apply that to an individual and say
9 that person has a 50 percent chance of having had
10 his cancer caused by the radiation. Or if there
11 were triple the number of cancers, then that
12 person would have a two-thirds chance that his
13 cancer was caused by the radiation. But this is
14 the basis of these mathematical models.

15 And then EEOICPA applies what's a pretty
16 common rule of thumb for deciding causation,
17 which is at least as likely as not, or a 50-50
18 percent chance.

19 But it isn't quite as simple as this, and
20 that's what this is about with respect to
21 uncertainty, because the cancer studies that I
22 just referred to are not perfect. They have
23 limitations, and that means there is uncertainty
24 about the estimate that they would give you. In
25 addition, you're applying those cancer studies

1 possibly to a different population that has
2 differences, so there again you have
3 uncertainties that arise that affect the
4 reliability of the number that you come up with
5 for an individual.

6 All these uncertainties in the process, and
7 you have uncertainties with dose estimates, too.
8 You have uncertainties because the technology of
9 dosimetry is limited, because procedures may not
10 be applied correctly, because doses may not be
11 recorded, all sorts of reasons. You have
12 uncertainties about the dose that you're bringing
13 to the formula, to your mathematical model as
14 well.

15 And all these uncertainties result in you
16 really not having, at the end of the day, a
17 single estimate you can give people. I mean, you
18 may come up with a single estimate, but there
19 isn't a single estimate that represents that
20 person's chance that their cancer was caused.
21 You really have a range of estimates with
22 something's that's called by scientists a
23 "central tendency" to it. Scientists like to use
24 that central tendency or that sort of best
25 estimate when they're doing research for

1 describing the experience or describing that
2 cause, that level of cause.

3 But we have a different situation here
4 because the decisions we're making aren't
5 decisions related to research; they're decisions
6 that affect people's lives directly. And so you
7 can actually do away with this problem of
8 uncertainty. You can minimize that or reduce
9 your uncertainty by instead of taking your best
10 estimate, your central tendency, going towards
11 the extremes.

12 If you go up and you go to a higher estimate
13 of dose within that range of estimates, you can
14 be more certain that that estimate, if you apply
15 that to the individual, is going to be at least
16 as high if not higher than the true level of
17 causation that might be, if you could ever know
18 the truth. Likewise, you can go to the other
19 extreme below. If you go to a very low estimate
20 within the range of estimates, a very low dose,
21 you can be very certain that that person's dose
22 was above that low estimate that you assign.

23 So there's - ironically, by going to extreme
24 levels in the estimates, you can be much more
25 certain about your decision, which ends up being

1 important. And the policy question is, how
2 certain should our estimates be? And I'll answer
3 this, that Congress actually answers this for us
4 to a large extent.

5 But let me talk about addressing unknowns.
6 There are many cases for which we will not -
7 there are various issues for which we have
8 unknowns, and there are many cases for which we
9 will not know, for example, the primary cancer of
10 the employee. Now this is important because all
11 epi models are based on the primary cancer, the
12 place where the cancer started, not where it
13 metastasized to.

14 In addition, cancer models. You have for
15 very rare cancers - the rarer the cancer, the
16 fewer the cases, the fewer - the less experience
17 you have about that cancer, the more uncertainty
18 you have about your estimates. And so you have a
19 situation where in some cases with rare cancers
20 you have a choice between using a more general
21 model of cancer that lumps several cancers
22 together and has more certainty, or using a very
23 specific model of cancer that has a very high
24 level of uncertainty. And I think if you've seen
25 the public comments, you've seen that this is an

1 issue that's of concern to many people.

2 So there's not always a single best cancer
3 model in any event. Science won't sort out that
4 issue for you where you have too small numbers.
5 And the policy question is, again, how can DOL
6 make fair, objective decisions in the absence of
7 a single best scientific answer?

8 Now what does Congress require of us here?
9 They require – and I'm going to amend this first
10 bullet a bit, not that it's not okay, but it
11 raises issues for dose reconstruction, which
12 we'll talk about later – but it requires that we
13 use the dose estimates, we use dose estimates.
14 And it requires that we enable DOL to determine
15 whether a cancer was at least as likely as not.
16 That's the 50 percent chance or better caused by
17 radiation.

18 It requires that we take into account other
19 factors as feasible, and it mentions among
20 factors we might take into account, smoking. It
21 requires that we use the radio-epidemiologic
22 tables and the upper 99 percent credibility
23 limit.

24 Now using the radio-epi tables and 99
25 percent limit, one, ensures that we're on the

1 tract of using risk models. That's what we have
2 to do. We don't have an option there. And using
3 upper 99 percent credibility limit answers that
4 question that I raised earlier about how certain
5 we have to be.

6 Well, Congress says we need to be very
7 certain, in effect, and we need to be very
8 certain on the safe end for claimants. In other
9 words, we need to use a very high estimate, that
10 99 times out of 100 is going to be higher than
11 the estimate or the actual number, if such an
12 actual number could be known, the true number of
13 what probability there was that that cancer was
14 caused.

15 So we thank Congress for that major issue
16 being resolved. And that, by the way, is
17 consistent with policy that's already applied by
18 the Department of Veteran Affairs for atomic
19 survivors, veterans.

20 Now we're also required to address every
21 type of cancer. Though this isn't explicit, it's
22 implicit. Nothing is excluded in the
23 legislation. So this is different from the
24 Special Exposure Cohort where there's a list of
25 cancers. We're not giving a list. And this has

1 implications when it came to our having models
2 available for determining probability of
3 causation for all cancers.

4 And finally, it requires that we obtain your
5 advice in producing these guidelines.

6 But what are our goals? And Kathy Rest
7 spoke very well to this. In the big sense, our
8 goal is to honor the intent of Congress here to
9 the best of our ability.

10 In particular, we want to make the best
11 available use of the best available science. A
12 lot of our work is based on work that preceded us
13 at the National Academy of Sciences; at NCI,
14 which did the developmental work; the National
15 Academy of Sciences, which gave recommendations
16 about that work, and that's NIOSH-IREP. And
17 Mary, who follows me, will be talking about that
18 in detail. And then building on that with NIOSH
19 experience in doing epi research.

20 And we want to ensure that claims receive
21 the benefit of the doubt in terms of uncertainty
22 and unknowns. And uncertainty, the biggest fish
23 in that pond we've just talked about, Congress
24 made the decision there, although there are other
25 issues.

1 With respect to unknowns, I'll just give you
2 a couple of the most salient examples. With
3 certain leukemias which are rare we don't have a
4 best cancer model. We have a very specific model
5 to that leukemia, and we have a more general
6 leukemia model. And what we have said in effect,
7 to give the benefit of the doubt to the claimant,
8 not being able to make a scientific answer as to
9 which is best, is we've said try them both, and
10 whichever produces the higher probability of
11 causation, use that.

12 To give you another example, primary
13 cancers. Again, as I said, for many people – and
14 this is particularly going to be true or almost
15 always going to be true when it is true for where
16 the person's deceased, and we're working with a
17 death certificate and we don't have medical
18 records – we're not going to necessarily know the
19 primary cancer. And so what we've said here in
20 effect is take all the likely primary cancers,
21 from what science can tell us as to what's
22 likely, and run them all; and whichever produces
23 the highest probability of causation, use that.
24 And of course, if you run into one that already
25 puts them over into being compensated, then you

1 can stop.

2 Now we've, through these sort of measures,
3 established procedures that DOL can apply
4 objectively and consistently for every claim. We
5 didn't want to produce a process, given the
6 volume of claims we're dealing with. Especially
7 in our strivings for transparency and so on, we
8 wanted to set out objective, hard, fast rules
9 rather than, for example, assembling a committee
10 to deal with certain cases or whatever, and
11 dealing with them subjectively and not
12 necessarily consistently throughout the program.

13 And then my final point about making
14 procedures as transparent as possible for the
15 public, we do that again through these objective
16 criteria that we give versus a black box sort of
17 operation. And as Mary will talk to you again
18 about, too, NIOSH-IREP is available for everyone
19 to use. To operate you can plug in your own
20 numbers. You can look at the basis for all the
21 assumptions that are in IREP and all the science
22 that's in IREP. It's a completely open process
23 that someone else can make the determinations
24 just as we can, and understand how, where they
25 came from and why.

1 And that concludes my prepared presentation.
2 And I'd be glad to take - I'd be glad to take
3 questions now, or you may want to await Mary's
4 presentation, as well. It's really your call.

5 **DR. ZIEMER:** Well, let's take a moment and
6 see if there are immediate questions on Ted's
7 presentation.

8 [No responses]

9 **DR. ZIEMER:** Let's then proceed with Mary's,
10 and then we can cover both in one swoop.

11 **DR. SCHUBAUER-BERIGAN:** Good afternoon. Can
12 everyone hear me, first of all? I have a
13 tendency to speak somewhat softly, so if those of
14 you in the rear can't hear me at any point, just
15 sort of wave your hand and I'll speak up.

16 My name is Mary Schubauer-Berigan, and I'm a
17 research epidemiologist in the Health-Related
18 Energy Research Branch, which many of you may be
19 familiar with. It's a group that conducts
20 research related to epidemiologic studies of
21 Department of Energy workers.

22 I'm very happy to be here today to talk to
23 you about the basis, the technical and scientific
24 basis, for the probability of causation rules.
25 And I'll be attempting to go into a bit more

1 detail on several of the issues that Ted has
2 already covered.

3 First I'd like to sort of walk you through
4 the basics of probability of causation, and some
5 of this may be reiterating Ted's points, once
6 again. First, it's important to recognize that
7 the concept of probability of causation is based
8 on the concept of assigned share. This is a term
9 that has been used in the insurance industry and
10 several other applications. It really applies to
11 populations and not to the individual, and so as
12 Ted has indicated, it's really impossible to
13 determine for an individual whether or not – what
14 actually was the cause of their cancer. The
15 assigned share, which is also sometimes referred
16 to as the attributable fraction in epidemiology,
17 estimates the proportion of disease in the
18 population that would not have occurred had that
19 exposure not taken place.

20 We are approximating the probability of
21 causation – I'll call that PC for short – by the
22 calculation of assigned share. Some have pointed
23 out that it's not technically accurate to equate
24 probability of causation with assigned share, but
25 because this is the best way we have to

1 approximate it at the present time, we will be
2 using that term interchangeably.

3 As Ted indicated, as these methods have been
4 developed, we allow for the incorporation of
5 uncertainty in both dose, the dose-response
6 relationship for various cancers, and also
7 uncertainty in the importance of various factors
8 that modify that risk.

9 As Ted indicated, EEOICPA requires the use
10 of a standard referred to as "likely as not," or
11 a 50 percent probability of causation after the
12 incorporation of uncertainty. This approach has
13 been criticized by some, and it's difficult to
14 get into the issues that have been criticized at
15 this point, but it's been fairly well
16 acknowledged that this is - the probability of
17 causation method is really the only available
18 method we have at this point to use with this
19 population.

20 Okay, I wanted to illustrate a calculation
21 of the assigned share of the probability of
22 causation. It is defined as the risk from
23 radiation exposure, also known as the excess
24 relative risk, divided by the sum of the
25 background risk and that risk from radiation

1 exposure. And there's an alternative way of
2 expressing this, which is since the excess
3 relative risk is equivalent to the relative risk
4 minus one, it's simply the relative risk minus
5 one divided by the relative risk. And those of
6 you who are epidemiologists or are familiar with
7 risk assessment understand the concept of
8 relative risk.

9 In general terms, this is defined here as
10 the relative risk of cancer at a given dose level
11 compared to a similar unexposed population at a
12 specified age, sex, age at exposure, time since
13 exposure, or whatever other factors have been
14 found to modify that relationship. So you might
15 correctly guess at this point that we estimate
16 relative risk from epidemiologic analyses, and
17 you would be correct.

18 Because we know so much about the
19 relationship between ionizing radiation and
20 cancers, it's actually possible to produce
21 separate models for each cancer or for different
22 groupings of cancers, depending on the rarity of
23 the cancer and the population that's being
24 studied.

25 One factor that is very important that may

1 not always be evident is that it's not always
2 clear how the relative risk that you observe in
3 one population should be transferred to a
4 different population. Here an example might be
5 the study of the Japanese atomic bomb survivors,
6 which is considered one of the premier studies of
7 the association between cancer risk and radiation
8 exposure, how to apply those risks that were
9 observed to the population of Department of
10 Energy workers who might be claimants under
11 EEOICPA.

12 The models also may incorporate uncertainty.
13 Those of you who do epidemiologic research or who
14 are familiar with it understand that you're
15 usually estimating relative risks with some
16 uncertainty about them, just due to statistical
17 uncertainty in the models that have been
18 produced. That's one source.

19 A second source is the uncertainty that's
20 associated with the exposure of the population
21 under study. And to continue my analogy using
22 the Japanese atomic bomb survivor study, there's
23 uncertainty about the doses that were experienced
24 by those atomic bomb survivors.

25 A third source of uncertainty is uncertainty

1 in what's known about the effects of confounding
2 factors, such as age, sex, race, ethnicity, et
3 cetera.

4 As I mentioned earlier, there's also
5 uncertainty about how those relative risks should
6 be transferred to a new population.

7 And lastly, there's additionally uncertainty
8 associated with the exposure of the claimant.

9 And this slide gives you an illustration of
10 how uncertainty about all of these factors could
11 contribute to uncertainty in the estimate of
12 probability of causation.

13 As an example, we have a man who is exposed
14 to 11 rem of high energy photons at age 40. If
15 he was diagnosed with leukemia at age 50, one
16 might try to estimate the probability that his
17 leukemia was caused by that radiation exposure.
18 Using studies of people exposed to radiation and
19 observing the levels of radiation exposure that
20 led to increased levels of cancer risk, the best
21 estimate of probability of causation for this
22 population in this exposure is 34 percent,
23 defined as the median estimate of the probability
24 of causation.

25 However, after considering the various

1 sources of uncertainty, given what we know about
2 the radiation exposure and leukemia risk, you
3 actually have a distribution of values with
4 variable likelihood or probability, and that
5 leads to this probability density function.

6 As Ted mentioned earlier, we might want to
7 use a very conservative estimate of the
8 probability of causation, and Congress has in
9 fact specified that we do so. So for this
10 individual, the upper 99th percentile on their
11 estimate of probability of causation is actually
12 65 percent. And under EEOICPA this is the value
13 that would be used to determine, by Department of
14 Labor, what the probability of causation is for
15 this person.

16 I wanted to talk a little bit - because
17 we've mentioned that this program has some
18 historical precedent, I'd like to talk about that
19 precedent for a few minutes.

20 The first experience was by the development
21 by the National Institutes of Health of a series
22 of radioepidemiologic tables in 1985. This
23 method was reviewed by the National Academy of
24 Sciences at that time, and it was based on
25 epidemiologic analyses, primarily of the Japanese

1 atomic bomb survivors' experience. There were
2 also models incorporated from studies of radium
3 224 for bone cancer.

4 The method modeled risk for 12 different
5 cancers, and was primarily concerned with
6 external radiation. The cancers are listed here.
7 Those of you who are familiar with the
8 radiobiology literature or radiation epidemiology
9 understand that there is a lot of controversy
10 about factors such as dose-rate effects – that
11 is, does the risk of a certain dose of radiation
12 depend on the rate at which it's received?

13 The original tables assumed no adjustment
14 for dose-rate effects, but used a linear-
15 quadratic dose response model for all cancers
16 except for breast and thyroid, which has the
17 effect of reducing the risk per unit dose at low
18 levels of dose. And it applied a constant
19 relative risk model for most cancers except
20 leukemia and bone, which was transferred in an
21 additive fashion to the U.S. population.

22 Some of the aspects of the 1985 tables that
23 are relevant here are that it did have some
24 rather serious limitations. It really was
25 designed to be used only for external radiation,

1 with a couple of exceptions. And it had poor
2 assessment of probability of causation from high
3 energy, high-LET dose, such as alpha dose from
4 plutonium exposures. It also - it did
5 incorporate uncertainty, but it did so rather
6 crudely, using multiplicative factors.

7 It was also rather difficult to implement.
8 I don't know if any of you are familiar with
9 these tables, but the book is about a couple
10 hundred pages long, and the tables are very
11 extensive throughout them and require a bit of
12 prior knowledge and experience to actually
13 implement. Also very importantly, these were
14 meant to be updated every few years.

15 Currently they're being used as source
16 models for the Atomic Veterans Compensation
17 Program, and in general it's believed that these
18 are a rather good fit to the dose scenario,
19 although there is some concern about high-LET
20 exposures among those atomic veterans.

21 Expert judgment is frequently used. As I
22 mentioned, there are only 12 cancer sites that
23 are modeled in there, so if you've got a cancer
24 to consider with - outside that list, you must
25 use expert judgment to determine the adjudication

1 of a claim. This apparently posed less of a
2 problem for the VA than it might for DOL, because
3 they were processing approximately 300 to 400
4 claims per year.

5 It was recognized - several of these
6 limitations were recognized to be rather serious,
7 and several years ago the National Cancer
8 Institute agreed to update these
9 radioepidemiological tables. And I saw earlier
10 that one of the developers is here with us, Dr.
11 Charles Land from NCI.

12 This was done because of the availability of
13 new data. Atomic bomb cancer incidence data
14 through 1987 was newly available to do this.
15 Improved computational methods for both the risk
16 modeling from the A-bomb survivors and the
17 incorporation of uncertainty made it easier to
18 produce better models and ones that could be
19 implemented more easily.

20 I'd like to outline some of the changes that
21 the NCI tables, as of their review by the
22 National Academy of Sciences in November of 2000.

23
24 Some of the changes that were implemented is
25 that they increased the number of cancer sites

1 quite dramatically, from 12 to 13 up to 33 total.
2 They did eliminate the radium 224 bone cancer
3 models and the radon lung cancer models at that
4 point in time.

5 They incorporated much more detailed
6 uncertainty analyses, adding factors for dose-
7 rate adjustment for low-LET radiation. Low-LET
8 radiation, for those of you who are not familiar
9 with that term, is what we refer to as
10 penetrating ionizing radiation, such as photons
11 or X-rays. They also added radiation quality
12 factors for high-LET risk estimation.

13 However, this was still directed at the time
14 towards the VA's Atomic Veterans Compensation
15 Program, since EEOICPA didn't exist at that time.
16 And it produced, very importantly, a program, a
17 computer program, that could be used by
18 individuals with less experience in these areas
19 rather than the set of complex tables that had
20 been produced previously.

21 Their methods were also reviewed, as I
22 mentioned, by a National Academy of Sciences
23 panel, and responses received. The status of the
24 NCI version is that it's in draft, as I
25 understand it. I don't know when the final is

1 expected, but perhaps Dr. Land could address that
2 sometime today if those of you who may be
3 interested want information about that.

4 As we reviewed the NCI's program, we
5 identified limitations that we felt were
6 important for compensation of DOE workers. While
7 there was the addition of quite a bit of
8 extension of the models to apply to high-LET
9 exposures such as plutonium, there still remained
10 no radon in lung cancer models.

11 The RBE values, the relative biological
12 effectiveness values – which are similar to
13 quality factors – were highly uncertain for bone
14 marrow and several other sites. And these are
15 important exposures for the DOE work force, so we
16 felt that those needed more intensive attention.
17 And the dose-rate adjustment factors for high-LET
18 radiation were not addressed in that draft.

19 Also, as Ted mentioned, we had the
20 responsibility to consider all cancers, not just
21 specific cancers that happened to have models
22 associated with them, and there were several that
23 we felt were very important that needed to be
24 addressed – skin, bone, male breast cancer, and
25 several others came to mind.

1 An additional problem is that several of the
2 cancer sites result in models that are unlikely
3 to result in a compensable claim for cancers that
4 have been shown to be elevated among the DOE work
5 force. And this raises the question of whether
6 supplementation of the Japanese atomic bomb
7 survivor data should occur with the results of
8 other studies, especially studies of DOE workers.

9 One factor we identified as important for
10 our program is temporal changes in U.S.
11 background cancer rates were not incorporated,
12 and the NCI program emphasizes the current cancer
13 rates, which may be relevant for the VA because
14 they are processing more current claims.
15 However, EEOICPA is the first program of its kind
16 for DOE workers, and it will be expected that
17 claims could come in from all periods of time
18 through which DOE has been in operation.

19 And Ted already covered this point, but how
20 should we handle metastatic cancers when the
21 primary site's unknown? And I believe this is
22 lastly, how should probability of causation be
23 estimated for multiple primary cancers?

24 As all of the other agencies can attest,
25 there was a very aggressive time frame for the

1 development of probability of causation rules
2 under this program. And our approach was to use
3 the existing NCI methodology where appropriate,
4 especially given the level of scientific review
5 that this method had undergone, and we included
6 being very interested in their modifications that
7 were developed to address the NAS panel review
8 comments.

9 We attempted to separate the limitations
10 into those amenable to short-term versus long-
11 term solution. And we tried to work with NCI and
12 its contractors to address some of the
13 limitations. For example, the radon in lung
14 cancer model was incorporated, and this was
15 highly recommended by the NAS panel as well.

16 There was much more attention given to a
17 variety of different radiation exposure types,
18 and we have, I believe, now a total of five or
19 six radiation exposure types in the NIOSH-IREP
20 model. These have separate RBE and dose-rate
21 adjustment factors for each radiation type
22 specifically developed.

23 Finally, the software that NCI had developed
24 with its contractor was implemented into a NIOSH
25 version called NIOSH-IREP, which you'll see

1 demonstrated hopefully in about an hour or so.
2 And lastly, we do remain involved in developing
3 long-term solutions to limitations in these
4 models for DOE workers.

5 Now what are some of the modifications that
6 were made for NIOSH-IREP? Well, initially we
7 recognized the need to add certain cancer models
8 for EEOICPA. For skin cancer we incorporated
9 analyses of the atomic bomb survivor skin cancer
10 incidence data that were done by Elaine Ron and
11 colleagues very recently.

12 Bone cancer has proven to be quite
13 challenging. There are data from the atomic bomb
14 survivor cohort. It's a very rare cancer, and so
15 there are not large numbers of bone cancers among
16 that group. However, there has been publication
17 of bone cancer risk coefficients by Pierce and
18 colleagues in 1996, which were used in a risk
19 assessment for plutonium in bone cancer risk by
20 Grogan and colleagues.

21 Since there was no male breast cancer risk
22 model, we used female breast cancer risk
23 coefficients applied to background male breast
24 cancer rates in the U.S. and Japan. And we added
25 models for connective tissue cancer, cancers of

1 the eye, non-thyroid endocrine glands and "ill-
2 defined" cancers, and these were done using the
3 miscellaneous cancer risk model produced by NCI,
4 applied to these individual cancer background
5 rates in order to transfer the risk to the U.S.
6 population.

7 Very importantly, we determined that the
8 chronic lymphocytic leukemia should be excluded
9 at this time on the basis of the lack of
10 qualitative evidence that radiation exposure
11 causes CLL, and the lack of any quantitative
12 models available to estimate risk for this
13 specific type of leukemia.

14 We also developed an objective list of
15 cancer models that should be used to adjudicate
16 claims in which the primary cancer site is
17 unknown, and we did this using available data
18 from the National Center for Health statistics
19 relating cancers – secondary cancers to their
20 likely site of origin.

21 And lastly, we developed operational smoking
22 definitions for use in the lung cancer models
23 that are part of the NCI-IREP program.

24 What do we see as the future of probability
25 of causation calculation? Well, first, the NCI

1 program is itself interim, and they anticipate
2 that periodic updates will result from new
3 scientific information.

4 One of the most important of these is the
5 recommendations of the BEIR VII panel, which is
6 an NAS-NRC group. Also very importantly, we need
7 to rely on the recommendations of the Advisory
8 Board for any changes to the models that are
9 required. And also very importantly, we are
10 working on ways to incorporate relevant changes
11 that need to be made based on the scientific and
12 public review comments that have been received
13 and that will still be received as part of this
14 process.

15 I just in the last few minutes - I think I
16 do have some time here - I wanted to talk about
17 some of the potential modifications that could
18 result in the future from new scientific
19 information.

20 Some of these possible long-term changes
21 include improvements in the risk models, or
22 reduction or better estimation of uncertainties.
23 I already mentioned the BEIR VII committee, which
24 is working to update risk coefficients for
25 various cancer models. We also believe that it's

1 very important, where possible, to incorporate
2 input from epidemiologic studies of Department of
3 Energy workers, and that is a very important
4 future possible amendment that we believe needs
5 to be considered.

6 Changes that result from changes in
7 dosimetry practices, either at DOE sites or just
8 in our general knowledge about radiation
9 dosimetry, would also be elements that could be
10 amenable for long-term change.

11 One of the recommendations, or one of the
12 specific adjustment factors mentioned by the NAS
13 review of the National Cancer Institute models,
14 was consideration of adjustments for radio-
15 sensitive subpopulations. And you've all heard a
16 tremendous amount about the human genome project
17 and some of the fruit that that might bear for
18 our knowledge of cancer causation. And it's just
19 probably too early at this point to incorporate
20 information about radio-sensitive subpopulations
21 into these models.

22 Also, the EEOICPA language strongly suggests
23 consideration of interactions with other work
24 place exposures, and that is something we felt
25 was amenable to long-term consideration, but

1 really couldn't be handled in this version of the
2 IREP program.

3 And now I'll be happy to take some questions
4 from those of you who have them.

5 **DR. MELIUS:** You mentioned the issue of
6 temporal change in cancer incidence rates.

7 **DR. SCHUBAUER-BERIGAN:** Yes.

8 **DR. MELIUS:** Do you have any idea what the
9 magnitude of that effect would be in terms of
10 individual -

11 **DR. SCHUBAUER-BERIGAN:** Right.

12 It varies by cancer, obviously. Some
13 cancers have become much more common over time.
14 I think their incidence has increased in the U.S.
15 population. In some cancers it has decreased.
16 The extent of that contribution to a change in
17 the probability of causation estimate is
18 difficult to assess without actually going
19 through the process of modeling it. That's just
20 one of the factors of uncertainty that is
21 incorporated into these models, and we haven't
22 tried to model that specifically.

23 **DR. MELIUS:** Okay. That was my question.

24 **DR. SCHUBAUER-BERIGAN:** Yeah.

25 **DR. DeHART:** You mentioned the epidemiology

1 studies of DOE workers. Many of the plants have
2 had those studies ongoing for a period of time.
3 Do you have any feel for what the relative risk
4 overall might be? Are we talking about 1.2, 2,
5 3, 4 - as you look, generally.

6 **DR. SCHUBAUER-BERIGAN:** It would be really
7 difficult to answer that question without getting
8 very specific about details of the exposure. I
9 mean, anytime you talk about relative risk you
10 really have to define the exposure group. And of
11 course, workers who are exposed to higher levels
12 of radiation would be expected to incur higher
13 levels of risk.

14 And so without knowing the general exposure
15 or the average exposure among the DOE work force,
16 it's very difficult to estimate. And some
17 studies have found much larger increases than
18 that for specific cancers. Others have found no
19 elevation of risk or a smaller elevation of risk.
20 But it's very difficult to generalize across the
21 entire DOE work force.

22 Yes?

23 **DR. ROESSLER:** I might get myself mixed up
24 in presenting this, but when you talk about
25 cancers that - about which you have a lot of

1 information, you will then have tighter bounds or
2 lower uncertainty levels, so it would be closer
3 to this best estimate. If you're talking about
4 something that's very uncertain you're going to
5 have these great big uncertainty bounds.

6 It seems like that if you pick - which, I
7 mean, Congress has done - the 99 percent level,
8 and you take two individuals, one who comes in
9 with a cancer for which there's a lot of
10 information known, it seems like that person's
11 going to be jeopardized because you're living
12 with those uncertainty limits which are much
13 tighter.

14 **DR. SCHUBAUER-BERIGAN:** Yes, that is a
15 source of a lot of the comments that have been
16 received.

17 And I don't know, Ted, if you wanted to
18 address that.

19 But the - in our discussions it was felt
20 that that's a very valid point. However, we were
21 basically - our hands were tied because of the
22 specifications of Congress on how this should
23 actually be - the compensation should be awarded.
24 It was on the basis of that upper bound of
25 uncertainty. And you are correct, that the less

1 you know, the more uncertain you are, the higher
2 that upper bound becomes.

3 In a practical sense – and maybe Dr. Land
4 can speak to this – this is also a point that the
5 NAS panel brought up. And one of the amendments
6 that NCI has made has been to try to group
7 cancers into larger groups to avoid having these
8 extremely high estimates of uncertainty for very
9 rare cancers.

10 Charles, is that a fair –

11 **DR. LAND:** That's fair.

12 **DR. SCHUBAUER-BERIGAN:** – summary?

13 **DR. LAND:** Right.

14 **DR. DeHART:** We've been talking a bit about
15 the Special Exposure Cohort. Was any of these
16 kinds of studies applied in order to determine
17 that they would be sort of automatically found to
18 have a causation issue?

19 **DR. SCHUBAUER-BERIGAN:** You mean in
20 establishing the initial Special Exposure Cohort?

21 **DR. DeHART:** Yes, exactly.

22 **DR. SCHUBAUER-BERIGAN:** I don't believe so.
23 I couldn't speak to the minds of anyone who
24 established the Special Exposure Cohort, but I
25 don't believe that that was done.

1 Yes?

2 **MS. GADOLA:** Under modifications made for
3 NIOSH-IREP, it says that you developed
4 operational smoking definitions for use in lung
5 cancer models. Could you elaborate on that a
6 little bit?

7 **DR. SCHUBAUER-BERIGAN:** Yes. There is an
8 adjustment for smoking status for lung cancer
9 only, and this was a feature of the original NIH
10 1985 tables that had been carried through the NCI
11 version of the tables. And we believe that those
12 were valid to incorporate on a scientific basis,
13 but in some cases - specifically how you define a
14 non-smoker - we had to develop a definition that
15 was based on the best sort of scientific
16 definition that's currently used.

17 And the one that we decided on was a
18 lifetime smoking rate of 100 cigarettes or fewer
19 throughout an entire lifetime, you would be
20 considered a never smoker, up to the point of
21 your cancer occurrence. And in cases of defining
22 your smoking level, we instruct Department of
23 Labor to question the person on their habits up
24 until the previous five years of the cancer
25 diagnosis. So whatever category you were in at

1 the point five years before your cancer diagnosis
2 is your definition for the purposes of estimating
3 your probability of causation.

4 **MS. GADOLA:** Is smoking, then, the only type
5 of cancer that something else like another
6 carcinogen is really considered?

7 **DR. SCHUBAUER-BERIGAN:** Well, not
8 necessarily. I mean, one of the biggest
9 carcinogens is aging, the aging process. And age
10 is certainly an important factor that modifies
11 your risk, so that is incorporated. Cancer risks
12 due to radiation exposure differ in many – for
13 many cancers by your gender, and so that's also
14 incorporated in many of the risk models.

15 We did – and this is very important to
16 mention, so I'm glad you raised this question –
17 in the skin cancer models that were developed,
18 because skin cancer is primarily a function of
19 skin pigmentation which is a function of
20 race/ethnicity, we've incorporated a different
21 set of background incidence rates that are race-
22 and ethnicity-dependent. And so that is one
23 other cancer that has a different risk modifier
24 added to it.

25 **MS. GADOLA:** Okay. I was familiar with

1 that, and I'm glad you included that. But I was
2 also thinking - and I think you have already
3 answered this - was some of the other chemicals,
4 because we don't know enough about them. Is that
5 true, although they are listed as carcinogens and
6 some of these employees might have been working
7 with them?

8 **DR. SCHUBAUER-BERIGAN:** Well, Larry alluded
9 to this in his presentation just before lunch.
10 You might remember that he mentioned we're
11 limited to considering radiation risk. So the
12 only extent to which we can consider chemical
13 exposure is the extent to which it modifies the
14 effect of the radiation. So if exposure to a
15 chemical increases the effects the radiation has
16 on your cancer risk, then those should be
17 considered. And at this point there's just
18 simply not enough information to allow us to do
19 that.

20 **MS. GADOLA:** Except for those in cigarettes.

21 **DR. SCHUBAUER-BERIGAN:** That's right,
22 because it has been intensively studied.

23 **MS. GADOLA:** Thank you.

24 **DR. SCHUBAUER-BERIGAN:** Yes?

25 **MS. MUNN:** A couple of times you referred to

1 specific types of cancer that had found to be
2 excess in DOE workers. I don't know whether I
3 should address this to you or to Larry. I don't
4 think there was anything in the materials that I
5 received that identified those specific
6 categories of cancer. It would be very helpful
7 to me if I had something to -

8 **DR. SCHUBAUER-BERIGAN:** Yes. I don't know
9 that any of you have received or read many of the
10 studies of DOE workers, but one that's of great
11 interest is multiple myeloma, which has been
12 found to be elevated in certain cohorts. Another
13 cancer that frequently is mentioned is brain
14 cancer in the Rocky Flats cohort. And I'm sure
15 the OCAS staff would be happy to provide the
16 Board with papers and reprints on these things.

17 Yes?

18 **DR. MELIUS:** Can you comment on how the
19 model deals with age at first exposure, initial
20 radiation exposure?

21 **DR. SCHUBAUER-BERIGAN:** Well, actually, for
22 most cancers, I'd prefer to defer to Charles Land
23 on that. I actually have a slide later on that
24 talks about some of these effect modifiers, and
25 age at exposure's an important one. But it

1 varies for different cancers. For most of the
2 cancer sites, age at exposure – increasing age at
3 exposure is thought to be associated with lower
4 cancer risk, so the younger you are at exposure
5 the greater your cancer risk. But it doesn't
6 apply to all cancers.

7 **DR. MELIUS:** If you're going to talk about
8 it later, that's fine.

9 **DR. SCHUBAUER-BERIGAN:** Anything else?

10 **DR. ZIEMER:** No other questions?

11 Now we will be looking at the IREP specifics
12 after the break, and that might raise some
13 additional questions as well. So –

14 **DR. MELIUS:** I was trying to figure out if
15 this was our last chance to ask questions –

16 **DR. ZIEMER:** No, no.

17 **DR. MELIUS:** – on some of these issues,
18 that's all. It wasn't clear.

19 **DR. ZIEMER:** This is just the first cut,
20 okay?

21 Okay, then we are going to take our break.
22 We'll reconvene at 2:45.

23 [Whereupon, a recess was taken from
24 approximately 2:25 p.m. to
25 2:47 p.m.]

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

- - -

DR. ZIEMER: I'd like to call us back to order. And we're going to proceed with the next item on the agenda, which is a review of the Interactive Radio-Epidemiological Program, IREP. And I think Russ Henshaw is going to kick us off on that.

Russ, are you ready?

MR. HENSHAW: Yes, sir.

Good afternoon. It's a pleasure to be here. I'm Russ Henshaw. I'm an epidemiologist with the Office of Compensation Analysis and Support. I'll be giving this presentation along with Mary Schubauer-Berigan, who is serving two combat tours today, two in a row. I'll start off and talk a little bit about NIOSH-IREP and then do a demonstration of the software, and then Mary will come on and talk in a little more detail about some of the features.

Now what is NIOSH-IREP? Basically it's an interactive software program that, as the name implies, is NIOSH's version of IREP. It's designed under the guidelines of the EEOICPA to calculate the probability that a worker's compensation - that a worker's cancer was caused

1 by occupational radiation exposure. It's
2 currently posted on the internet for public use
3 and comment.

4 The program incorporates cancer risk models
5 derived from tables developed in 1985, as was
6 mentioned previously, by the National Institutes
7 of Health, and then updated later by the National
8 Cancer Institute and the CDC.

9 Although the NIOSH version builds upon the
10 National Cancer Institute's methodology, it was
11 designed very specifically – NIOSH-IREP, that is
12 – to address the exposures and the risks
13 associated with the production of nuclear weapons
14 – that is, the cases of cancer among workers at
15 atomic weapon facilities, Department of Energy
16 employees, and contract workers.

17 What are the primary goals of NIOSH-IREP?
18 Well, the primary purpose, in a nutshell, is to
19 calculate the best possible estimate of causation
20 for each individual cancer claim. To accomplish
21 this the software incorporates statistical risk
22 models, as has been noted, for the various types
23 of cancer adjusted for individual risk factors,
24 such as age at exposure and age at diagnosis.

25 Of course, as has been mentioned, there are

1 uncertainties, uncertainties associated with the
2 radiation dose and also with the probability
3 distributions that form the basis for the
4 statistical calculations. Under the provisions
5 of EEOICPA, however, NIOSH-IREP is designed to
6 utilize these uncertainties in a way that's
7 intended to give the benefit of doubt to the
8 claimant.

9 Additionally, the intention was to make the
10 process of calculation open, accessible, and
11 self-documenting by including on-line
12 descriptions of model details wherever feasible.
13 It's designed to be user-friendly, and to the
14 extent possible, given the complexity of the
15 statistical risk models, really to demystify, if
16 possible, the process of probability of
17 causation.

18 And a lot has been said about providing the
19 benefit of doubt to claimants, and that is a
20 major goal, by applying the "as likely as not"
21 standard that's incorporated under the provisions
22 of EEOICPA – that is, is it as likely as not that
23 an individual's cancer was caused by his or her
24 work place exposure to radiation rather than by
25 something else?

1 To meet this standard, the program basically
2 overlays a range of causation likelihoods,
3 similar – known as credibility limits. That's
4 similar to confidence intervals. And that
5 probability distribution is overlaid around the
6 causation point estimate for each claim. If the
7 upper 99th percentile of the distribution falls
8 at 50 percent or higher, then the claim is
9 considered compensable.

10 For our demonstration of the program, we'll
11 go through each step using input data for a
12 hypothetical claim, maybe two or three depending
13 on the time, and then we'll view the subsequent
14 probability of causation result. We'll also show
15 you some of the documentation in the help files
16 that are incorporated into the web version of the
17 software.

18 First, though, how do you actually find
19 NIOSH-IREP on the web? Well, the most direct way
20 is to type in the exact internet address, which
21 is shown on the screen and also in your handout.
22 But given that that looks like a series of
23 numbers from a random number table, there is an
24 easier way to get to it. For one thing, you
25 wouldn't have to have that address in front of

1 you. And that way is to go directly to the
2 cdc.gov/niosh site. When you reach there you
3 simply click on OCAS, Office of Compensation
4 Analysis and Support. That's the OCAS, the link
5 to the OCAS home page. And at the home page you
6 click on Probability of Causation, NIOSH-IREP –
7 and I'll show you this later, if we have time,
8 when we access the software live, so to speak.
9 Finally, you would click on NIOSH-IREP, and then
10 click on the actual link to the NIOSH-IREP
11 software.

12 When we get to the demonstration, by the
13 way, you might want to turn to one of the two
14 operating guides in your handouts and in the
15 notebook. One is a two-page short version. The
16 other is the longer, more documented version. It
17 might help you if you attempt to run some claim
18 scenarios yourself at a later date.

19 Anyway, what input information do we need
20 for NIOSH-IREP? Well, first we need the gender,
21 the year of birth, and the year of diagnosis.

22 We need the type of cancer; and ethnicity,
23 but only if it's skin cancer, otherwise it
24 doesn't play a role in the causation estimate.
25 We need smoking data, if lung cancer, and that

1 also includes cancer of the trachea and bronchus.
2 And of course, as Dr. Schubauer-Berigan pointed
3 out earlier, we're only interested in smoking
4 data prior to the diagnosis of cancer.

5 We need the equivalent organ dose – and
6 incidentally, there's a typo there. That should
7 be small cSv for centisievert, which is the same
8 thing as a rem. We need the year or years of
9 exposure, the exposure rate, the radiation type
10 and range, the organ dose, et cetera. And Mary
11 will go into that in more detail later after we
12 demonstrate the software.

13 Before I get into the actual demonstration,
14 I do want to just touch again on this issue of
15 multiple primary cancer sites. This is a source
16 of some confusion among claimants and others who
17 call about the program. And basically, as has
18 been stated, if you have more – if a claimant has
19 more than one primary cancer site, it's necessary
20 to run each cancer independently through the
21 software and come up with separate, independent
22 probability of causation results.

23 Following that, you take the results and
24 plug them into this equation, and I have an
25 example here at the bottom. In this case, let's

1 say that hypothetically there were two primary
2 cancer sites. We ran each of them through the
3 software and came up with a probability of 40
4 percent. That's the upper 99 percent credibility
5 limit, or .4 in the equation. And the second
6 one, let's say hypothetically also was .4. Well,
7 taken – either cancer taken by itself would not
8 be compensable under the guidelines. However, by
9 plugging them into this equation, wind up with 64
10 percent, .64, and that would be a compensable
11 claim.

12 Now we'll actually demonstrate the software.
13 Hopefully it's still somewhere in this little
14 laptop from our web site. We'll run a
15 hypothetical claim scenario. Questions are
16 welcome at any time, and with any luck perhaps
17 either Mary or I can actually answer the
18 question. So let's, without further ado, as they
19 say, let us begin.

20 For the sake of time, I've already navigated
21 through the NIOSH – the CDC and NIOSH screens to
22 get to the opening page of NIOSH-IREP. This is
23 the opening screen. The first thing – and if we
24 have time, if anyone is interested, I'll be happy
25 to back up and actually navigate to it later.

1 First thing to do is click on the BEGIN button.
2 That is the data input screen.

3 For a hypothetical example, let's take a
4 case of lung cancer. We'll leave the default as
5 male. The birth year is 1951, and the year of
6 diagnosis is 1991. It's not necessary just to
7 calculate causation to enter in the name, claim
8 number, and Social Security number. Of course,
9 when the Department of Labor calculates
10 probability they will need to do that.

11 Then we click on ENTER A DIAGNOSIS. And
12 this plays no part in the actual calculation, but
13 at the end of the -- after we wind our way through
14 the software the program prints out a summary
15 report, and this will appear on the summary
16 report. So we'll type in lung; date of
17 diagnosis, 1991; and click on SUBMIT DIAGNOSIS.

18 Now we go to the cancer model. Again, this
19 is lung cancer, and the cancers are arranged
20 basically in numerical order by ICD-9 code. Lung
21 is 162. We enter that. Should an alternate
22 cancer model -- oops, I think I clicked on
23 something by mistake. Let me back up.

24 In addition to the uncertainty of the
25 statistical risk models, there's some uncertainty

1 with my vision. I have bifocals, and right on
2 the cusp of trifocals here, so. In fact, I'm
3 going to change glasses for a minute.

4 Okay, so we go to should alternate cancer
5 model be run? The answer is no in this case.
6 And the purpose of that, by the way - again, it
7 does not play a part in the calculation. It is
8 really a reminder, if there is more than one
9 cancer to be run through the software, it's a
10 reminder to the Department of Labor person who
11 will be actually operating this that when the
12 summary's printed out, he or she will see that
13 and remember to go back and run the second or
14 third or whatever cancer.

15 So we go to enter data. This is the inputs
16 for skin and lung cancer only. This is lung
17 cancer, so we'll enter that, we'll press that
18 button. Since it's not skin cancer we can
19 disregard ethnic origin. And in this case let's
20 say that - I'll pop this open for you, just to
21 show you the three choices are radon, radon plus
22 other sources, or just other sources. We'll say,
23 for this example, it's just other sources, no
24 radon exposure. And we'll say never smoked.

25 Now if you'll notice, there's - even though

1 this is not a radon exposure, there is a one in
2 the block for number of radon exposures. I'm
3 going to - just to show you, so you don't make
4 the same mistake yourself if you play around with
5 this later, I'm going to change that to zero, as
6 one might intuitively think one should do, and
7 click on SUBMIT DATA. I can see the screen, but
8 I can't see any of you, by the way, so.

9 If you'll see there, there's a red error
10 message, number of exposures cannot be less than
11 one. If you're running this and forget and enter
12 the zero, then just disregard that. The way the
13 software is set up right now, unless either radon
14 or radon plus other sources is selected for the
15 exposure from - can't see the screen, either -
16 for the exposure from input, the software
17 actually disregards anything that's in the radon
18 box. However -

19 **DR. ZIEMER:** There's a question here, Russ.

20 **DR. ANDRADE:** Just a quick question -

21 **MR. HENSHAW:** Sure.

22 **DR. ANDRADE:** - while we're still on the
23 screen. I'm really curious as to what the menu
24 for smoking history is.

25 **MR. HENSHAW:** Sure. It's never smoked,

1 which as Mary - Dr. Schubauer-Berigan indicated
2 earlier, for our purposes means smoked less than
3 100 cigarettes in a lifetime prior to the
4 diagnosis - prior - is it up to five years of the
5 diagnosis, Mary?

6 **DR. SCHUBAUER-BERIGAN:** (Nods affirmatively)

7 **MR. HENSHAW:** And then the other choices -
8 former smoker, current smoker, unknown number of
9 cigarettes a day, and so forth.

10 **DR. ANDRADE:** Thank you.

11 **MR. HENSHAW:** Incidentally, if I'm bypassing
12 any of the screens, please feel free to shout out
13 and tell me to open it up, even if it's not
14 needed for this particular scenario. Be happy to
15 do that.

16 So I'll change this back to one, even though
17 it won't be counted in the calculation, just so I
18 can submit the data.

19 Oh, while I'm here, one other thing. I
20 mentioned that we'd look at some of the on-line
21 documentation - let me back up a second here. I
22 just clicked on VIEW MODEL DETAILS, and you will
23 see an explanation of the model. And you'll see
24 that - you'll see things like this here and there
25 throughout the software, should you go back and

1 play around with this yourself. When you see
2 anything like - any of the screens that have VIEW
3 MODEL DETAILS or anything like that, you're
4 likely to see some interesting information. In
5 fact, you're likely to - you're liable to see it
6 more than once or twice here, if you're as clumsy
7 as I am with your fingers.

8 But anyway, we'll go back and submit data,
9 and that was accepted.

10 **DR. ZIEMER:** Russ, why wouldn't you on that
11 one, that chart where you have confusion about
12 one or zero, why not just label that radon or
13 other sources, number of exposures, since that's
14 the category it's under? Wouldn't that remove
15 the confusion, or -

16 **MR. HENSHAW:** If it was labeled radon plus
17 other sources, it would - the program would
18 assume that there was radon exposure and factor
19 that into the calculation.

20 **DR. ZIEMER:** No, I'm talking about labeling
21 the instruction part for the user -

22 **MR. HENSHAW:** So the on-line -

23 **DR. ZIEMER:** If you go back - go back to the
24 other screen there.

25 **MR. HENSHAW:** Okay.

1 **DR. ZIEMER:** Where it forced you to put in
2 the one -

3 **MR. HENSHAW:** Um-hum.

4 **DR. ZIEMER:** - for exposure, it says for
5 exposures to radon, number of radon exposures,
6 you're having to put one in there anyway 'cause
7 you have to show that you're exposing to
8 something, right? Is that why the one's there?

9 **MR. HENSHAW:** Just - yes, just to make the
10 program work, even though it disregards the
11 input. And they're working on fixing this. It's
12 a -

13 **DR. ZIEMER:** Oh, it needs to be labeled
14 differently.

15 **MR. HENSHAW:** Yeah, it can be confusing.
16 But I think the main point to remember is that no
17 matter what you have in there, it's not factored
18 into the calculations if you have OTHER SOURCES
19 checked for exposure.

20 **MR. ELLIOTT:** If this claimant was from
21 Fernald, though, you would want to choose radon
22 exposure for that entry. Right?

23 **MR. HENSHAW:** I would assume so, but I'd
24 refer that to one of our health physicists here.

25 **UNIDENTIFIED:** Possibly.

1 **MR. HENSHAW:** Okay, we've entered the data.
2 Now we skip over – oh, I'm sorry. Now we go to
3 enter doses since it was other sources, not
4 radon. Then – well, let me back up a second here
5 just to clarify something.

6 You'll notice there's an input field for
7 number of exposures under exposure information.
8 We're going to – for this hypothetical case, just
9 for simplicity, we're going to say there was one
10 exposure. Now we need to enter the dose
11 information. Now had I typed in a two into that
12 field – if you'll notice, there's one line for
13 input data, one line for exposure. Had I typed a
14 two into that field there would be two lines;
15 three, three lines, et cetera.

16 So for this case we're going to say the
17 exposure year was 1981.

18 **DR. DeHART:** Where is the employee getting
19 that data? From DOE records, or what?

20 **MR. HENSHAW:** Well, initially, yes. But
21 part of the program also includes actually
22 interviewing each claimant or survivor, or
23 sometimes coworkers, to verify that and maybe
24 obtain additional information if it's available.

25 I'm going to say the exposure is chronic,

1 and let's say this is - the radiation type is
2 alpha, we'll say from plutonium. We use the
3 lognormal distribution, and for the parameters -
4 the first parameter we put the actual number of
5 rems, the dose in rems, into the box for
6 parameter one, and we'll say it was 20 rem.
7 Leave that at two, and leave that at zero,
8 although for lognormal it doesn't matter what's
9 in the third box. For lognormal the parameters
10 are only the first two, the median and the
11 geometric standard deviation.

12 **MS. MUNN:** So what did you do in box two?
13 You had only one exposure?

14 **MR. HENSHAW:** Right. The two - it's not -
15 it doesn't - it's not related to number of
16 exposures.

17 **MS. MUNN:** I understand, but -

18 **MR. HENSHAW:** For - I'd probably refer that
19 question to Jim or one of the health physicists
20 for - or perhaps Mary, if you can answer that.

21 **DR. SCHUBAUER-BERIGAN:** The question is why
22 is there a two in there?

23 **MR. HENSHAW:** Why is there a two in box two?

24 **DR. SCHUBAUER-BERIGAN:** Right. My
25 understanding is that a dose of record is not in

1 the form of a distribution; it's in the form of a
2 single number. And so that could be approximated
3 using a distribution for organ dose that's called
4 constant in the pull-down menu, if you'd like to
5 do that.

6 However, as I mentioned in my presentation,
7 we have the ability to incorporate uncertainty in
8 the radiation dose of the claimant. And a very
9 typical distribution for an uncertainty
10 distribution is a lognormal for exposure data.
11 And so this is just a hypothetical example, but
12 for the case of Department of Labor, the health
13 physicist would reconstruct the dose and would
14 develop that particular dose distribution, and
15 would give the parameter estimates from that
16 process.

17 So this is something that a claimant is
18 likely to not know how to do before seeing their
19 dose reconstruction, which is why there is a
20 pull-down in there, as Russ is showing, for a
21 constant.

22 **MR. HENSHAW:** It's also, incidentally,
23 perhaps a good segue to clicking on this help
24 screen.

25 Again, these are more model details. This

1 attempts to provide some more information about
2 the distribution parameters. And there's also,
3 by the way, a good deal more information on this
4 and other model details for the program and for
5 probability of causation in your handouts and
6 notebook.

7 I'll close this help screen, and now we'll
8 submit the dose data.

9 Now we're back to the earlier screen, the
10 input screen. And now we've done - we've entered
11 all the information we need to enter to calculate
12 probability. All we need do is click on SUMMARY
13 REPORT and wait for the little invisible wheels
14 to turn, and we'll grind out some results.

15 And there it is. You'll notice that much of
16 the information that I mentioned was not actually
17 necessary for the calculations appears in the
18 summary report, including the information on the
19 primary cancer, the date of diagnosis, and so
20 forth, and the demographic information, name and
21 Social Security number. Pretty much spits out
22 just about everything we've plugged into it.

23 And we scroll down to the bottom, and there
24 are the actual calculation results. And as you
25 can see, this - this is driving me nuts. Bear

1 with me here with the glasses change. But as you
2 can see, this individual's claim did not turn out
3 to be compensable because the 99th percentile,
4 the credibility limits, fell below 50 percent.

5 **DR. ZIEMER:** Russ, it might be instructive
6 to now go back with the same dose and increase
7 the uncertainty by raising the standard deviation
8 of the lognormal distribution from two to, say,
9 five.

10 **MR. HENSHAW:** Okay.

11 **DR. ZIEMER:** With the same dose.

12 **MR. HENSHAW:** I haven't tried that. I've
13 tried playing around with the data, with the
14 amount of rem, but not this one, so this might be
15 interesting. Did you say five?

16 **DR. ZIEMER:** Say five.

17 **MR. HENSHAW:** If you're doing this at home
18 and you happen to have a cable internet
19 connection, by the way, it goes really quickly.
20 This is a dial-up we're using here today.

21 So we'll scroll down to the bottom of the
22 page and - about 75 percent.

23 **DR. ZIEMER:** Yeah. This is instructive, and
24 I think points out that uncertainty in the
25 numbers does in fact help the claimant. This was

1 in fact the intent of Congress, that if we don't
2 know very well the decision is made in favor of
3 the claimant. And I think it shows up here in
4 the model, and I just thought - 'cause I've tried
5 some of these, and I -

6 **MR. HENSHAW:** Yeah, it really bears -

7 **DR. ZIEMER:** - thought it would be helpful
8 to see how this plays out. And this, not only in
9 the dose numbers but also in the epidemiological
10 information, uncertainty in either one tends to
11 raise that number.

12 **MR. HENSHAW:** Yes, this does bear out the
13 point someone made earlier. Play around a little
14 bit more with the input data -

15 **DR. ANDERSON:** What about a cigarette
16 smoker?

17 **MR. ELLIOTT:** Leave the dose and GSD as is,
18 and change the smoking history.

19 **MR. HENSHAW:** Oh, okay. Should we go all
20 the way to the extreme?

21 **UNIDENTIFIED:** Go in the middle somewhere.

22 **DR. ANDERSON:** Just go to ten.

23 **MR. HENSHAW:** Ten to 19, or -

24 **DR. ANDERSON:** Yeah, that's good.

25 **MR. ELLIOTT:** Make it reasonable.

1 **MR. HENSHAW:** The original result, before we
2 changed the second parameter, was 43 percent.
3 And then went - go to 80-something, I believe,
4 wasn't it? Claimant still meets the compensation
5 guidelines. It's significantly lower, though.

6 **DR. DeHART:** Try the next higher smoking
7 group, because people will say they smoke a pack,
8 typically.

9 **MR. HENSHAW:** That sets it up so you have to
10 scroll down to see it, too. It builds up the
11 suspense. It didn't have any effect, I don't
12 think.

13 **DR. ZIEMER:** Russ, if you'd put the
14 uncertainty on dose back at the original two, how
15 would the smoking have affected - the smoking is
16 - obviously is having some reduction on the -

17 **MR. HENSHAW:** Let's find out.

18 **DR. SCHUBAUER-BERIGAN:** Russ, I would
19 suggest the importance analysis. You might want
20 to click on the importance analysis first before
21 you do a lot more scenarios, just to show how you
22 can look at that.

23 **MR. HENSHAW:** I'm sorry, Mary, I can't hear
24 you. Could you say that again?

25 **DR. ZIEMER:** Importance analysis.

1 **DR. SCHUBAUER-BERIGAN:** You might want to
2 click on the importance analysis button before
3 you do a lot more individual scenarios,
4 intermediate results.

5 And I'll just say a word or two about that
6 before it shows up. This actually was designed
7 to kind of show the impact of changing various
8 factors or factors that are - of uncertainty that
9 are incorporated into the software program.

10 And first you see the range of doses in the
11 first little table there. That says absorbed
12 dose in centigray. And since there was one
13 exposure, it gives you the percentiles of the
14 actual exposure distribution given that level of
15 uncertainty in the exposure.

16 Then there's a factor for the quality factor
17 or relative biological effectiveness factor,
18 which was used because this is a high-LET alpha
19 exposure. And so you can see the range of
20 uncertainty that's in that factor.

21 And then thirdly, there's the excess
22 relative risk, which is derived from the
23 epidemiologic models, and you see that there's
24 quite a bit of uncertainty associated with those
25 as well.

1 Then you can go to two different pie charts
2 which show the different components of the
3 probability of causation calculation and the
4 various contribution of different sources. So in
5 the first pie chart all the uncertainty comes
6 from the excess relative risk for sources other
7 than radon, since we only had a non-radon
8 exposure here. And then the second chart shows -
9 breaks down that particular excess relative risk
10 uncertainty into various factors.

11 One of them is the organ dose. And we've
12 seen, because the geometric standard deviation is
13 five, that that's the majority of the
14 uncertainty, is contributed from that organ dose.
15 There's a smaller amount of uncertainty
16 contributed by the uncertainty in RBE, and then a
17 fairly high amount is due to the risk
18 coefficients from the epidemiologic models.

19 And Russ, I think there's another one down
20 below that, isn't there? Or is that the last
21 one? Scroll down - yeah.

22 Then the last pie chart takes that adjusted
23 ERR per sievert, since that has many adjustments
24 in it. The original ERR per sievert is the
25 uncertainty derived from the risk coefficients in

1 the atomic bomb survivor analysis. The second
2 one is errors in dosimetry for that group, the A-
3 bomb survivors. Thirdly, there's uncertainty in
4 how those risks should be transferred to the U.S.
5 population, but again that's a pretty small
6 contribution. There's a fairly hefty chunk from
7 the DDREF, the dose and dose-rate effectiveness
8 factor; and then an adjustment for smoking.

9 So this kind of bears out the observation,
10 which was that adjustment for smoking had a
11 relatively smaller impact on the uncertainty than
12 the change in the dose value for this model.

13 **MR. HENSHAW:** Thanks, Mary.

14 Before we - oops.

15 **DR. ZIEMER:** I think we lost it.

16 **MR. HENSHAW:** I clicked on the wrong thing
17 there.

18 **DR. ZIEMER:** I think you lost it.

19 **MR. HENSHAW:** Can you get that back up,
20 Larry? Do we have time for that, or -

21 Well, as it turns out we do have time to
22 actually negotiate - navigate through the screen.
23 So we're on the OCAS home page. We click on
24 PROBABILITY OF CAUSATION, click on NIOSH-IREP,
25 and on the link to the software.

1 One thing I do want to do before we get out
2 of the lung cancer scenario, if we recall the
3 very first scenario we ran, we used an exposure
4 of 20 rems. I just want to show you what happens
5 when we change that to 30 rems. If you recall
6 the result in the first case was 43 percent.
7 Change that to 30 -

8 **DR. ZIEMER:** I think you need alpha there,
9 though. You had electrons for exposure. That's
10 going to make it -

11 **MR. HENSHAW:** Oh, thank you.

12 **UNIDENTIFIED:** Russ, exposure year, was that
13 1981?

14 **MR. HENSHAW:** '81, right. Thanks.

15 By upping the dose in rem from 20 to 30,
16 you'll see that we go from a probability of
17 causation of 43 percent to 53 percent. So that
18 upping the rem dose would make this claim
19 compensable.

20 How are we doing with time? Should I
21 continue with -

22 **DR. ANDERSON:** Can you do an age, an older
23 person? I mean, a 40-year-old non-smoking lung
24 cancer is pretty rare. Change the birth year to
25 1925.

1 **MR. HENSHAW:** Leave the other factors the
2 same?

3 **DR. ANDERSON:** Sure.

4 **MR. HENSHAW:** There's no change.

5 Any other scenarios anyone would like to
6 see, or should I -

7 **DR. ZIEMER:** Go ahead, Rich.

8 **MR. ESPINOSA:** On the other screen you've
9 got exposure information, and you've got the
10 factor of one in there. What is - is one a one-
11 time exposure? Is one lifelong history as a DOE
12 employee? What does that one stand for? Right
13 there on exposure information.

14 **UNIDENTIFIED:** The number of exposures.

15 **MR. HENSHAW:** Oh, right here?

16 **MR. ESPINOSA:** Yeah.

17 **MR. HENSHAW:** Okay. Yeah, we're using in
18 this case one exposure in the year 1981. If the
19 person, say, worked in a facility, had exposures
20 in a number of different years, there would be a
21 separate exposure for each year.

22 **DR. NETON:** Those are effectively exposure
23 years, your annual exposure for a particular
24 radiation type. So for instance, if you had an
25 exposure to alpha concomitant with exposure to

1 gamma, you would have two blocks for 1981, one
2 for the alpha component, that annual component,
3 and one for the gamma component.

4 **MS. NEWSOM:** What's your name, sir?

5 **DR. NETON:** Jim Neton.

6 **MS. NEWSOM:** Thank you.

7 **MR. HENSHAW:** Larry, we're kind of running
8 out of time for Mary's presentation. Should I --

9 **DR. ZIEMER:** Yeah, I think that's probably
10 enough examples. We need to move ahead.

11 Is that agreeable? Do we need to vote on
12 that?

13 [Laughter]

14 **MR. ELLIOTT:** We're all conflicted.

15 **DR. ZIEMER:** By consensus, we're going to
16 move ahead.

17 **DR. SCHUBAUER-BERIGAN:** Okay, in the
18 remaining ten minutes or so for the schedule, I
19 wanted to talk about some of the special issues
20 in running the IREP software for EEOICPA. And
21 some of these we've already talked to you about
22 earlier, but I wanted to just illustrate how this
23 would be done in practice.

24 One of the situations is claims for which
25 more than one IREP run must be conducted. Russ

1 has gone over the example of two or more primary
2 cancers and how that would be treated. I also
3 wanted to illustrate the effects of age at
4 exposure on leukemia and specific leukemia
5 subtype PC estimation, and then one final example
6 of a metastasized cancer with an unknown primary
7 site.

8 I wanted to briefly cover the issue of
9 specifying the exposure type, acute versus
10 chronic, when that's unknown, and how that's
11 handled. And also just briefly touch on the
12 issues of effects of gender, ethnicity and age at
13 exposure on the PC estimate.

14 This shows an example scenario, a male
15 exposed in one year to five rem who was diagnosed
16 with acute myeloid leukemia 17 years later. For
17 AML there is no adjustment for age at exposure.
18 However, for the general leukemia model within
19 IREP there is an adjustment for age at exposure.
20 Since there is uncertainty about which factor -
21 i.e., the leukemia subtype or age at exposure -
22 is more important to adjust for, we've taken one
23 of the steps that Ted referred to from a policy
24 standpoint, which is to give in the face of these
25 types of unknowns to give the benefit of the

1 doubt to the claimant.

2 In this particular example, the highest
3 probability of causation produced by each model
4 that's run would then be used by DOL to
5 adjudicate that claim. So for this example, for
6 someone exposed at age 23, the general leukemia
7 model produces a higher PC estimate. And for the
8 same person exposed at age 43, the type-specific
9 model produces the higher estimate. So in this
10 case both would be calculated, and the value
11 giving the highest PC estimate would be actually
12 used.

13 This is a similar type of pattern for
14 chronic myeloid leukemia, and again the same
15 process and the same outcome for this specific
16 example would be used.

17 Just to illustrate what would happen when
18 you have a secondary cancer with an unknown
19 primary site, the example claimant is a white
20 Hispanic man - and it's important to illustrate
21 that you've got to actually collect ethnicity and
22 smoking histories for secondary cancers with
23 unknown primary site, because frequently you'll
24 need to calculate the PC value for lung cancer
25 and skin cancer.

1 In this case we, as I said, developed lists
2 of likely primary sites based on NCHS data, and
3 these are tabulated in 42 CFR Part 81, Table 1.
4 For lung cancer in men, the list of likely
5 primary sites includes the ones that you see here
6 – colon cancer, lung cancer, malignant melanoma
7 of the skin, prostate, bladder and kidney cancer.
8 So because of this uncertainty, Department of
9 Labor would calculate the PC value for each of
10 these likely primary sites, and the site
11 producing the highest probability of causation
12 estimate would be used to adjudicate the claim,
13 in this case malignant melanoma.

14 For the same cancer, and mostly the same
15 conditions – this is woman this time – her
16 secondary lung cancer produces a different list
17 of likely primary sites. And of the four, the
18 lung cancer estimate produces the highest PC
19 value, and then would thus be used in
20 adjudicating the claim.

21 All right. This slide illustrates a couple
22 of different things that are, I think, of
23 interest. First, it shows how the probability of
24 causation estimates could – can differ by gender,
25 by exposure, and by cancer site. Under the same

1 exposure conditions, for many cancers the
2 probability of causation estimates tend to be
3 higher for females than for males. And in large
4 part this is due to the finding of increased risk
5 per unit dose among women.

6 Just as an example, the lung cancers are
7 shown in red on this slide, and the results for
8 females are shown in squares and the results for
9 males in triangles. So you can see that the
10 female lung, and then in blue the pancreas
11 cancer, probability of causation estimates are
12 higher for females. And here for males, the
13 dose producing a probability of causation of 50
14 percent at the upper 99th percentile estimate is
15 about ten rem, and for females it was lower than
16 that, at about six rem for lung cancer. And for
17 pancreatic cancer the same tendency is found, and
18 for males the dose is about 30 rem and for
19 females it's about ten rem. And this slide also
20 shows you that the risk values for each sex are
21 greater for lung cancer than they are for
22 pancreatic cancer, at least for a non-smoker, a
23 never-smoker.

24 Lastly, I wanted to say a few words about
25 acute versus chronic exposure, and I don't have a

1 slide for this, unfortunately. For most DOE
2 workers within a given badging period, it'll be
3 unknown to us whether the dose received in that
4 period was received as an acute or a chronic
5 dose. All we might have is their recollection of
6 what they were working at, what they were doing,
7 and what the badges say.

8 Because for most radiation types there's a
9 dose-rate reduction factor applied, assuming that
10 the dose was chronic tends to lead to a lower
11 estimate of probability of causation than by
12 assuming that the dose was received in an acute
13 basis. Since this cannot be known from the
14 available data, again, give the benefit of the
15 doubt to the claimants and use the assumption
16 producing the highest probability of causation
17 estimate.

18 I think that puts us at about a quarter
19 till, but I have time for a few questions, at
20 least.

21 **DR. ZIEMER:** I have a question on that, on
22 the last item. As I understand it, what's being
23 done on the acute versus chronic is to apply a
24 dose-rate factor to the Japanese data.

25 **DR. SCHUBAUER-BERIGAN:** Yes.

1 **DR. ZIEMER:** Now acute in terms of the
2 Japanese exposures is an exposure in, what,
3 microseconds or something like that.

4 **DR. SCHUBAUER-BERIGAN:** Uh-huh
5 (affirmative).

6 **DR. ZIEMER:** I think one would be hard-
7 pressed to find any occupational exposures where
8 the total doses were, outside of accident
9 situations, where you could really argue that we
10 come anywhere close to the acute dose rates in
11 Japan.

12 **DR. SCHUBAUER-BERIGAN:** Well -

13 **DR. ZIEMER:** So what is meant by acute here?
14 And I guess I'm raising the question as to
15 whether one really should apply such a factor for
16 those cases.

17 **DR. SCHUBAUER-BERIGAN:** The justification
18 for use of a dose-rate reduction factor, in my
19 opinion, doesn't stem really from the Japanese
20 atomic bomb survivor data.

21 **DR. ZIEMER:** Oh, it doesn't? I see.

22 **DR. SCHUBAUER-BERIGAN:** In fact, the most
23 recent analyses of that cohort show that the risk
24 per unit dose is about essentially the same,
25 regardless of the dose. There's no - for total

1 solid cancers there doesn't appear to be
2 attenuation of risk at these very low doses. But
3 there's a body of evidence from many other types
4 of studies that supports this. So in defining
5 what is an acute versus a chronic dose, I don't
6 necessarily think that you have to compare the
7 Japanese exposure scenario to a DOE worker.

8 This topic did come up in a NAS review panel
9 of the NCI model, and I believe that the
10 operating definition that was suggested was
11 something on the order of hours to be considered
12 an acute dose. Charles can correct me if that
13 recollection is incorrect.

14 **DR. ZIEMER:** Is this based on epi data or on
15 in vitro or cell data, or do we know? Anybody
16 know?

17 **DR. SCHUBAUER-BERIGAN:** It's, I would guess,
18 based on an amalgam of many different types of
19 studies, and there's been many committees
20 established to evaluate dose-rate effectiveness
21 factors. We're most concerned about the
22 operating definition that should be used in this
23 application. And if we're talking the order of
24 hours or days to define an acute dose, then I
25 think we have probably a greater need to allow

1 for -

2 **DR. ZIEMER:** Yeah, I was looking for
3 clarification. I think it's certainly
4 appropriate, if you have a - let's say a film
5 badge or a TLD badge where you have some reading
6 and you know the person's worn that badge for 30
7 days, it would be prudent to assume they got the
8 dose all on the first day or something. So it's
9 acute in the sense that it's within, say, eight
10 hours or some lesser number of hours, maybe one
11 hour, but - is that what we're talking about by
12 acute here in this case?

13 **DR. SCHUBAUER-BERIGAN:** Yes.

14 **DR. ZIEMER:** Okay.

15 **MR. ELLIOTT:** We know of criticality
16 incidents like 1958 at Y12 where several
17 individuals were exposed, and that would be one
18 we would count as an acute event. Am I correct?

19 **DR. SCHUBAUER-BERIGAN:** Yes. Yes, and
20 here's - there's also an example of -

21 **UNIDENTIFIED:** (Inaudible)

22 **DR. SCHUBAUER-BERIGAN:** Well, an opposite
23 type of example would be an alpha - a plutonium
24 exposure to bone, where it's well known that you
25 received that exposure, and then you get these

1 tissues irradiated over – on a chronic basis
2 throughout the life of the individual. So that
3 would be a clear example where we know it's a
4 chronic type of exposure, and then that would be
5 used.

6 **DR. ANDERSON:** That was my question in the
7 program there. When would chronic be chosen?

8 **DR. SCHUBAUER-BERIGAN:** Chronic would be –

9 **DR. ANDERSON:** Would it be related to
10 certain elements, what types of exposure, or –

11 **DR. SCHUBAUER-BERIGAN:** It would absolutely
12 be related to type of exposure. And in most
13 cases – and Jim and some of the other health
14 physicists can speak to this – but I think in
15 most cases an alpha exposure would be considered
16 a chronic exposure.

17 **DR. NETON:** There's really no plausible
18 alpha exposure that we could come up with that
19 would be considered an acute case with possible
20 exception of radon daughters, but that's handled
21 in a whole separate risk model. It's not covered
22 under this model.

23 **DR. SCHUBAUER-BERIGAN:** There's another
24 example of where we might call it a chronic dose,
25 and that is neutron exposure.

1 **DR. ANDERSON:** Right.

2 **DR. SCHUBAUER-BERIGAN:** There is the
3 incorporation of an inverse dose-rate
4 effectiveness factor for neutrons as a high-LET
5 emitter.

6 **DR. NETON:** This is something we're
7 wrestling with, because you could have the same
8 film badge, record the same exposure, and in one
9 case you'd be forced into calling neutrons
10 chronic and gamma acute. And so it's a policy
11 issue that we have to deal with.

12 **DR. SCHUBAUER-BERIGAN:** Right.

13 **DR. ANDERSON:** I was only asking as it
14 relates to an individual getting on your web page
15 and trying to do their own profile versus yours
16 that you would do for adjudicating a claim. You
17 know, they might get the wrong - if this allows
18 them to use acute when in fact it's chronic, you
19 may -

20 **DR. SCHUBAUER-BERIGAN:** Right. Well, that -

21 **DR. ANDERSON:** - want to program it such
22 that it doesn't allow you to do that if it's
23 almost always one or the other.

24 **DR. SCHUBAUER-BERIGAN:** Yeah, that's one of
25 the dangers of making the program publicly

1 available, is that there's - until the dose
2 reconstruction is complete and the rule is
3 finalized, there is no way for a claimant to
4 guarantee that when they do their own probability
5 of causation calculation that it would be the
6 same as the one that DOL will eventually compute
7 for them. And that's just one of the many
8 factors that weights, plays a part of that.

9 **DR. ZIEMER:** Are there any further questions
10 at this time?

11 [No responses]

12 **DR. ZIEMER:** If not, let's proceed then to
13 the next item, which is the dose reconstruction
14 rule, 42 CFR 82, and back to Ted Katz, I believe.
15 Ted.

16 **MR. KATZ:** Thank you, Mary.

17 Hello again. Okay, I'm going to do more or
18 less the same as what I did for or against Mary,
19 which is to start the ball rolling for Jim,
20 who'll give you more technical background. But
21 I'm going to give you background on it and a
22 general, very brief overview on the dose
23 reconstruction methods which, as we've talked
24 about, are already effective.

25 So here's my overview here. I'm going to

1 discuss what the purpose of these methods is, how
2 they'll be used, what Congress requires with
3 respect to these methods. I'm going to give you
4 some basics of dose reconstruction under the
5 interim rule. And then two issues, one a very
6 core issue, which I say here, how NIOSH will
7 balance efficiency and precision. And then a
8 sort of extreme case that we address in the rule
9 too, which is what happens when NIOSH cannot
10 complete a dose reconstruction.

11 So the purpose of the methods is to
12 establish how NIOSH will estimate radiation doses
13 incurred by employees. Each employee needs dose
14 estimates to be able to have a probability of
15 causation determined, and the dose estimates will
16 be used by DOL to determine that cause.

17 NIOSH, I make this point, will make - will
18 conduct dose reconstructions for cancer claimants
19 only. This is important. These dose
20 reconstructions are entirely designed for making
21 compensation decisions, and you wouldn't design
22 them the same way if you were doing research.
23 And it ends up being very important, but we don't
24 have, in the case of a claimant, years to decide
25 how much dose they were exposed, in effect.

1 What does Congress require here? First, it
2 requires that the methods must be applied for
3 employees, and it specifies not monitored,
4 monitored inadequately, and with incomplete
5 records.

6 Now in practical terms, it means the methods
7 will be applied for all claims, and let me
8 qualify that here. Someone has to determine
9 whether they were monitored adequately or not and
10 whether they had complete records and so on. So
11 these are going to have to come to NIOSH to have
12 a look, at the very least. And then the extent
13 to which a dose reconstruction is done is
14 determined on a case-by-case basis, depending on
15 what you have there. But we will have to handle
16 the cases for all the claims. And the Board has
17 a very important role which has been discussed,
18 which is to independently review the methods and
19 a sample of dose reconstructions.

20 What are the basics? We talk about this in
21 the rule. We rely on a hierarchy of data that
22 starts with personal monitoring data and extends
23 to monitoring process and source information.

24 The key issue, as I say here, is the
25 completeness and adequacy of the data. And what

1 this requires, then, is that we address all
2 sources of data. So the hierarchy, it's a little
3 bit misleading for some in reading this rule,
4 perhaps, thinking that we're just then using the
5 monitoring data if there's monitoring data there.
6 But no, in fact we're going to have to look at
7 these other sources of data to interpret that
8 monitoring data.

9 And a key element of this, as has been
10 discussed earlier, is we're going to be
11 interviewing the employees to identify and fill
12 data gaps and help interpret the data. The
13 employees can tell us about actual monitoring
14 practices, perhaps, versus official practices.
15 They can tell us about incidents that occurred
16 that may not show in their record, and so on.

17 And it's important to note here that we're
18 dealing with a lot of claims that are going to be
19 coming as well from survivors, and the survivors
20 typically know very little about what their
21 spouse did. And this is why in those cases we'll
22 be going to coworkers as a surrogate for the
23 deceased spouse.

24 To continue on here, Jim Neton's going to
25 really go into detail about this next point.

1 We're going to make the use of the best science,
2 ICRP models and a state-of-the-art internal
3 dosimetry program.

4 Very importantly, we're going to provide
5 full accounting to the claimant of the methods,
6 data, assumptions used. They will have, at the
7 end of the process, a report that accounts for
8 all the information they provided, for all the
9 information we obtained from DOE, and for all we
10 did with that information. So they will be fully
11 informed. They can take that information and not
12 have to flay us for more information to
13 understand what happened in the process.

14 And also importantly, the claimant's going
15 to be very involved with us in doing the dose
16 reconstruction. But at the end of it all, if
17 they are dissatisfied, if they have reason, they
18 have cause to think that we haven't applied our
19 methods appropriately, they can seek review
20 through DOL.

21 Now this is what I mentioned as a really
22 core issue, which is I think unique to our
23 program here, how NIOSH will balance precision
24 and efficiency. And you see this first bullet is
25 already outdated after a couple of weeks, because

1 I say 12,000 claims and they already have at DOL
2 15,000 claims that are coming our way -
3 incredible, unprecedented volume that we're
4 dealing with of dose reconstruction here. And it
5 doesn't allow us to do dose reconstructions, as
6 we've said, if we're going to provide timely
7 service the way we would for research. And
8 Congress emphasized the need for timeliness, and
9 it's obvious for the human need here. I'm going
10 to remind everyone we're doing dose
11 reconstruction to permit claim decisions, not
12 achieve precision here.

13 So the basic strategy here to get to that
14 point, to be able to do this while ensuring
15 fairness, is to shortcut the process, in effect,
16 for two groups.

17 For groups with very high doses what we're
18 going to do is curtail data collection and
19 analysis. There's no point delaying their
20 compensation for us to develop a more precise,
21 complete dose reconstruction record. So we're
22 going to move those claims as quickly as
23 possible, and they'll have their compensation
24 sooner.

25 And then the other extreme is employees with

1 very low doses. Once we've collected enough
2 information to know that, including speaking with
3 the claimant or coworker and so on, is to use
4 worst-case assumptions so that there's no doubt
5 for the claimant that their dose hasn't reached a
6 compensability level.

7 And then for all those claims that fall in
8 the gray area which aren't obviously extremely
9 high or extremely low, we will proceed with the
10 full process.

11 Last issue, what happens when NIOSH cannot
12 complete a dose reconstruction? Now we don't
13 have a good feel, I don't think, at this point
14 for how common this fix will be. But it's clear
15 to us that it's going to be relatively rare, I
16 think. And it's going to be situations where we
17 have very little information about source and
18 process.

19 Anyway, this situation has been anticipated
20 by EEOICPA, by Congress, which allows for SEC
21 petitions, petitions to be added to the Special
22 Exposure Cohort. And several people talked
23 earlier that HHS is responsible for these
24 procedures and these are in the works. And
25 you'll be hearing about these in future meetings.

1 And the last point I want to make here about
2 these is while this is a remedy for most, there
3 may be individuals who we can't do a dose
4 reconstruction for who have - don't have a cancer
5 on the specified cancer list. And in their
6 situation this isn't a remedy. This is not an
7 avenue for compensation.

8 Thank you. And would you like me to take
9 questions, or wait for Jim?

10 **DR. ZIEMER:** Well, let's see if there are
11 questions at this moment.

12 Yes, Dr. Roessler?

13 **DR. ROESSLER:** When you talk about the
14 shortcut process and the very low doses, what's
15 your definition of a very low dose? I mean, is
16 there a number that you use that puts them in
17 that -

18 **MR. KATZ:** There is - no, there isn't a
19 number, because low dose depends on what type of
20 cancer and a number of parameters. But given the
21 volume of experience that's going to be gained
22 very quickly here, we'll learn what it means in
23 different situations. And so there's no - we
24 couldn't say - we couldn't put out one number
25 that's going to work for all these cancers, for

1 all these exposure situations, and so on. But
2 it'll be cases where it's evident that the dose
3 is far too low to be compensable, again in the
4 judgment of the experts who are going to be
5 running all this work.

6 Any more questions?

7 [No responses]

8 **DR. ZIEMER:** Okay. We'll proceed, then,
9 with -

10 **MR. KATZ:** Thank you.

11 **DR. ZIEMER:** - Dr. Neton, who will give
12 additional information on dose reconstruction.

13 **DR. NETON:** Good afternoon. It's a pleasure
14 to be here and finally address the Board, after
15 it seems like an eternity of waiting for your
16 arrival. I appreciate your input on any of the
17 information that we're talking about today.

18 In particular I should point out that what
19 I'm going to discuss is draft. No final
20 decisions have been made by our office on these
21 technical issues. These are just some of the
22 ideas that we're sharing at this time.

23 I am Jim Neton, and I'm the Health Science
24 Administrator within the Office of Compensation
25 Analysis and Support. And I've got the

1 challenging effort of trying to process these
2 tens of thousands of claims with a staff of some
3 very qualified people – health physicists and
4 claims processors – to try to make some sense as
5 to how we're going to approach this and do this
6 in a timely manner to award claims, hopefully not
7 in glacial time but in – not in real time,
8 either, but to make it as efficient and fair a
9 process as possible.

10 Now the first thing I think it's important
11 to talk about is the difference between
12 compensation dose and regulatory dose. We've
13 hinted about this all afternoon in going through
14 the probability of causation estimates and such,
15 but there are a number of key differences between
16 what a compliance program in the field that the
17 DOE ran for years to try to ensure their workers
18 were adequately protected, versus what we need to
19 know to determine if the probability of
20 compensation is equal to or greater than 50
21 percent.

22 The first issue is the compensation dose
23 evaluation period is not limited, or is limited
24 only to covered employment. For example, we're
25 not interested in lifetime monitoring dose, which

1 many DOE sites have a fairly good handle on, but
2 that's not relevant. And in fact, we need to
3 know something more than that. We need to know
4 the person's dose from the date of first exposure
5 of covered employment to the date of the
6 diagnosis of cancer. That's the only period that
7 we're really concerned about that will be
8 actually input in the probability of causation
9 calculation. So in that respect we need to pull
10 a lot of monitoring records through, sift through
11 them, and pull out that unique time frame.

12 The other issue is that it includes
13 internal, external and some occupationally-
14 acquired medical sources of exposure. Those of
15 you who have done health physics work in the DOE
16 are aware that prior to the late eighties, like I
17 think 1/1/89 comes to mind, internal doses were
18 not really calculated at DOE facilities. They
19 were - workers were protected based on what they
20 called the maximum permissible body burden
21 concept, which was dosimetrically based, but does
22 not provide the type of information that we would
23 need for a compensation scheme.

24 In addition, this occupationally-acquired
25 medical sources of exposures is unique to our

1 process as well. And what we mean by that is
2 medical exposures that were incurred by a worker
3 as a condition of employment. For example, there
4 are some sites where to be, in the earlier days,
5 to be qualified as an asbestos worker, you were
6 required to undergo an annual chest X-ray. It
7 was required for you to do your job. In our
8 opinion, therefore, that is occupationally-
9 derived exposure that should be included in his
10 compensability examination. Routine physical
11 examinations, if they were voluntary, that sort
12 of thing, would not be included under this.

13 And it's probably pretty obvious after going
14 through the probability of causation examples
15 that Russ and Mary did that an annual dose is
16 required for a probability of causation estimate.
17 We cannot use the 50-year committed dose
18 equivalent or committed effective dose equivalent
19 that is currently applied to Department of Energy
20 workers.

21 And I know some sites have actually gone
22 back and done sort of pseudo dose reconstruction
23 efforts and calculated a worker's 50-year
24 committed dose from earlier years of employment.
25 That information would be useful for us, but not

1 necessarily in that form. We still are going to
2 have to pull out the annual dose, because as you
3 saw earlier, the probability of causation changes
4 depending upon the distribution, annual
5 distribution profile of that worker's exposure.

6 On a similar note, the committed effective
7 dose equivalent concept, as I mentioned, is not
8 applicable. The 50-year dose that's calculated
9 to a worker from an internal exposure is not
10 something useful for us, nor is the effective
11 component of that. The effective dose component
12 of that calculation is really a risk-based unit.
13 I mean, it's taking a radiation exposure and
14 trying to equate it to a risk to protect the
15 worker. We need to strip the effective component
16 out, and as you saw earlier, IREP actually does,
17 has the risk model built into it.

18 So in a sense, what we are ending up with
19 with our calculations is a dose equivalent, the
20 old Hp, H=DQN type thing, dose times a quality
21 factor times other modifying factors. And that
22 is in fact what we need to calculate.

23 Okay. Continuing on with some of the
24 differences, at least as I see them, for external
25 exposures the film badges and TLD badges have

1 been used historically since virtually the
2 inception of DOE operations. But what that does
3 is that measures the dose to the badge. In the
4 earlier years it measured the dose to the badge.
5 Under current regulatory framework, you actually
6 measure the dose – you try to estimate the dose
7 at one centimeter deep in the body, and we'll
8 call that deep dose.

9 Well, that may or may not be applicable to a
10 worker's compensation analysis. For example,
11 organs that are very deep in the body, such as,
12 you know, the liver or a lung, which is covered
13 by five centimeters of overlying chest tissue,
14 may be lower than the badge reading that the
15 worker received.

16 Now for most scenarios – and I'm going to
17 talk about this in some detail tomorrow – it's
18 pretty close for high energy photons. The
19 situation where you get into very low energy
20 exposures, such as from americium-241, 60-keV
21 gammas or plutonium X-rays, there can be massive
22 differences between the recorded badge dose and
23 the actual dose delivered to the organ. And we
24 need to take a look at that and bring some sanity
25 to that calculation.

1 A very important point is that undetected
2 dose, also known in the business as missed dose,
3 is an important factor. In a regulatory
4 framework one is interested, particularly in the
5 earlier years, of maintaining employees' exposure
6 below some regulatory limit, and the monitoring
7 programs could have a fair amount of dose that
8 was undetected and still be considered adequately
9 protective of the worker. We need to take that
10 into account when reconstructing the worker's
11 exposure.

12 I'm going to go over a couple of little
13 examples of that later on, but the classic
14 example is the film badge has a certain detection
15 limit. In the earlier years it could have been
16 as high as 30 millirem received on a weekly basis
17 by an employee. And if that badge was exchanged,
18 like I said, every week, then there's a potential
19 - I'm not saying it was received - but a
20 potential for the worker to receive upwards of
21 one and a half rem of exposure and had gone
22 undetected. So we are developing ways of dealing
23 with that in our guidelines.

24 Another factor is uncertainty distributions
25 are allowed. In the compliance-based world

1 they're point estimates. I've never seen any
2 errors associated, unless maybe some massive dose
3 reconstruction for some really big incident like
4 a criticality, errors are not typically assigned
5 because they're below the limit, and that's fine.
6 We have the opportunity here to characterize
7 these uncertainty distributions for each worker.

8 We've demonstrated earlier with IREP as to
9 what the change in the standard deviation of that
10 estimate can do to the probability of causation.
11 We're taking a long, hard look at how we actually
12 apply those, particularly in the area of internal
13 dose where geometric standard deviations – well,
14 if it's lognormal distributed, a gSD of two or
15 three is probably not unheard of.

16 And the other, one of the nice features that
17 we have available to us, is we're not constrained
18 by regulatory-required science. All the current
19 standards – the Department of Energy right now is
20 based on the old ICRP 30, 26 dose limitation
21 philosophy, which is fine. But there are more
22 current and appropriate models out there that we
23 feel are better science and do a better job at
24 estimating the actual dose to the organ. And
25 we'll talk a little bit about that.

1 Okay, a technical approach. The first thing
2 we need to do is to take a look at all doses of
3 record and evaluate them for data quality
4 shortcomings. We are not going to accept even
5 personnel monitoring data at face value and
6 assume that it's adequate. I mentioned in the
7 earlier days at some facilities there were
8 plutonium exposures that - it's well known that
9 the badge was not capable of detecting those low
10 energy X-rays, so those were unrecorded. We need
11 to make some adjustments to those data as we
12 develop our knowledge base of the technology at
13 the different sites.

14 As I talked about, we're going to assess the
15 capability of external programs over time, look
16 at the badges, their response to neutrons, gamma,
17 X, and in particular the radiochemical techniques
18 for bioassay sampling needs to be taken a look
19 at. In the early days some of the radiochemical
20 processes, although they were good, were -
21 tracers weren't necessarily used all the time, so
22 one does not really know about the chemical
23 recovery of the method that was used, different
24 issues like that; the efficiency of the alpha
25 proportional counters that were used. We're

1 going to take a look at all those types of
2 information.

3 I talked about earlier looking for the
4 potential for undetected dose. And for external
5 exposures we've concluded that we're going to use
6 - and I'll talk in much more detail tomorrow if
7 there's time - about what they call the limit of
8 detection divided by two. If a badge could read
9 30 millirem, there are a number of papers out
10 there - Hornung, et al. and others - have
11 suggested that the detection limit divided by two
12 is an appropriate metric to estimate the central
13 tendency estimate of that exposure for that
14 monitoring period. But it's a little more
15 complicated than that, whether it's a lognormal
16 or normal distribution. We can talk about that
17 tomorrow.

18 And a parallel note, the minimum detectible
19 internal dose is even more complicated because
20 bioassay monitoring programs have a certain
21 detection limit, but depending on how frequently
22 a sample is collected for a worker, the dose
23 could be - is quite - the undetected dose is
24 quite variable. It's sort of intuitive that if
25 one takes a sample on an annual basis, the worker

1 could have received a lot more dose and been
2 undetected than if a sample is taken on a weekly
3 basis or a daily basis. So we're taking a long
4 hard look at that as well.

5 I talked about using these ICRP - Internal
6 Commission on Radiological Protection - models.
7 In particular we are embracing the ICRP 66 lung
8 model for our dose calculation efforts. We have
9 a contractor, ACJ & Associates, has developed a
10 program for us. It's a beta version at this
11 point. It's called IMBA, Integrated Modules for
12 Bioassay Analysis, and that's what we're going to
13 be applying.

14 We also believe that some of the more recent
15 ICRP models take advantage of recycling of
16 material in the body. The old ICRP 30 models are
17 sort of what comes in one end goes out the other,
18 and it never mixes back in the blood pool, that
19 sort of thing. These new plutonium models allow
20 for that type of analyses. So we feel it's a
21 better representation of the biology.

22 In the external dosimetry evaluation the
23 ICRP 74 model, ICRP 74, we're going to use to do
24 those evaluations. And again I can talk in some
25 more detail about that, but it takes into account

1 effects of conversion of the badge dose to what
2 the organ actually received; also evaluation of
3 the effect of the geometry of exposure.

4 For instance, if a person wears a badge on
5 the front of their chest and is exposed in
6 isometric fashion, then the badge that's
7 calibrated from a beam impinging directly on the
8 body is not necessarily calibrated properly.
9 We're evaluating all those various factors and
10 trying to incorporate that uncertainty into the
11 overall analysis.

12 Ted touched on this earlier, but we do -
13 once we evaluate the quality of the data, we do
14 preferentially want - will use individual
15 monitoring data if it appears to be adequate.
16 And that makes sense. It was the actual - the
17 person's own monitoring information at that time
18 at that place, and that's where we intend to
19 start if it's available.

20 As that information becomes less and less
21 available, we'll have to back off and go to other
22 strategies, and that would - the hierarchy goes
23 area dosimeters, radiation surveys, air sampling,
24 those type of things, what I consider work place
25 monitoring data. And then as Ted alluded to, if

1 there's nothing out there, we can use a source
2 term to evaluate that information. And
3 surprisingly, source term information can do a -
4 go a long way towards bracketing a worker's
5 potential exposure.

6 I always use the example, you know, did a
7 worker - when you're interviewing a claimant, did
8 you work with grams, kilograms or tons of this
9 material, and was it in dispersable form or was
10 it contained in a rod. With those kind of
11 bracketing assumptions - I have an example
12 tomorrow - it's possible to put some - an
13 estimate of central tendency, and put some
14 confidence limits about that information.

15 These are just - this is sort of what I
16 consider to be the universe of information types.
17 This is in the rule, in 82. It's not all-
18 inclusive. Some folks have pointed out there's a
19 few items that probably could be included on
20 there. For instance, continuous air monitor data
21 is not in there. But I think it's a pretty good
22 list, and gives us an idea of what types of
23 information we would use.

24 Now I'm not suggesting that we're going to
25 use all of this information on every claim. That

1 seems to be a common misconception out there.
2 What it really says is, you know, if we can't -
3 if we can find some of this stuff, we'll use it.
4 And we need to get out there and verify, is some
5 of this information out there? And not only is
6 it there, but is it in usable form, readily
7 available for us to apply to a compensation
8 program in the near term?

9 It does us no good if there are air sampling
10 results distributed over 50 facilities, paper
11 copies in offices. It would take us three to
12 five years to data-capture and code. So we need
13 to go out there and do what I call a dosimetry
14 information resource evaluation to determine how
15 much we're going to use this information. I
16 think we owe it to the claimants, though, to at
17 least uncover all these stones and determine why
18 we did not use this - these types of information.

19 Okay. Talk about processing strategy. I'm
20 going to try to give you a little example of how
21 this might work. We're going to start
22 conservatively, using simple available monitoring
23 data. And for example, let's take the case where
24 have adequate either bioassay or TLD information,
25 and we determine it to be of adequate quality.

1 Perform an initial evaluation using extremely
2 worst-case assumptions in some cases, and if it
3 looks like the probability of causation's going
4 to be low, we're done.

5 Now the question was raised, well, what's
6 the number? We really have no number at this
7 point. We're in the process of constructing
8 tables that you can kind of run through. If you
9 can automate your IREP inputs, you can do
10 continuous runs of IREP and generate tables of
11 distributions of doses that can bracket certain
12 scenarios. You can take a cancer type and an
13 optimum, say, exposure scenario - optimum
14 exposure condition set for a cancer and try to
15 get an idea on this. But we're still working on
16 really what these cut points are going to be.

17 Here's a flow diagram. It looks somewhat
18 complicated, but it's really quite simple. Let's
19 just take through one example. For instance, the
20 top box, if you take the top box here, determine
21 the organ of interest and most probable mode of
22 exposure. What we're saying there is this is
23 where a health physicist has to apply some degree
24 of professional judgment.

25 If a person worked at a uranium facility, I

1 think it would be fairly well agreed upon that
2 uranium and internal exposure would be the most
3 likely high source of exposure. Uranium
4 facilities, at least not enriched ones, are
5 fairly low in the gamma component. If you took
6 the ratio of internal to external, internal would
7 always have a higher potential.

8 So if one went through and first picked and
9 said, okay, I'm going to go through and do an
10 internal dose calculation for this person using
11 worst-case assumptions, and I go through and it's
12 a low probability - and by worst case, I mean
13 very insoluble material, worst-case missed dose,
14 minimum detectible dose - if it's a low
15 probability, we still need to consider what his
16 external exposure was. So we would go through
17 and use worst-case assumptions for his external
18 exposure, accounting for all that missed dose
19 based on badge exchanges, et cetera. If it's
20 still a low probability, then there's no way that
21 this number would likely be compensable, so the
22 dose reconstruction is done. We bypassed a fair
23 amount of work.

24 I have a couple of short examples I can show
25 on this. Likewise, if it was not a low

1 probability, say it came out very high for the
2 internal exposure based on these insoluble
3 materials, and then we went and said, okay, let's
4 do a conservatively low estimate for that
5 internal exposure as well. So we've gone high.
6 It looks like it's high. Let's figure out what
7 the lowest plausible exposure was, and if it's a
8 high probability - if it's still a high
9 probability after you've taken your least - most
10 conservative assumption, then you're done.

11 So this is a process that we've outlined,
12 and we've gone through several scenarios. And it
13 appears like it will allow us to gain a great
14 amount of efficiency in this process, where we're
15 not going to have to go through a very detailed
16 analysis for every case.

17 Here's an example - and these are some
18 fairly real-world type examples of an exposure at
19 - I believe this was Hanford. The person was
20 exposed from 1954 to 1961, had fairly low annual
21 doses for X-ray and gamma exposures. And so we
22 would go in and account for this missed dose, the
23 undetected dose, add it back in and input - not
24 input this into IREP, but use our experience base
25 from IREP and realize that this case is going to

1 be - has a very low probability for compensation,
2 especially if there was no external component
3 available. I think when you saw - for solid
4 tumor particularly, you saw the runs that were
5 done earlier. Solid tumors with under a rem of
6 exposure, whatever that amounts to, are very,
7 very low probability of compensation.

8 On the other hand, we would take something
9 like this plutonium bioassay data, and this is
10 urine concentration of plutonium at picocuries
11 per liter. The dates aren't really relevant, but
12 say that this was over a several-year time span.
13 The detection limit for this fellow was .05
14 picocuries per liter, so that's right around in
15 here. And you can see that he's had a series of
16 acute intermittent exposures, which I suppose
17 could be modeled as chronic exposure.

18 But in our first worst-case assumption we're
19 going to ignore it, and we're going to say, let's
20 just look at this thing. This is a fairly large
21 exposure. Let's take these points and assume
22 that the exposure for these points occurred way
23 back here at the date of first employment.

24 So what you end up is wildly over-predicting
25 this intake, ignoring all this low stuff. And if

1 that calculation still came out very low, then
2 you're done. You'll never have to even mess
3 around with these other 20 or 30 data points
4 because you've demonstrated that. This may be
5 the case for some very soluble material like UF4
6 that leaves a lung very quickly as opposed to
7 insoluble.

8 Conversely, say if this exposure came out
9 very high based on this, which you would expect
10 if it was insoluble, then we could go over here
11 and say, well, let's just look at this intake by
12 itself. Let's see if this intake alone is high
13 enough for the person to be compensated. We
14 still haven't had to calculate any of these data
15 points. And if we model this intake - just these
16 points right here - and the probability of
17 causation was very high, we're also done. So it
18 does a lot for us.

19 Now one thing that's not obvious until you
20 start looking at it is it really has a lot to do
21 with the organ that you're calculating the dose
22 to. For internal exposures it's somewhat self-
23 limiting in the fact that the only organs that
24 really get a fairly large exposure are the organs
25 that tend to concentrate the material. For

1 plutonium that would be something like the lung,
2 the liver and the skeleton. If you have a cancer
3 for any other organ and I wildly over-estimate
4 this dose, I can pretty much bet that the dose to
5 those non - what I call source organs, is also
6 going to be low because plutonium does not
7 concentrate in the prostate or the gallbladder or
8 other organs like that. And in fact, if you run
9 through the models, it is very low.

10 We've actually had our IREP or IMBA program,
11 Integrated Modules for Bioassay internal dose
12 program, we've had them go through, and we
13 calculate a dose to each of the 36 ICRP 60 type
14 organs that are out there now, and we can see
15 these large differences. Virtually the only dose
16 you get to a non-source organ is the crossfire
17 from the organ - one organ to another. And there
18 may be some ways of looking at the transfer
19 compartment and adding a little dose back, but I
20 still suspect it's going to be low.

21 Okay. This slide is woefully out of date
22 and probably needs updating. I apologize, but I
23 guess I got lazy at the last minute. This is
24 essentially our attempt to demonstrate what an
25 input to IMBA would look like - IREP would look

1 like when we provided it to the Department of
2 Labor. And you've seen the demonstration where
3 we have to determine what the type of
4 distribution we expect the exposure to be, and we
5 put in our best estimate of central tendency, and
6 we also insert our geometric standard deviation
7 if it's lognormal. If it was normal, of course
8 that would just be the regular standard
9 deviation.

10 So we do this for these - you know, in this
11 case, 1951 through '58 - from both an internal
12 and an external perspective, and identifying
13 whether it's an acute or a chronic exposure. We
14 just had that conversation that we are going to
15 default, unless known otherwise, an external
16 exposure will be classified as an acute exposure,
17 because we cannot tell from badge monitoring data
18 what the exposure scenario was unless there was
19 something in the person's file that was involved
20 in an incident, a criticality or something like
21 that. For neutrons, however, we're in the
22 position to be claimant-friendly of calling
23 neutron exposures chronic exposures, and all
24 alpha exposures from internal are going to be
25 chronic. So we defined those parameters.

1 One thing that's not shown on here, though,
2 is the IREP allows for 11 different types of
3 radiation exposures. There are five neutron
4 energy intervals. There are three gamma energy
5 intervals, and then also there's electron
6 exposure, beta exposure, as well as a tritium
7 exposure - it has a slightly different radiation
8 weighting factor - as well as the alpha factor.
9 So we can select - I'm not suggesting that we're
10 going to know every claimant's exposure scenario
11 down to that level of detail, but it is there if
12 it's known.

13 Okay. How long are we going to expect these
14 dose reconstructions to take? It's going to vary
15 all over the board. My guess - and I said
16 complex - you know, it may vary depending on
17 level of complexity. I said days to months.

18 I've seen, in looking through some of these
19 cases, that there's some that can probably be
20 done in a day or so, depending on - some of these
21 low dose ones where a person after interview
22 realizes that's their entire history, where it's
23 a fairly low potential external exposure
24 environment and the missed dose is fairly low.

25 The internal exposures, if we do our

1 bracketing worst-case assumption and then go to
2 our conservative assumption and they still come
3 out kind of on the bubble, that's where we're
4 going to have to take and do a whole full-blown
5 dose reconstruction and account for every data
6 point and model the exposure, and that could take
7 months, particularly if we really don't know the
8 exposure very well, the exposure conditions of
9 the claimant.

10 I also say cases with extensive internal
11 exposure I expect to be the most complex. I
12 guess I just talked about that.

13 And additional time required for previously
14 unexamined locations and processes, we have these
15 atomic weapons employers. There's almost 300 of
16 them out there where we have almost no monitoring
17 data, and we know very little about the process.
18 That's going to take some time. I mean, it's not
19 intuitive, we're going to go in there and be done
20 in a day or two. That's going to take some
21 research and investigation to accomplish those
22 cases.

23 Okay. Where are we so far? I think it was
24 mentioned there's about 13- or 15,000 claims
25 hanging out in the system somewhere. We have in-

1 house within NIOSH - I think last guess was about
2 1,500, is that close? - so we have about 1,500
3 claims in-house. So we're frantically working to
4 try to get this process in place.

5 It was never envisioned, though, that the
6 NIOSH staff itself would actually do all the dose
7 reconstructions. We have fairly limited
8 resources. We, in addition to myself, we have a
9 staff of three health physicists who are right
10 now working on getting the program in place.
11 We've - just a week or so ago the first draft of
12 the implementation guides themselves for external
13 dosimetry and internal dosimetry were completed,
14 and that's moving along.

15 We're working toward a Memorandum of
16 Understanding with the Department of Energy in
17 sharing their information. That right now is
18 undergoing internal review. The DOE is expecting
19 us to provide them a straw man version of that
20 Memorandum of Understanding, and hopefully that
21 will be issued sooner than later.

22 We are going through the process right now
23 of requesting DOE personnel monitoring
24 information. We're not right now going after any
25 of the work place information. We feel it's most

1 appropriate right now to go for the personnel
2 monitoring information, to look at it, to
3 evaluate it to see how it can be used, and that's
4 going to be our starting point. In cases where
5 there is no monitoring information - for
6 instance, many construction workers were never
7 monitored - we need to then go out and start
8 looking at the on-site work place monitoring
9 data.

10 I think we've issued somewhere around 700
11 DOE requests for information so far, so we're
12 working to close that gap. Hopefully shortly
13 there'll be sort of a one-to-one correspondence
14 when the claimant's notified, that then we
15 receive their claim, that the DOE request for
16 information goes out.

17 We are looking at the records availability
18 at certain facilities. We have a pilot study -
19 two pilot studies that we've started, Oak Ridge
20 and Hanford. Those are moving slower than we'd
21 like. The Memorandum of Understanding will go a
22 long way towards, I think, helping define the
23 roles and responsibilities of the players
24 involved in doing these records searches.

25 We are developing a computer database. It's

1 been talked about earlier that the Health-Related
2 Energy Research Branch within NIOSH has been
3 doing DOE workers studies for nine or ten years
4 now. They've developed a considerable database
5 of occupational monitoring records, mostly
6 oriented towards doing epidemiologic studies. We
7 are working in cooperation with HERB to collect
8 that information and assemble it in a form and
9 format that's useful for doing dose
10 reconstructions. And we hope to grow that
11 database and go and get more DOE information,
12 essentially have a very large internal database
13 that will allow us, as time goes by, to be less
14 and less dependent upon Department of Energy as a
15 resource for much information.

16 And most importantly to me at this point, we
17 have a request for contracts for dose
18 reconstruction assistance. It was in
19 procurement, but as of last week it is available.
20 We're expecting proposals due from the
21 contractor, I believe, February 19th, fairly
22 short turnaround time. We are working as fast as
23 we can to get a contractor on board who will do
24 the bulk of the dose reconstruction effort under
25 our guidance and quality control and oversight.

1 Okay. I've come to the end of my formal
2 comments, be happy to answer any questions if
3 anyone has any.

4 **DR. ZIEMER:** Thank you, Jim.

5 Who has a question? Maybe I'll start it
6 out.

7 It seems to me there's a possibility that,
8 as you use newer models and do depth-dose
9 calculations for external, that your numbers
10 could come out quite different from what some
11 would call the dose of record in the agency.
12 That would seem to cause some problems with
13 potential claimants who would look at that and
14 say, well, there's my dose record. They tell me
15 that's my dose, and you guys are saying it's much
16 less than that.

17 **DR. NETON:** That issue -

18 **DR. ZIEMER:** I'm not asking you to answer
19 that, but it seems to me that's a problem that
20 the agency's going to have to deal with in terms
21 of talking to claimants. I'm pretty sure some of
22 the new ICRP 60 will give lower internal doses on
23 some of those organ doses than the older models
24 do.

25 **DR. NETON:** Not across the board.

1 **DR. ZIEMER:** No, not across the board, so it
2 depends on what it is.

3 **DR. NETON:** Right.

4 **DR. ZIEMER:** I'm just saying it seems to me
5 there is that possibility.

6 **DR. NETON:** I agree, I think there's a -

7 **DR. ZIEMER:** The film badge dose, which is -
8 you know, the depth dose is one centimeter and
9 you're going deep, it's going to be a different
10 number.

11 **DR. NETON:** It's going to be - have to be a
12 very intensive communication campaign to educate
13 the claimants as to what we've really done. We
14 intend to do our best to get that out there in a
15 fairly comprehensible or comprehensible fashion
16 to the claimant.

17 I think in many cases this difference will
18 not be obvious, because most DOE programs don't
19 calculate a dose over the time period we're
20 looking at. I mean, we're going to look at the
21 time of first employment to date of diagnosis on
22 an annual basis, so internal exposures won't -
23 there will be no one-to-one correspondence with
24 those. External exposures, yeah, I think so.
25 But I think those are going to be closer. We're

1 not doing anything fancy there, other than
2 accounting for some of the obvious geometrical
3 differences, which I think can be explained.

4 Another factor is that when you run IREP, if
5 you notice, what happens is we use the ICRP 60
6 weighting factors, radiation weighting factors,
7 to come out with an equivalent dose so that we
8 can report to the claimant something that makes
9 sense to them based on their past experience. I
10 mean, they're used to seeing like an equivalent
11 dose type number. But when IREP is run, it uses
12 the distribution for that radiation weighting
13 factor and applies it, so in a sense it's going
14 to be inflated – not inflated; it will be sampled
15 over its total distribution, so there is no point
16 estimate for the radiation weighting factor.

17 So there's a lot of these things that are
18 different that need to be explained to workers as
19 to why they are different, and why we did what we
20 did.

21 **DR. ZIEMER:** Other questions?

22 [No responses]

23 **DR. ZIEMER:** Okay, thank you very much.

24 We now come to the part of our agenda which
25 is the public comment period. We have requests

1 from three individuals to speak.

2 Richard Miller requests to speak at 4:00.
3 Does that mean Rich is not here right now? You
4 are here, okay.

5 And David Richardson - David, how much time
6 do you anticipate you would need?

7 **MR. RICHARDSON:** Five minutes, maybe.

8 **DR. ZIEMER:** Oh, okay. I was just trying to
9 get a feel for this.

10 And Richard, about how much time do you
11 need? How much time do you need?

12 **MR. MILLER:** Five minutes.

13 **DR. ZIEMER:** Five minutes, okay. Then none
14 of these are extensive. I wasn't trying to force
15 anybody to use up the hour. So Richard, if you
16 would approach the mike, and you can use either
17 the mike here or maybe preferably go to the very
18 front so we can see you easily.

19 Richard is with the Government
20 Accountability Project. Richard Miller.

21 **MR. MILLER:** Greetings. I - the Government
22 Accountability Project, just to explain what it
23 is and why I'm here today, has been tracking the
24 implementation of this legislation, I guess
25 largely because I moved over there. I had

1 previously worked for the Oil, Chemical and
2 Atomic Workers Union and then PACE, which had
3 spent a significant amount of effort trying to
4 pass this legislation. So it's quite interesting
5 for some of us who were involved in the
6 negotiations over the bill and the drafting of
7 the language and the lobbying that followed it to
8 now watch it play out before your eyes.

9 Needless to say, the law of unintended
10 consequences prevails, despite what we thought
11 were our best insights and what was politically
12 achievable. And I want to just focus on two
13 areas today.

14 The first is the composition of the Board,
15 over which you really have no control. But I -
16 just for what it's worth, and it is frankly
17 beyond the control of NIOSH or CDC by statute, as
18 the President, of course, appoints you all to
19 this Advisory Board, and the statute's very clear
20 on what the appointment process is supposed to
21 consist of. And I'm just going to read from the
22 statute one paragraph, if you can indulge me,
23 which is Section 3624 on the Advisory Board.

24 It says, (Reading): The President shall
25 make appointments to the Board in consultation

1 with organizations with expertise on worker
2 health issues in order to assure that the
3 membership of the Board reflects a balance – key
4 word – of scientific, medical and worker
5 perspectives, and the President shall designate a
6 Chair, which he has done.

7 The question is whether the Board in fact is
8 constructed with a balance, as was intended by
9 Congress. Now balance can mean a number of
10 different things to different people. But if I
11 see three criteria and there's roughly ten people
12 on the Board so far, a third should fall into
13 each of those categories, give or take. You've
14 got a little bit of wiggle room there; you can
15 have four in one category and three in others.
16 And likewise, if the Board were increased in
17 size, you would still expect some kind of
18 proportional allocation.

19 Now it doesn't specifically say what the
20 areas of science are or are not, but from the
21 outside at least – and again, it is not a
22 criticism of any individual here on the Board or
23 whether they should or should not have been
24 appointed – but it is an observation for those of
25 us who are watching you deliberate on providing

1 advice that the constitution of this Board
2 woefully underweights worker representation. And
3 it is indisputable, at least from my perspective,
4 that the only worker here is Richard Espinosa on
5 the committee, as I think Congress had intended,
6 what they meant by worker perspectives. And -
7 well, each person's entitled to their views, and
8 I will offer mine.

9 If - with that in mind, the question becomes
10 - everybody, by the way, is a worker, because if
11 everybody's collecting a paycheck you're
12 effectively a worker. The question is whether
13 you are or were in a position to be in management
14 control or not. And this was a law which was
15 intended to benefit, in effect, those who had the
16 least power in a process that was largely
17 conducted in a self-regulated and generally under
18 significant secrecy.

19 So today, when you look at this body
20 deliberating within this framework on this
21 matter, from those of us from the outside at
22 least, some of us believe that the Board is not
23 adequately constituted. Will this affect the
24 outcome of the deliberations? You know, it's a
25 social science experiment.

1 Nevertheless, I just thought I would put
2 that on the table because it is something that we
3 very much would like to see done, and I want it
4 on the record that this body, at least as
5 constituted from our perception, does not meet
6 those criteria. And we've communicated those
7 views to the President.

8 The second issue which I wanted to address
9 has to do with the - what Jim Neton was talking
10 about, which was the forthcoming contract. And
11 I've brought a letter which I sent to NIOSH - and
12 I apologize, I only brought nine copies, so we'll
13 have to get an extra one - but I brought some
14 along, and I apologize for being one short. I
15 think somebody borrowed one of my ten copies.

16 And what this gets to is the fact that as
17 NIOSH moves forward with its dose reconstruction
18 contracting process and the RFP's on the street,
19 NIOSH has been, I think, sensitive to, at a staff
20 level, concerns about conflict of interest. And
21 the concerns around conflict of interest largely
22 rest, at least from my perception, that there are
23 likely to be perhaps only two bidders for this
24 dose reconstruction contract.

25 I don't know that there will only be two,

1 but I have every reason to believe there will
2 only be two based on conversations with the -
3 sort of the contractors who showed up at the
4 bidder's conference that was held in Cincinnati.
5 And those two contractors, so that there's no
6 mistake and no secrets about it, are going to be
7 one team headed by SAIC and likely include
8 Battelle, and a second one which is going to be
9 headed up by Oak Ridge Associated Universities
10 and may include MJW or someone else. But they're
11 going to be the - those are going to be the two
12 folks.

13 Now the statute, specifically the energy
14 employees statute, when it spoke to the question
15 of performing dose reconstruction work, was very
16 specific in precluding either the Secretary of
17 Energy or his or her designees or subordinate
18 officers from performing the dose reconstruction
19 work. It didn't say DOE contractors couldn't
20 perform it, but it sought by assigning out this
21 work for dose reconstruction away from what's
22 perceived to be the agency, which could in some
23 respects be considered culpable if there's harm
24 involved.

25 And so what do we do? What do you do if the

1 folks who were involved in doing the work are
2 involved in doing the dose – who are doing the
3 dose reconstruction contract have relationships
4 within the Energy Department?

5 Now NIOSH has done an excellent job of
6 putting a crisp paragraph in its contract RFP
7 that is on the web now which says, you know, if
8 you're performing work at a given site you can't
9 be involved in doing the dose reconstruction work
10 at that site. Does that go far enough? I think
11 it's an important first step.

12 Our concern and perception, as our letter
13 lays out, is that there needs to be transparency,
14 that the individuals that are hired by the teams
15 need to be disclosed. What is their work
16 history? Where did you work, who did you work
17 for, both at an organizational as well as an
18 individual level? And it needs to be transparent
19 to the claimant. It probably needs to be
20 transparent to you, as you provide quality
21 assurance over this process as well.

22 We don't know if there's a way out of this
23 conflict of interest problem because it's a small
24 pool of highly-qualified individuals with a great
25 deal of expertise. And in fact, in some

1 respects, the RFP almost constrains you to using
2 DOE contractors for the very work. You have this
3 - it's the classic conundrum, right? How do you
4 get independence at the same time you have
5 concentrated expertise?

6 Well, our sense is that there needs to be a
7 high degree of transparency, a clear-cut list of
8 do-nots, which include such things as acting as
9 an expert witness or supporting litigation in
10 defense of claims involved in - where there's an
11 allegation of radiation causing occupational
12 illness at a particular site. We've got to have
13 a clear-cut set of do-nots and a clear set of
14 transparencies that go back and forth between the
15 claimant and NIOSH, so that you don't get down
16 the road into the dose reconstruction and people
17 stick up their hand when the case becomes
18 appealed and say conflict.

19 So we would just like to suggest - although
20 it's not on your agenda for today, it did get
21 raised by Mr. Neton - and I just thought I'd
22 segue off your presentation and encourage you to
23 think about what can be done to raise the level
24 of confidence that the claimants will have in a
25 system where, as the Congressional record and the

1 hearing record - I happened to testify in this
2 legislation several times and worked with many
3 workers who did testify, and went to many of the
4 field hearings that Dr. Michaels, who I guess is
5 here in the back of the room, held when he was
6 the Assistant Secretary at the Energy Department,
7 and those hearings revealed a high degree of
8 irregularity in the dose estimation and dose
9 collection processes.

10 And if there's a concern about a high degree
11 of irregularity, coverup - we had documents where
12 major DOE contractors like Lockheed-Martin were
13 actually doctoring the data in order to avoid
14 culpability in worker compensation claims, and
15 these documents are out there in the public
16 record. You know, the names may be redacted, but
17 the facts are all there.

18 And so I think it's important for you all to
19 think about how to build credibility into the
20 contracting process, because the best procedures
21 in the world won't overcome that skepticism. So
22 that's all I had to add.

23 Thank you.

24 **DR. ZIEMER:** Thank you, Richard, and your
25 comments will indeed be in the public record.

1 I might ask if any of the committee members
2 have questions of Richard that you'd like any
3 points clarified?

4 [No responses]

5 **DR. ZIEMER:** Thank you.

6 Next, David Richardson from Department of
7 Epidemiology, University of North Carolina at
8 Chapel Hill.

9 **MR. RICHARDSON:** Hi.

10 I want to, I guess, talk to you a little bit
11 first about my background. I've worked in
12 epidemiology on studies of U.S. DOE workers at
13 Oak Ridge and Hanford, and participated in the
14 case-control study that took place at multiple
15 DOE facilities.

16 And so I want to make a couple of points
17 just in response to the discussion that I heard
18 today from the perspective of an epidemiologist,
19 and maybe also just to start out by saying I
20 think NIOSH has done an impressive job so far. I
21 mean, I think the approach that you're using is
22 certainly cutting edge, and you've done a lot of
23 hard work in trying to think about both issues of
24 bias and uncertainty.

25 And those are certainly two key points, and

1 I - so as my first point as - raising is to move
2 beyond talking about bias and uncertainty to
3 talking about effect modification. And it's
4 something that a few people have raised already
5 on the edges, so it's something to think about.

6 From studies of U.S. DOE workers that I've
7 been involved with and that other people before
8 me have been involved with, and after the work
9 that I've done I've been involved with, I think
10 one interesting example of effect modification
11 comes with the issue of age at exposure. So
12 under the current probability of causation tables
13 for a given dose history, for a worker's dose
14 history, the excess relative risk or the - and
15 therefore the probability of causation for that
16 worker tends to decline with older ages at
17 exposure. That is - I'll maybe modify that and
18 say it's either constant or it's declining, and
19 there's a tendency for the solid cancers for it
20 to decline.

21 In contrast, in a number of studies of U.S.
22 nuclear workers you see the opposite pattern.
23 And that's to say people who accrue radiation
24 exposures at older ages appear to have larger
25 excess relative risks. There's a larger increase

1 in cancer.

2 Now I'll stress here that this is not - I'm
3 not talking about the difference between infants
4 or children and adults. I think that's - I think
5 it's clearly established in the literature that
6 the developing fetus, the growing child is
7 extremely sensitive to the effects of radiation.
8 I'm talking here about a range of age that's
9 going to be something like 18 to 20 years when
10 you start work, to 65 or 70 years of age when you
11 stop work.

12 And the evidence from a series of U.S. DOE
13 nuclear worker studies is that - kind of similar
14 to what you see for lots of other occupational
15 hazards. As people get older they become
16 increasingly vulnerable to injury on the job -
17 here, radiation-induced injury - and the
18 biological plausibility would be related to
19 either declining ability of the body to
20 accurately repair damage to genes and/or
21 declining ability of the immune system to
22 scavenge up damaged cells.

23 So to take some examples, the early - I
24 think the early evidence of this came in early
25 reports of the Hanford cohort, which was one of

1 the first studies. That was when you began
2 compiling nuclear worker records in the atomic
3 weapons complex. Subsequent to that there was
4 the evidence of increased radiation effects at
5 older ages of exposure in the Oak Ridge workers
6 cohort, then in a multi-facility study across the
7 DOE complex of multiple myeloma where older ages
8 at exposure were associated with larger increases
9 in cancer risk, and then in the Rocketdyne study
10 that was done out by the University of California
11 group.

12 So there's different ways of thinking about
13 this. One is that there's a conflict of evidence
14 between the life span study of atomic bomb
15 survivors, which I think it's important to stress
16 is really the numerical quantitative foundation
17 of the tabulations that you're seeing that are
18 spinning out of almost a black box computer; that
19 there's a study there of people who were wartime
20 survivors of an atomic attack, and the exposure
21 conditions are different than the DOE workers.

22 Another at least issue to raise with that
23 would be effect modification coming from - I
24 think an interesting point that a lot of people
25 have already raised, yes, you've looked at

1 smoking as an effect modifier, but workers are
2 getting exposed to chemicals, and they're
3 accruing other exposures on the job. There's a
4 possibility that it's not a simple either
5 additive or multiplicative translation of the
6 life span study to the DOE complex; that workers
7 have a different set of initiating and promoting
8 carcinogenic exposures on the job, and that the
9 age at exposure pattern is different.

10 And what I would propose is that at minimum
11 that inconsistency in the literature is
12 recognized and in some way accounted for. And
13 one way that I would propose that is there is a
14 series of factors now going on that reflect
15 uncertainties. There's uncertainties in
16 translation of additive or multiplicative
17 effects. There's uncertainties in dose
18 measurements, both in the DOE complex and dose
19 measurement in the A-bomb studies, that you begin
20 to have also reflecting an uncertainty in the
21 effect of radiation at older ages of exposure.

22 You don't have to incorporate any bias or
23 anything, but you say there's - the literature is
24 not consistent in the range of exposures. So
25 when you begin to look at effects of exposures

1 that are received at the older span of a worker's
2 life, you say the effect is more uncertain than
3 the simple point estimate coming from the life
4 span study.

5 So that would be my - that would be the
6 first point that I'd like to raise.

7 Kind of following from that, I'd like to
8 also just briefly talk about an issue that maybe
9 at minimum needs a point of clarification and
10 maybe some more exploration, which relates to the
11 discussion that by default external radiation
12 exposures are treated as acute. And the
13 implication here is that the DDREF, the dose and
14 dose-rate effectiveness factor, therefore
15 undergoes a shift.

16 It goes from treating it as an exposure that
17 was accrued slowly over time to one that's
18 accrued in a point blast, and therefore that the
19 DDREF is one, or that there's - let me take a
20 step back and say that external doses are going
21 to be treated as acute, and therefore this issue
22 of is the effect attenuated because it was a
23 chronic exposure, is that set aside.

24 And in fact, as I understand the current way
25 the program is running, it's proposed that any

1 external dose that's less than 20 or 30 rem,
2 which from my familiarity with the Hanford/Oak
3 Ridge/Los Alamos data this is going to
4 incorporate 99.9 percent - I'm making up a
5 percentage - but it's going to be the vast, vast
6 majority of the dose is substantially - any
7 annual dose record is substantially below 20 or
8 30 rem for a worker. I mean, workers did accrue
9 doses in the DOE complex, but it was over decades
10 of employment.

11 So here the DDREF factor, you begin to say
12 the effect of a worker's dose is going to be
13 divided by a factor of two, three, four or five -
14 the effectiveness of that dose - because it was a
15 low dose. That is not - it's not because it was
16 a chronic versus acute, it's because it's in the
17 low - the spectrum of the lower end of the dose
18 distribution.

19 And as Mary Schubauer-Berigan brought up, in
20 fact, the evidence now, if you're going to take
21 the recent RERF reports from the life span study,
22 they're not supporting a departure from
23 linearity. I would argue that, from the
24 perspective of an epidemiologist, a DDREF factor
25 of multiples of two, three, four or five for

1 these low - these doses, which is almost all the
2 doses that you're talking about in this program,
3 is - I'm not sure it's supported by the
4 epidemiologic evidence.

5 And so you have to then turn to evidence
6 that's accrued from studies of animals' exposures
7 or cellular responses. I think the literature -
8 studies of the effects of low-level exposures to
9 animals, it does get iffy. Most of the
10 literature is higher dose exposures to animals.
11 When you're looking at low-level exposures, the
12 end point is not going to be cancer incidents, or
13 very rarely.

14 Anyway, so I think that's another issue that
15 I would open, and I think particularly if you're
16 talking about issues of benefit to the doubt for
17 the worker from the perspective of epidemiology,
18 I think that's a really important point to
19 consider and debate further.

20 **DR. ZIEMER:** Thank you. David, I'd like to
21 ask you to clarify one thing. Are you arguing
22 that the dose-rate effectiveness factor should be
23 one, and not two or three or some other value?

24 **MR. RICHARDSON:** I would argue -

25 **DR. ZIEMER:** Because I'm understanding this

1 in almost the opposite way. I think lowering it
2 lowers the effective dose. Is that - are you
3 arguing that we're over-estimating doses at -

4 **MR. RICHARDSON:** The effects of a dose, a
5 lower dose, is going to be divided. The way that
6 this factor is applied for low-LET radiation -

7 **DR. ZIEMER:** I guess I may have
8 misinterpreted how they're using it, then.

9 **MR. RICHARDSON:** I don't know. Mary, could
10 -

11 **DR. ZIEMER:** I thought we were multiplying,
12 but I would ask that we get that clarified.

13 **MR. RICHARDSON:** I think Mary could answer
14 that.

15 **DR. ZIEMER:** Typically a dose-rate
16 effectiveness factor operates like a quality
17 factor. It increases -

18 **DR. SCHUBAUER-BERIGAN:** Actually, it -

19 **DR. ZIEMER:** It would increase the
20 probability of causation rather than decrease it.
21 I believe that is the case.

22 **DR. SCHUBAUER-BERIGAN:** Well, what acts like
23 a quality factor actually is the RBE. Those two
24 are sometimes used interchangeably. But David is
25 correct, that when the DDREF factor is applied, a

1 factor of greater than one implies that the risk
2 per unit exposure at a very - at a low dose or in
3 a chronic dose is divided by that value.

4 **MR. RICHARDSON:** Right.

5 **DR. SCHUBAUER-BERIGAN:** So if it's two, the
6 effect of that dose is divided by two.

7 **DR. ZIEMER:** Thank you.

8 **MR. RICHARDSON:** Right. And so the question
9 is, is there - here, I think, everything is being
10 essentially treated as an acute dose for the
11 external here, talking again about the low-LET
12 doses. So it's not - the issue of dose-rate is
13 not really so much a consideration. It's is the
14 dose-response association linear in the low dose
15 range? And, I mean, that is something that
16 people talk about.

17 **DR. ZIEMER:** I understand what you're
18 saying.

19 **MR. RICHARDSON:** But the current - I'd say a
20 lot of committees are taking now, and a lot of
21 the literature, is supporting the opinion that a
22 linear dose response is a reasonable association.
23 And I - you know, I would argue maybe yes, that
24 you would have a factor centered around one, and
25 then you allow uncertainty in that.

1 **DR. ZIEMER:** Are the studies that you cited
2 in your written comments that were submitted to
3 the agency earlier?

4 **MR. RICHARDSON:** Yes.

5 **MR. ELLIOTT:** Yes, they're referenced and we
6 have copies of those.

7 **DR. ZIEMER:** Thank you.

8 Next we have - I think it's Roger. Is it
9 Roger?

10 **MR. SHAW:** Yes.

11 **DR. ZIEMER:** I couldn't read your writing
12 here - Roger Shaw from McCarter & English, Ltd.

13 **MR. SHAW:** Yes, this will be less than five
14 minutes.

15 Let's go right to DREF. I just want to
16 mention DREF. I know that the Board will look at
17 it. It's an important item. For low-LET,
18 UNSCEAR, ICRP, NCRP and BEIR V support a DREF for
19 low-LET of anywhere from two to five. I think I
20 heard Mary earlier - I asked her specifically on
21 a break if there'd be a range of maybe between
22 less than one to five, and that's something that
23 is a little different than maybe what the RERF
24 may be saying in one of their recent studies.

25 But I think it really deserves a lot of

1 caution and is something that should be looked
2 at. A lot of important national, international
3 bodies support that you use a DREF. And for
4 example, if it was two, that would mean that the
5 risk would be less by a factor of two. So that
6 is something I just - I know you'll look at. I
7 just want to mention that.

8 And if we do start to define acute versus
9 chronic in a different way, if we start to say
10 that an acute dose is something received over a
11 month or two months or a quarter, over a
12 quarterly badge reading period for TLD or film,
13 then we're going to have to start rewriting
14 textbooks and doing that fairly quickly, because
15 that is not historically how acute dose has been
16 defined.

17 The second item is with the dose uncertainty
18 and how critical that is. Dr. Ziemer pointed
19 out, as we went through NIOSH-IREP, or Russell
20 did, Mr. Henshaw - and showed exactly what
21 happens when you change the uncertainty
22 associated with those doses. And it can make
23 huge differences. As I'm sure you get home and
24 you work tonight, and you start to go through and
25 do your own iterations with NIOSH-IREP, you will

1 start to see these differences.

2 And if you simply change and go and look –
3 and they're different for different cancers – but
4 if you look at one leukemia, you look at CML, and
5 you take and change that, you just leave all the
6 parameters the same for a certain dose. If you
7 took 25 rem, five rem for five years, and put in
8 the information you want to put in, just change
9 constant, which means no uncertainty – not really
10 realistic – and change that to normal geometric
11 standard deviation, gSD. Well, for gSD that's 40
12 percent PC. And if you just change that to
13 constant alone, it goes to 93 percent probability
14 of causation.

15 So as Congress has said, let's err on the
16 side of the claimant. We should. It sounds
17 fair. It is fair. It doesn't mean that we need
18 to add undue uncertainty on top of an already
19 large amount of uncertainty that we're going to
20 be stuck with and also have to deal with in a
21 reasonable fashion.

22 Those are the two points.

23 **DR. ZIEMER:** Thank you, Roger.

24 And again, are there any questions or issues
25 to be clarified?

1 [No responses]

2 **DR. ZIEMER:** All right. Thank you.

3 This completes today's agenda. I would ask
4 that the four other members of the subcommittee
5 stop by here for a moment before we adjourn - or
6 right after we adjourn, and we'll talk about the
7 assignment for this evening.

8 We thank all of our guests who were here
9 today. We will reconvene tomorrow at 8:00
10 o'clock; 8:00 o'clock, not 8:30, okay? So we'll
11 see you all in the morning at 8:00 a.m.

12 Thank you very much.

13 [Whereupon, the meeting was
14 adjourned at approximately
15 5:05 p.m.]

16 - - -

17

18

19

