

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING TEN

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

ABRWH SUBCOMMITTEE MEETING

The verbatim transcript of the Subcommittee Meeting of the Advisory Board on Radiation and Worker Health held at the Four Points by Sheraton, Denver, Colorado, on April 25, 2006.

C O N T E N T S

April 25, 2006

WELCOME AND OPENING COMMENTS	7
DR. PAUL ZIEMER, CHAIR	
DR. LEWIS WADE, EXECUTIVE SECRETARY	
SELECTION OF 5 TH AND 6 TH ROUNDS OF INDIVIDUAL DOSE RECONSTRUCTION	11
DR. PAUL ZIEMER, CHAIR	
WORK GROUP STATUS REPORTS:	76
MR. MARK GRIFFON, WORK GROUP CHAIR	
PROCEDURES REVIEWS	76
INDIVIDUAL DOSE RECONSTRUCTION REVIEWS	82
SITE PROFILE REVIEWS	91
WORK GROUP REPORT: Y-12 SITE PROFILE UPDATE	95
MR. MARK GRIFFON, WORK GROUP CHAIR	
COURT REPORTER'S CERTIFICATE	105

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/(unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

DeHART, Roy Lynch, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

STAFF

LASHAWN SHIELDS, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

SIGNED-IN AUDIENCE PARTICIPANTS

BEACH, M. JOSIE, NCO
BEATTY, SR., EVERETT "RAY"
BEHLING, HANS, SC&A
BEHLING, KATHY, SC&A
BOLLOR, CAROLYN, CONG. MARK UDALL
BRENTLING, PAULA, USDOL
BROEHM, JASON, CDC
BROWN, GLENN
CHANG, CHIA-CHIA, HHS
DAUGHERTY, NANCY M., ORAU
DEHART, JULIA
DUKE, LAURA, CONG. BOB BEAUPREZ
FITZGERALD, JOE, SC&A
HILLER, DAVID, SEN. KEN SALAZAR
HINNEFELD, STU, NIOSH
HOWELL, EMILY, HHS
IMSE, ANN, ROCKY MOUNTAIN NEWS
JOSEPH, TIMOTHY, ORAU
KENOYER, JUDSON
KIEDING, SYLVIA, USW
KIMPAN, KATE, ORAU
KOTSCH, JEFF, DOL
LAWSON, DIANE, ROCKY FLATS
LEWIS, MARK
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCFEE, MATTHEW, ORAUT
MCGOLERICK, ROBERT, HHS
MILLER, RICHARD, GAP
MORMAN, KAREN, DOL
MORRIS, ROBERT, CHEW AND ASSOC.
NETON, JIM, NIOSH
POSEY, ROBERT V., ROCKY FLATS
RINGEN, KNUT, CPWR
ROSE, WILMA
RUTHERFORD, LAVON, NIOSH
SHEPPARD, BOBBIE, ROCKY FLATS
STACK, VICTORIA
TURCIC, PETE, DOL
ULSH, BRANT, NIOSH

P R O C E E D I N G S

(9:15 a.m.)

WELCOME AND OPENING COMMENTS**DR. PAUL ZIEMER, CHAIR**

1 **DR. ZIEMER:** Good morning, everyone. I'm going to
2 call the meeting to order. This is the meeting
3 of the Subcommittee for Dose Reconstruction and
4 Site Profile Reviews of the Advisory Board on
5 Radiation and Worker Health. Although most of
6 the Board members are here, I remind you that
7 this is a subcommittee meeting; that we will
8 not act as a full Board in this session but
9 will be preparing various recommendations that
10 will come to the full Board.

11 For your information, today Mr. Presley is not
12 with us. He may be with us later by phone, I'm
13 not sure.

14 **MS. MUNN:** He's planning on Wednesday.

15 **DR. ZIEMER:** He's planning on at least at
16 certain times being available.

17 Let's see, Mr. Owens resigned from the Board
18 and I understand his resignation was accepted
19 just within the last couple of days by the
20 White House, so he's not with us.

1 Dr. Melius will be joining us for the full
2 Board sessions.

3 Let me see, Dr. Poston will not be with us
4 today due to a conflict that we knew about
5 actually when we set the meeting, and somebody
6 else is --

7 **DR. WADE:** Dr. Lockey.

8 **DR. ZIEMER:** Dr. Lockey is sick today, I
9 believe, so -- but basically we -- we have a
10 quorum both of the subcommittee and of the full
11 Board, as it is right now.

12 This is our second visit to Denver. It's been
13 a while since we've been here and we're pleased
14 to be back. I suspect that many of the local
15 folks will be present at our later sessions. I
16 don't see too many of them here yet, but we
17 certainly are pleased to be back in Denver.
18 The usual reminders I give to all the Board
19 members and staff and visitors, to register
20 your attendance in the hallway at the
21 registration book. Also make -- please avail
22 yourselves of the various handouts in the back
23 of the room.

24 Lew, I'm going to give you the mike for a
25 minute --

1 **DR. WADE:** Yes.

2 **DR. ZIEMER:** -- and you can add some comments.

3 **DR. WADE:** Yeah, I'd like to provide some
4 clarifying comments on members here and not
5 here. Dr. Poston is not here. When we
6 originally scheduled this meeting, before he
7 was nominated and accepted to the Board, he was
8 not able to make these dates. Dr. Poston has
9 not had his waiver finalized. That paperwork
10 is not done, so he is technically not a member
11 of the Board at this point, although his
12 paperwork will be done and completed before
13 he's able to attend the next meeting.
14 Dr. -- Drs. Lockey and Mr. Clawson are through
15 the process and are full members of the Board,
16 voting and -- and otherwise. I did speak to
17 the White House and they are in receipt of Leon
18 Owens's letter and told me that we could assume
19 that his resignation had been accepted and he
20 is not a member of the Board.
21 Those issues are important as we establish
22 quorum. It shouldn't be a problem for any of
23 our sessions, but I wanted to be more precise
24 as to who is on the Board and who is not on the
25 Board at this moment.

1 We will be discussing this morning, and even
2 later today, some issues related to Y-12. For
3 example, we have some Board members who are
4 conflicted on Y-12. The Board's processes and
5 procedures would have those members remove
6 themselves from the table when we talk about
7 the Y-12 petition itself. They can remain at
8 the table as we talk about site profile and
9 technical issues, but they cannot make motions
10 or vote during those discussions. I don't
11 think we'll be having votes on Y-12 site
12 profiles, but just to have that on the record.
13 When we do discuss the petition itself, I'll
14 identify those members and they'll have to
15 remove themselves from the table.
16 Unfortunately, from my point of view, one of
17 those members is our esteemed Chair, Dr.
18 Ziemer. And I'm told that rules will have me
19 try and fill in for Dr. Ziemer when he is not
20 the Chair -- not a situation I relish.
21 Welcome. We appreciate your efforts before,
22 during and after the meeting. Thank you.
23 **DR. ZIEMER:** It's a formidable task, Lew.
24 Let's then turn our attention to the agenda
25 itself that you have before you. One of the

1 usual items that shows up is the approval of
2 the minutes, but I point out to the
3 subcommittee that the -- we're talking here
4 about the minutes of the subcommittee meeting.
5 We do not have those, and I'm not going to ask
6 for their approval sight unseen, so we will
7 defer action on those minutes until they're
8 actually available to us.

SELECTION OF 5TH AND 6TH ROUNDS OF INDIVIDUAL DOSE

RECONSTRUCTION

DR. PAUL ZIEMER, CHAIR

9 So without objection, we'll move on to the next
10 item, which is the selection of the 5th and 6th
11 rounds of the individual dose reconstructions.
12 You recall we've -- we've had four rounds of
13 selection. We are basically at -- we've
14 completed the first round in terms of all the
15 iterative steps of coming to a final
16 recommendation for the Secretary. The second
17 round is pretty well along, still needs some
18 closure, as does the third round. We will also
19 be looking at the preliminary or initial matrix
20 for the fourth round here in our sessions today
21 -- or this week. And what has been suggested
22 here is that we go ahead and make the selection
23 of the cases for the 5th and 6th round.
24 Basically this would be 40 more cases, or

1 enough cases so that we have 40 to work with.
2 Now because of the press of other issues, SC&A
3 has had to divert some of their attention to
4 other issues, but this will at least get the
5 cases in the pipeline so that they can be
6 looking ahead, and this would basically -- I
7 think, Lew; correct me if I'm wrong -- at least
8 align the cases for this year's workload as --
9 as we look ahead.

10 So in your -- your first tab in your book, Stu
11 Hinnefeld has assembled a table of closed
12 cases, and Stu, if you would, describe for the
13 Board what's in the table. I'm assuming the
14 cases that have already been selected from the
15 closed cases are not in the table, although I
16 did not go back and check the numbers, but is
17 that correct?

18 **MR. HINNEFELD:** I believe that's correct, that
19 the ones that were already selected were
20 excluded.

21 **DR. ZIEMER:** So describe for us what we have
22 here.

23 **MR. HINNEFELD:** Okay, there are four -- four
24 documents or collections of documents that are
25 relevant to the selection today. There are two

1 tables that look like this, these multiple-
2 paged tables of cases. And then there are two
3 pages with excruciatingly small print that are
4 the statistics of cases selected so far and the
5 analysis of those cases in terms of what site
6 they came from, what kinds of cancers were
7 represented, what types of employee was
8 represented. So those are -- that's the
9 collection of information. One of the
10 excruciatingly small pages is actually double-
11 sided, so I guess that's three pages of very
12 small print.

13 The two lists of cases were selected as we did
14 last time. We selected one list of what we
15 consider full internal and external dose
16 reconstructions. You know, if you recall, at
17 the last time we selected dose reconstructions
18 for review we generated the list of, for lack
19 of a better term, best estimate cases. These
20 are neither, you know, clear overestimates or
21 clear underestimates, but rather the reviewer
22 who reviewed this, the health physics reviewer
23 who reviewed this indicated that this was a
24 full internal and external dose reconstruction,
25 and so it -- that's a particular type of dose

1 reconstruction. And again, we have compiled
2 the entire list and it does have the words
3 "full internal and external DR" at the top left
4 of that column. So this is the entire list of
5 -- of the available to -- for review full
6 internal and external dose reconstructions.
7 The other table was, again, randomly selected
8 cases from the entire population of cases that
9 are available for review. And there may --
10 these were strictly randomly selected, and so
11 some of the -- some of the cases on the other
12 sheet may also appear on this. That's the one
13 thing we need to worry about if we -- when we
14 start selecting if we use both of these
15 rosters.

16 The pieces of information on here are the same
17 as we provided in the past in terms of the
18 probability of causation value that was result-
19 - resulted from the case, the cancer -- IREP
20 cancer and the facility and the number of years
21 worked, the decade of employee's start.

22 **DR. WADE:** Stu, just for clarification, the
23 randomly selected cases are not all full
24 internal and external dose estimations?

25 **MR. HINNEFELD:** That's correct. The statistics

1 of the cases today -- I'll speak first from the
2 -- the portrait page, the page that's printed
3 in portrait style. This is the count of the
4 cases received from each of these sites -- it's
5 actually two counts. One, the far right
6 column, is the total cases that we've received
7 -- that have been referred to NIOSH from those
8 sites. The second from the right, the column
9 headed "cases available for review," those are
10 the number of cases from that site that have a
11 final determination and are done and therefore
12 available for review.

13 The count number -- in other words, the first
14 column of numbers which is the closest column
15 to the site names -- is two and a half percent
16 of the cases available for review number, which
17 was -- the original thought was two and a half
18 percent -- maybe review two and a half percent
19 of the cases, so that's what the -- that value
20 -- that column represents.

21 **DR. ZIEMER:** And Stu, in cases where the
22 numbers are very small, did you just truncate
23 that to...

24 **MR. HINNEFELD:** Yeah, the -- Excel did that for
25 me.

1 **DR. ZIEMER:** Well, in some cases it shows a
2 zero, some cases a one, so it is a rounding?

3 **MR. HINNEFELD:** Yes.

4 **DR. ZIEMER:** You don't default to a one.

5 **MR. HINNEFELD:** No, no, that was whatever
6 Excel's rounding up did, that's what happened.
7 The -- the other page, the landscape printed
8 page provides this breakdown of sites that are
9 -- of the cases that have been done, compared
10 to some statistics of overall. The -- the
11 number of cases or the projected cases from
12 currently available, that first number there,
13 should match the number on the other sheet.
14 That's the two and a half percent of the total
15 available.
16 And then the count number next to that is the
17 number of cases that have already been selected
18 and reviewed from the first 80. Now if you'll
19 see, that total is 86 at the bottom. That's
20 because there were six counts of multiple
21 employment. In other words, a case was
22 employed at more than one site, so if you put
23 it -- if you count it in the Y-12 column and
24 the K-25 column, you're going to add up to more
25 than 80 at the bottom.

1 The next column of information reflects the
2 cancer by IREP code. These are the IREP
3 models. And the number of diagnoses in the
4 currently-available pool -- that's, in other
5 words, the ones that are finally adjudicated --
6 was counted, and in this case we counted -- if
7 a person had multiple cancers of the same
8 diagnosis; for example, if they had multiple
9 basal cell carcinomas, that was counted once in
10 the basal cell carcinoma column. If a person
11 had a basal cell carcinoma and say a prostate
12 cancer, he was counted twice, once in the basal
13 cell carcinoma, once in the prostate. So
14 that's how those counts were arrived at.
15 And then the count column to the far right of
16 that little block of information is, again, the
17 breakdown of the cases reviewed so far in the
18 first 80, and we have 84 because there were
19 some multiple cancer cases in that -- in that
20 population.

21 The remainder of these are other pieces of
22 information about them -- about the first 80 --
23 in terms of job groupings, the decade first
24 employed, number of years worked -- that's over
25 on the back side. So anyway, that's sort of

1 the statistics of the cases so far to give some
2 understanding of what's -- what's been reviewed
3 in the first 80, we thought if you want to use
4 that to help you out in your selection of the
5 additional 40.

6 **DR. WADE:** Stu, a clarifying question. On your
7 landscape, side A, the left-most column where
8 we're looking at the site listings, there are
9 two columns. I know that the -- within that
10 brace the -- the far right, the 86, those are
11 the numbers of cases we've looked at to this
12 point.

13 **MR. HINNEFELD:** Correct.

14 **DR. WADE:** The column to the left of that, the
15 total's 236. That's two and a half percent?

16 **MR. HINNEFELD:** Yes.

17 **DR. WADE:** Okay, so that's -- that's the number
18 of two and a half percent, that's the --

19 **MR. HINNEFELD:** Two and a half percent --

20 **DR. WADE:** -- target number.

21 **MR. HINNEFELD:** Two and a half percent of the
22 currently available cases to review.

23 **DR. ZIEMER:** Board members, do you have
24 questions for Stu while he's at the podium?

25 **MR. GRIFFON:** Stu, clarify -- the -- when you

1 say full internal/external, are those all best
2 estimate cases or...

3 **MR. HINNEFELD:** The -- well, for lack of a
4 better answer, yes. That is selections -- that
5 is selected by the health physics reviewer.
6 All the -- all the dose reconstructions are
7 reviewed by a NIOSH health physicist, health
8 physics reviewer, who then affixes his
9 signature before the draft is sent -- draft
10 dose reconstruction is sent to the claimant.
11 That health physics reviewer, when he approves
12 this result done on, you know, electronically -
13 - of electronic -- computer-driven work
14 process. When that person approves the dose
15 reconstruction, he is presented with a drop-
16 down menu and is required to select a type of
17 dose reconstruction, and those types might be
18 overestimate primarily external, overestimate
19 primarily internal, overestimate internal and
20 external, and then the same categories for
21 underestimate. And then it'll be full -- full
22 internal and external, so when the health
23 physics reviewer selects full internal and
24 external in terms of his -- what he believes
25 this dose reconstruction to be, the type of

1 dose reconstruction, that's how that field gets
2 populated.

3 Now, it's a pick list, and so there could be
4 mistaken -- there could be some mistakenly-
5 chosen numbers in there. You know, that
6 category could be chosen by mistake, and it
7 could be that HP reviewer read it and felt that
8 -- that maybe overlooked some overestimating
9 approach that was done and selected it in
10 error, so there's -- so it is the selection of
11 one HP reviewer at the time they approved the
12 draft dose reconstruction.

13 **DR. ZIEMER:** Now I want -- I want to make sure
14 that everybody has a good grasp of what you
15 have here in terms of these four tables 'cause
16 we're going to be digging into them in a
17 moment. Basically the last one summarizes what
18 we've done to date in the selection process and
19 allows you to look at the different criteria,
20 such as cancer type, job categories, work years
21 and so on and see what areas we need to
22 populate further, as well as locations.
23 Everybody okay with the material before we dig
24 into it?

25 **DR. WADE:** Before you start to work, I might

1 just sort of add two issues for you to think
2 about, not at this session of the subcommittee,
3 but you know, you sort of get the sense from
4 the numbers that we've done 80, we've got 240
5 is our target sample. That's another three
6 years of work. The Board at some point needs
7 to decide if it's comfortable proceeding at
8 this pace, if it would like to accelerate the
9 pace. Again, you don't need to decide that
10 now, but it is an issue that we need to -- to
11 make some judgment on as we look at the use of
12 the SC&A contract overall.

13 Then secondly, I'd like to ask John Mauro to
14 come up and -- John, since this work sort of
15 affects you, is there anything you would like
16 to put on the record as -- before the Board
17 begins its deliberations here? You can come
18 any way you like.

19 **DR. MAURO:** One of our observations -- is this
20 live? One of our observations is when you look
21 back -- in fact, it might be an appropriate
22 time to do this, I don't know -- at the -- the
23 80 that have been completed, what emerges from
24 it in terms of what does it tell us and what is
25 it that we should be doing in the future, we --

1 we've done this before. If you recall, we had
2 a session like this before regarding what have
3 we learned. And if you remember, we made a
4 transition that said well, we were working the
5 min/max primarily and -- and during that
6 process we learned something, that there were
7 these workbooks that started to standardize
8 things, and as a result we're starting to
9 realize that when you step back, we -- we see
10 the same types of -- I'll call them errors, or
11 disparities. And if you go through each of our
12 summary sheets, there's a recurring theme, so
13 almost a sub-- a major subset within our
14 findings on the 80 cases when you sort of
15 collect them up is that there's these -- I'll
16 pick a number, 60, 70 percent of the findings
17 are a recurring observation that, to a large
18 extent, is being or has been resolved as a
19 result of the workbooks. Okay? So -- so I
20 would say to a large extent we've accomplished
21 a lot in moving from -- oh, I guess picking the
22 array of places where there are
23 incompatibilities and consistencies with the
24 procedures, and the workbooks have solved that.
25 Now what's happening is the cases that we're

1 looking at were on occasion -- now we -- we
2 could almost think about the last set of 20 as
3 certainly not -- you know, we just began to
4 work with the Board, you've seen our big thick
5 report, you say okay, what do those -- what do
6 those 20 cases tell us that's new and that's
7 important. And it turns out when you look at
8 that, I would say and -- and I would -- maybe
9 there are three or four cases in the 20, the
10 last set of 20 that we looked at, that revealed
11 information that's important -- that's very
12 important, that -- that needs to be brought to
13 the attention of the Board. So in spite -- in
14 a funny sort of way, you've received the last
15 book, which is probably the thickest one we've
16 sent out yet. But if you say loosely boiled
17 down in all of this, what does it -- what --
18 what do we -- what do we find out that's
19 pervasive and important to the process. And I
20 would argue that in -- in working with Hans --
21 and I'm really speaking for Hans right now
22 because I can see Hans is not here in the room
23 but maybe Kathy could help me out -- I know in
24 working with them, and I did some of the cases
25 myself, that we're starting to see -- it's hard

1 to know where they are, but as we went through
2 this set of 20, several emerged as being
3 important, and I think the Board is aware of a
4 couple of those 'cause we brought them to the
5 attention of the Board real early.
6 Now given that, I'm not quite sure in terms of
7 okay, what do we do with that information, that
8 we're starting to realize that imbedded in
9 these sets that are being drawn out -- it's
10 almost stochastic, we once in a while come
11 across something important. Most of the time
12 it's what I would say the same old same old,
13 and we really haven't added very much value.
14 NIOSH is aware of the concern. They're coming
15 to grips with it. The workbooks are solving
16 it. But every once in a while we come up with
17 something important. And I have to say,
18 standing here -- you know, what -- what do you
19 do about that. How do we -- how do we -- how
20 do we zero in in a way, within the population
21 of cases, to try to pick the ones that are
22 going to give us some in-- some new insight
23 into areas where we could im-- add some value.
24 And I -- and I have to say that this is
25 probably a subject of conversation of a working

1 group because I do not have an answer to that
2 question. So that right now I would say there
3 is a certain amount of inefficiency. We pick
4 20, we go through the 20, we find out 60 to 70
5 percent of our comments same old same old, but
6 they're -- every so often something important
7 comes up. How do we design the selection
8 process of the next set of cases in a way that
9 will more likely grab the cases that are going
10 to advance the quality of the process, and I
11 really don't have an answer right now, but I
12 hope that helps.

13 **DR. WADE:** Thank you.

14 **DR. ZIEMER:** Thank you, John. That is helpful
15 and in fact, as we look at cases today, that's
16 one of the issues that one struggles with in
17 any event. Obviously you don't know, a priori,
18 what you're looking for, so there is a sense in
19 which you can't decide what those parameters
20 are fully in advance. You -- you do need to
21 leave it open and maybe something will surprise
22 you.

23 Kathy, you want to add to --

24 **MS. BEHLING:** Yes, if I could just add a few
25 statements to what John said.

1 In the cases that we've done, it's not like we
2 haven't made an impact. I think we have,
3 because I think we've helped to correct certain
4 procedures, helped to maybe streamline some
5 things, helped to hopefully make this process
6 for the dose reconstructors a little bit easier
7 for them because of ambig-- ambiguities that we
8 found in procedures or procedures that were
9 conflicting or too many options available maybe
10 for dose reconstructors. So up to this point
11 in time, based on the cases that we've done, I
12 think what John was trying to say, we have
13 found a pattern there. And hopefully between
14 the Task III work and the Task IV work so far,
15 we're working with NIOSH and we've corrected
16 some of those -- what we think are systematic
17 types of problems.

18 If I were going to make a suggestion as to what
19 to be looking at from the Board's point of
20 view, I think it's important, as Stu's trying
21 to point out here, there was some selection
22 criteria that was established early on with
23 regard to what types of sites -- make sure that
24 you look at a variety of the sites, that you
25 look at the variety of cancers and that type of

1 thing. So I personally would like to see, as
2 doing these dose reconstructions, cases that
3 involve sites that we haven't looked at so far.
4 We've done a lot of Hanfords, a lot of Savannah
5 Rivers, and I know a lot of cases come from
6 there. But I think it's important also as
7 you're selecting these to look at sites and
8 cancers that haven't been looked at yet.

9 **DR. ZIEMER:** Let me add that it's probably
10 important to recognize that lack of a finding
11 does not mean there's no value added. In fact,
12 there's much value added if you say, you know,
13 we're not finding any new problems. That's
14 also value added, so I hope the contractor
15 doesn't get the feeling that you have to find a
16 case where you can come up with something,
17 because I think there's value added either way.
18 I want to also insert here, and then we have a
19 couple of other comments, that the -- the 235
20 cases is really based on basically 10,000
21 closed cases, and there's another 10,000 or so
22 in the pipeline, I believe, roughly. Is that
23 correct, Larry? Yes. So the 235 really
24 doesn't take us to the end; it takes us about
25 halfway to the end, so we need to keep that in

1 mind. There'll presumably be another couple of
2 hundred after that coming down the pipeline.
3 Mark and -- oh, Roy -- Roy and then Mark.

4 **DR. DEHART:** One of the other criteria that was
5 employed was the probability of causation. We
6 -- we looked at some of those cases that were
7 close to the 50th percentile, for example,
8 selectively. And I don't find that in your
9 last table -- there's no attempt to try to
10 identify the POC for -- for any of those cases.

11 **MR. HINNEFELD:** Breakdown of the 80 selected.
12 No, it's -- it's not included, you're right.
13 It can be added.

14 **DR. DEHART:** Could that be added --

15 **MR. HINNEFELD:** Yeah.

16 **DR. DEHART:** -- at a later time? I mean --

17 **MR. HINNEFELD:** Yeah.

18 **DR. DEHART:** -- we may not need it for this,
19 but --

20 **MR. HINNEFELD:** Yeah.

21 **DR. DEHART:** -- as we go forward. Thank you.

22 **DR. ZIEMER:** Mark.

23 **MR. GRIFFON:** Just -- just wanted to say one
24 thing about John and Kathy's statements, that --
25 -- you know, I think -- well, I agree with Paul

1 that, you know, a negative finding isn't
2 necessarily no value added. But also I think
3 this same old same old might become important
4 if the cases that we're reviewing came after
5 the findings that you identified. So if we're
6 not seeing modifications in practices or -- or
7 policies, then that -- that becomes something
8 we should note, you know, and -- and that
9 becomes important. I mean it's -- it's -- you
10 know, I'm not -- I'm not clear on these cases.
11 I -- I imagine most of these cases, since we
12 haven't even completed our second matrix of
13 findings, I imagine most of these were
14 completed before any of -- of our results were
15 back or any of -- you know.

16 **MR. HINNEFELD:** I'd say that's quite likely.

17 **MR. GRIFFON:** And -- and we might want to --
18 and not -- again, not for this round, but we
19 might want to consider adding a field of when
20 the DRs were completed 'cause then we could see
21 if it was after some of our findings. Then we
22 can pick some of those.

23 **DR. ZIEMER:** Is there a sharp cut-off date that
24 we know, or is that sort of a fuzzy time band
25 as to where the workbooks kind of took over

1 from the old procedures? It's probably not
2 clear cut.

3 **MR. HINNEFELD:** It's -- it's -- there's not a
4 date when almost everything was workbooks. I
5 mean there'll be dates when the Savannah River
6 workbook, for instance, went into use, and
7 there'll be dates when --

8 **DR. ZIEMER:** But if we had the information Mark
9 describes, we can tell us -- if it's very
10 early, we know it's in the previous regime and
11 if it's very recent it's in the new regime,
12 then we can date other fuzzy ones, I guess.
13 Other comments while Stu's at the podium?

14 (No responses)

15 If not, Board members, we -- our task is to
16 pick two sets of 20, I believe, and maybe with
17 a couple -- we picked a couple extras in each
18 case --

19 **DR. WADE:** Yeah, you should.

20 **DR. ZIEMER:** -- for spares.

21 **MR. GRIFFON:** Just -- just a first impression
22 on the selections -- I mean I don't know that
23 we need -- but Paul, I -- in -- in doing a
24 quick run-through, I -- I'm not sure that --
25 that -- I have 20 -- more than 20 cases that

1 I'd want to look at out of this batch, so I
2 don't know. We'll do 20 at a time, I'm sure,
3 but...

4 **DR. ZIEMER:** Well, yeah.

5 **MR. GRIFFON:** Yeah.

6 **DR. ZIEMER:** At least the first 20, and then
7 see where we are.

8 **MR. GRIFFON:** Yeah.

9 **MR. PRESLEY:** Hey, Paul?

10 **DR. ZIEMER:** Yes. Bob, is that you?

11 **MR. PRESLEY:** Yeah, I've been on for a while.

12 **DR. ZIEMER:** Welcome, Bob. How are you doing?

13 **MR. PRESLEY:** Fine. We had trouble this
14 morning with the telephone connection, so I've
15 been on for about 20 minutes.

16 **DR. ZIEMER:** Well, good to -- good to hear from
17 you and our regards to Louise, as well. Are
18 you out of bed, Bob?

19 **MR. PRESLEY:** Not right now.

20 **DR. ZIEMER:** Not right now, okay. Well, we're
21 glad to have you aboard. Do you have some
22 additional com-- do you have the materials that
23 we're looking at, Bob?

24 **MR. PRESLEY:** No, I don't. That's all right,
25 I'm listening.

1 it's in a random order. The full internal and
2 external DR list is quite likely in approximate
3 age order, meaning the oldest --

4 **DR. ZIEMER:** Age of the case or --

5 **MR. HINNEFELD:** Age of the dose reconstruction.

6 **DR. ZIEMER:** Yeah, when -- when the dose
7 reconstruction was --

8 **MR. HINNEFELD:** And act-- no, I'm sorry, age of
9 the submittal, age of when it was referred to
10 us. These would be probably approximately in
11 that order.

12 **DR. ZIEMER:** Not necessarily when it was
13 completed.

14 **MR. HINNEFELD:** Correct, not necessarily when
15 the dose reconstruction was done.

16 **DR. ZIEMER:** Okay. I just want the Board to
17 have that in mind so -- so we're not biasing
18 our selection by some parameter that we're
19 unaware of.

20 Okay. So in essence these earlier ones have
21 what property -- are these likely to be ones
22 that also then were done under the earlier
23 regime? Say -- tell -- tell us again, what --

24 **MR. HINNEFELD:** I think it's hard to draw any
25 judgment about what -- you know, what you can

1 draw from the order, the reason being that it's
2 the age of the referral to us.

3 **DR. ZIEMER:** Okay. And many of those early
4 ones you actually didn't get --

5 **MR. HINNEFELD:** They may have been done quite a
6 lot later.

7 **DR. ZIEMER:** Yeah, okay. So perhaps that in
8 itself kind of randomizes things, so -- okay,
9 very good. Thanks.

10 Wanda, did you have an additional comment
11 before...

12 **MS. MUNN:** I just wanted to comment that I
13 really took to heart the suggestion that we
14 look at some of the sites that we have not
15 really and truly done much with. And in the
16 absence of our previous lists, which as John
17 pointed out constitutes a significant amount of
18 paper I don't carry around with me, it would --
19 I really look forward to a summary sheet next
20 time of what we've actually done and what the -
21 -

22 **DR. ZIEMER:** Now, that last sheet --

23 **MS. MUNN:** -- (unintelligible) were, that --

24 **DR. ZIEMER:** -- in the fine print --

25 **MS. MUNN:** -- would help a lot.

1 **DR. ZIEMER:** -- Wanda, the last sheet in the
2 fine print tells us how many cases we have from
3 --

4 **MS. MUNN:** Right.

5 **DR. ZIEMER:** -- each site.

6 **MS. MUNN:** Right, the cases for the site.

7 **DR. ZIEMER:** Right, those 80 -- 86.

8 **MS. MUNN:** Yes, did not include the type of
9 cancer that we looked at, and I -- I've
10 forgotten -- I think I remember most of them,
11 but some of them we did not, so with -- with
12 that in mind, you know, on the first page I can
13 see three right away of sites that we haven't
14 looked at, probably four, that would be helpful
15 for us to consider starting.

16 **DR. WADE:** Now the type of cancer is somewhere
17 on this.

18 **MS. MUNN:** Yes. Yes.

19 **DR. ZIEMER:** Okay. Let's go ahead and start
20 discussing the cases that you'd like to see on
21 the list. Mark.

22 **MR. GRIFFON:** On the first page I'd say case
23 number 2, 6, 8, 10 --

24 **MS. MUNN:** Whoa, whoa, whoa, don't go so fast.

25 **DR. ZIEMER:** Two is a -- you know, I'll go

1 through these so Bob can -- Presley can hear
2 them. Case 2 is a colon cancer from Savannah
3 River site with a 46 percent probability of
4 causation. Now we're just suggesting these at
5 the moment, we're not necessarily adopting
6 them. And 20 -- almost 27 years of work
7 experience.

8 Okay, Mark, 4?

9 **MR. GRIFFON:** No, 2, 6.

10 **DR. ZIEMER:** Six. And 6 is a lung cancer from
11 Savannah River site with a 42 percent
12 probability of causation, 35 years of work.

13 **MR. GRIFFON:** Then 8.

14 **DR. ZIEMER:** Then 8 is a colon cancer from
15 NUMEC -- that's Nuclear Materials and Equipment
16 Corporation -- 35 years of experience, 55
17 percent POC. And --

18 **MR. GRIFFON:** And 10, possibly -- these are all
19 possibilities.

20 **DR. ZIEMER:** Yeah -- yeah, just possibilities --
21 -- 10 is a Portsmouth Gaseous Diffusion Plant, a
22 non-melanoma skin cell and male genitalia
23 cancers, and 54 -- 55 percent POC, 30 years of
24 experience.

25 And let's go to Wanda, you have a couple there,

1 too?

2 **MS. MUNN:** Yes, sorry, I was -- we were trying
3 to get on the right page here because some of
4 us have a few pages missing. You had said 2, 4
5 --

6 **DR. ZIEMER:** No, 2, 6, 8 and 10.

7 **MS. MUNN:** Two, 6, 8 and 10. I had suggested 8
8 and 9, even though it's a very small POC. I
9 don't know that we've done much in Huntington.
10 And --

11 **DR. ZIEMER:** And is that one likely to be a
12 maximizing?

13 **MR. GRIFFON:** Well, it says full, but that's --

14 **DR. ZIEMER:** Oh, it says full.

15 **MR. GRIFFON:** -- that's what's throwing me off,
16 yeah.

17 **MS. MUNN:** Yeah.

18 **MR. GRIFFON:** That's the kind that I was thrown
19 off by. It says --

20 **MS. MUNN:** They all say --

21 **MR. GRIFFON:** They would be full?

22 **MS. MUNN:** They all say full and -- yeah.

23 **DR. ZIEMER:** It says that they're full, so --

24 **MS. MUNN:** Uh-huh.

25 **DR. ZIEMER:** Okay. So even though it's low,

1 maybe...

2 **MS. MUNN:** So I would -- I would probably
3 include that one, and like also number 20, the
4 CLL, Elk River Reactor.

5 **DR. ZIEMER:** Well...

6 **MS. MUNN:** Yeah. Yes, the leukemia.

7 **DR. ZIEMER:** Yeah, leukemia. This -- that
8 number 20 is a -- is the Elk River Reactor.

9 **MS. MUNN:** Right.

10 **DR. ZIEMER:** It's a leukemia, POC of 61, ten
11 years of work. Where does Elk River show up on
12 our list. Is that in "others"?

13 **MR. GRIFFON:** Should be in "others," yeah.

14 **DR. ZIEMER:** It's the combined --

15 **MR. HINNEFELD:** That would be in the
16 combination of other sites.

17 **DR. ZIEMER:** I'm looking to see if we've had
18 any from that category. Have we? Is that the
19 one, Stu, that you're calling "sample of
20 industry groups" or -- or remaining or --

21 **MR. HINNEFELD:** Yeah, the "all other sites"
22 category there, yeah.

23 **DR. ZIEMER:** Oh, okay.

24 **MR. HINNEFELD:** That's -- that's all other
25 sites other than the ones listed above it.

1 **DR. ZIEMER:** Okay. There's six suggestions so
2 far on this first page. We can come back. I
3 kind of want to go through these and identify
4 the ones that look interesting, and then from
5 that we can make selections, if that's
6 agreeable.

7 Any others on the first page? And keeping in
8 mind the facility distribution, as well.

9 (No responses)

10 Okay, let's take a look at page two.

11 **MR. GRIFFON:** I have -- whatever you want to
12 call it. I have --

13 **DR. ZIEMER:** Mark, did you have one?

14 **MR. GRIFFON:** I have 43.

15 **DR. ZIEMER:** Forty-three --

16 **MS. MUNN:** Yeah.

17 **DR. ZIEMER:** -- is a Feeds Material Production
18 Center, a bladder cancer, 47 percent POC, 27
19 years of work experience.

20 **MR. GRIFFON:** And then 44 and 49 we might
21 (unintelligible).

22 **DR. ZIEMER:** Forty-four, a lung cancer,
23 Hanford, 31 percent POC with 14 years of work
24 experience. And --

25 **MR. GRIFFON:** Forty-nine.

1 **DR. ZIEMER:** -- 49 is a colon, that's Oak Ridge
2 National Lab, X-10, 12 years experience, colon
3 cancer, 12 percent POC.

4 Anyone else on that second page?

5 **MS. MUNN:** We might consider 55, even with the
6 low POC. We've only had one from there, I
7 believe I'm reading the --

8 **MR. GRIFFON:** The only reason I didn't --

9 **MS. MUNN:** -- (unintelligible) right.

10 **MR. GRIFFON:** -- I thought about that one --

11 **MS. MUNN:** Oh, but it's a -- but it's another
12 bladder.

13 **MR. GRIFFON:** Yeah, I thought --

14 **MS. MUNN:** Sorry --

15 **MR. GRIFFON:** -- about it, and also --

16 **MS. MUNN:** -- mark that out.

17 **MR. GRIFFON:** -- we're doing an SEC review on
18 that --

19 **MS. MUNN:** Yeah.

20 **MR. GRIFFON:** -- so I figured we'd...

21 **DR. ZIEMER:** Okay, that was a Blockson one,
22 bladder cancer, so it appears we'd maybe leave
23 that off for the moment.

24 Any others on that page?

25 **MS. MUNN:** I don't think so.

1 **DR. ZIEMER:** Okay, let's look at page 3.

2 **DR. DEHART:** Sixty-eight.

3 **DR. ZIEMER:** Number 68, colon cancer from
4 Lawrence Livermore, 50 percent -- well, that's
5 just at the borderline there (unintelligible)
6 and 35 years work experience.

7 **MR. GRIFFON:** Possibly 73.

8 **DR. ZIEMER:** Okay, 73 is a colon cancer,
9 Superior Steel Company -- Superior's probably
10 in the "other" category, too. Right?

11 **MS. MUNN:** I would think so, as probably is
12 number 80.

13 **DR. ZIEMER:** And that's a 30 percent with 25
14 years of experience of work. Are you
15 suggesting 80?

16 **MS. MUNN:** Perhaps instead of 80, what about
17 78?

18 **DR. ZIEMER:** Seventy-eight is a stomach cancer
19 at MIT --

20 **MS. MUNN:** Right.

21 **DR. ZIEMER:** -- 54 percent POC.

22 **MS. MUNN:** Eight years work.

23 **DR. ZIEMER:** Eight years work.

24 **DR. ROESSLER:** 1940, that's interesting.

25 **MS. MUNN:** In the '40s, though.

1 **DR. ZIEMER:** Is -- MIT is in the "other" group,
2 also?

3 **MR. GRIFFON:** Yeah.

4 **DR. ZIEMER:** It appears. Okay.

5 **MS. MUNN:** Gall bladder, there's an interesting
6 one. How about number 85?

7 **DR. ZIEMER:** Eighty-five is gall bladder cancer
8 from --

9 **MS. MUNN:** We haven't done much of that.

10 **DR. ZIEMER:** -- (unintelligible) Safe Company.
11 What is (unintelligible) Safe Company?

12 **UNIDENTIFIED:** (Off microphone)
13 (Unintelligible)

14 **MR. GRIFFON:** Yeah, what is that?

15 **DR. ZIEMER:** Stu, do you know what Herrin Hall*
16 Safe Company is?

17 **MR. HINNEFELD:** That was an AWE and I believe
18 it was probably a uranium metal forming AWE,
19 but I -- I don't remember for sure off the top
20 of my head.

21 **MR. GRIFFON:** And when -- when those -- that --
22 that's listed as a best estimate case, that
23 would be -- I mean based on -- on -- I'm
24 assuming you wouldn't have individual data for
25 them. Or would you? I don't know.

1 32 years worked, 53 percent POC. I'm looking
2 to see if Harshaw is...

3 **DR. DEHART:** One ten?

4 **DR. ZIEMER:** Yeah, just before we do -- I think
5 Harshaw must be on the "all other cases" so
6 we're getting quite a number of these "all
7 others" so just keep that in mind.

8 **MS. MUNN:** Yeah.

9 **MR. GRIFFON:** Well, no, Harshaw's in the
10 (unintelligible).

11 **DR. ZIEMER:** Oh, are then in --

12 **MR. GRIFFON:** They're in the review at least
13 one section, and then there's the sample of
14 percentage of the...

15 **DR. ZIEMER:** Oh, I'm not seeing that on the --

16 **MS. MUNN:** I'm not, either. Where is it? Help
17 me. I don't see it on the list.

18 **DR. ZIEMER:** It's not showing up on --

19 **MR. GRIFFON:** (Unintelligible) on this list.

20 **DR. ZIEMER:** Okay, but it must be one of the
21 ones dumped into the "all other cases" on this
22 final chart.

23 **MR. GRIFFON:** On the final chart.

24 **DR. ZIEMER:** Of which we need a total of 9 -- 9
25 for all time. No, I'm sorry, 32. We have 9 --

1 **MS. MUNN:** (Off microphone) (Unintelligible)

2 **DR. ZIEMER:** -- yeah, 9 to date. Okay.

3 **DR. WADE:** One ten was suggested.

4 **DR. ZIEMER:** Right. Okay, 110, Roy? That is
5 colon, Bridgeton -- Bridgeport Brass, 36 years
6 work, had colon cancer, 61 POC.

7 **MR. GRIFFON:** Which is this, 110?

8 **DR. ZIEMER:** One ten. Ready for page 5?

9 **MS. MUNN:** What about 115?

10 **DR. ZIEMER:** One fifteen is being suggested.
11 This is --

12 **MS. MUNN:** It's another Savannah River, though.

13 **DR. ZIEMER:** -- another Savannah River.

14 **MS. MUNN:** Looked like a different model.

15 **DR. ZIEMER:** Savannah River site, we're still
16 okay on numbers there. That is respiratory,
17 lung cancer --

18 **MS. MUNN:** Yeah.

19 **DR. ZIEMER:** -- 52 percent, 31 years work
20 experience.

21 **UNIDENTIFIED:** (Off microphone)
22 (Unintelligible) code is that? Is that all the
23 different cancers?

24 **MR. GRIFFON:** That's the ICD code, yeah.

25 **DR. ZIEMER:** It's --

1 we've heard discussion about these types of
2 cancers from employees who were not necessarily
3 production workers. That might be interesting
4 for us to see the type of claim, if nothing
5 else.

6 **DR. DEHART:** This -- this patient only has 4.8
7 years of employment.

8 **MS. MUNN:** Right.

9 **MR. GRIFFON:** Started in the '90s, yeah.

10 **MS. MUNN:** Yeah. But my point is --

11 **MR. GRIFFON:** Yeah, I know.

12 **MS. MUNN:** -- we're still getting that kind of
13 conversation from public comment.

14 **DR. ZIEMER:** So you'd like to see this one on,
15 then?

16 **MS. MUNN:** I think it's worthwhile for us to --

17 **DR. ZIEMER:** Okay, so you --

18 **MS. MUNN:** -- see it as a type.

19 **DR. ZIEMER:** -- want to tentatively put it on.
20 Okay, 117 -- for Bob Presley's benefit -- is a
21 breast cancer from Pantex. It's only a 2
22 percent POC. The worker only has basically
23 five years of work, but it may be of interest.
24 We'll put it down tentatively.

25 **MR. GRIFFON:** 157 is the next one I have.

1 **DR. ZIEMER:** Any more on page 5 of 7? Any?

2 **MS. MUNN:** We might take a look at 119. I
3 don't know if the work decade is correct for
4 that person, but if so, that might be
5 interesting to look at --

6 **MR. GRIFFON:** (Unintelligible) was Superior
7 Steel. I don't know if you might want to take
8 73 (unintelligible) --

9 **DR. ZIEMER:** The work decade is listed as
10 1920s. That's surely not in --

11 **MR. HINNEFELD:** That was likely this person's
12 hire date at the steel company.

13 **MS. MUNN:** Yeah, that's --

14 **MR. HINNEFELD:** This is a steel company AWE.
15 He was quite likely hired (unintelligible) --

16 **DR. ZIEMER:** Oh, that's the hire date --

17 **MR. HINNEFELD:** -- during that -- during that
18 decade.

19 **MS. MUNN:** Makes sense, uh-huh.

20 **MR. HINNEFELD:** And the covered employment, of
21 course, was after World War II.

22 **DR. ZIEMER:** Yeah.

23 **MR. HINNEFELD:** Or during and after World War
24 II.

25 **DR. ZIEMER:** He's had 32 years from that point

1 on, so -- okay.

2 **MS. MUNN:** And the next one had 48.

3 **MR. GRIFFON:** I mean you might consider 73 or
4 119; I don't think we want both. But that
5 might be a better cancer to look at.

6 **MS. MUNN:** Seventy-three, you've gone to the
7 next page.

8 **MR. GRIFFON:** Seventy-three -- 73, not 173.

9 **DR. ZIEMER:** This is an earlier --

10 **MR. GRIFFON:** We already picked a Superior
11 Steel.

12 **MS. MUNN:** Oh.

13 **MR. GRIFFON:** I had mentioned --

14 **MS. MUNN:** See, you did go to another page.

15 **DR. ZIEMER:** Superior -- Superior Steel colon
16 cancer is the one you have there --

17 **MS. MUNN:** Right.

18 **DR. ZIEMER:** -- and this is Superior Steel
19 bladder cancer.

20 **MS. MUNN:** That would probably be interesting,
21 too.

22 **DR. ZIEMER:** Before we do this, Superior -- or
23 the U.S., what about the --

24 **MR. GRIFFON:** My feeling was that a lot of the
25 steel -- a lot of these models are going to be

1 similar, so I thought we should do --

2 **DR. ZIEMER:** Yeah.

3 **MR. GRIFFON:** -- one or two but not get carried
4 away.

5 **MS. MUNN:** Yeah.

6 **DR. ZIEMER:** Actually a lot of similarities
7 between --

8 **MR. GRIFFON:** Yeah.

9 **DR. ZIEMER:** -- 73 and 119. The cancer types
10 are different.

11 U.S. Steel, 120? That 120 is a rectal cancer,
12 U.S. Steel, 8 percent POC, 48 years work --
13 beginning, again, in the 1920s, carrying
14 through. Right now I'd find all three -- all
15 of those, but (unintelligible).

16 Anything else on page 5?

17 **DR. WADE:** You've kept 119 in the mix?

18 **DR. ZIEMER:** One nineteen's in the mix at the
19 moment, yeah, so that gives us two Superiors.
20 We'll probably want to eliminate one of those.
21 Any others on page 5?

22 (No responses)

23 Page 6?

24 **MR. GRIFFON:** One fifty-seven then is a
25 possibility.

1 **MS. MUNN:** It's interesting.

2 **DR. ZIEMER:** Linde I don't think -- we're not
3 showing any on Linde before.

4 **MR. GRIFFON:** Right.

5 (Due to an extreme amount of static from the
6 telephone connection, it was often impossible
7 to transcribe the full comments of various
8 members of the subcommittee, particularly when
9 they spoke amongst themselves or concurrently.
10 Indication that an unintelligible comment was
11 made by a specific person is included simply to
12 reflect that individual's participation in the
13 selection process.)

14 **DR. ZIEMER:** (Unintelligible) central nervous
15 system, 39 percent POC, 29 years work.

16 **DR. DEHART:** Could we look at 154?

17 **DR. ZIEMER:** 154 is right at the 50 percent --

18 **MR. GRIFFON:** Yeah, I --

19 **DR. ZIEMER:** -- level.

20 **MR. GRIFFON:** -- (unintelligible) question
21 mark.

22 **DR. ZIEMER:** Feed Materials Production Center,
23 40 years work, thyroid, 54... (unintelligible)
24 and we're up to page 7 then.

25 **MS. MUNN:** Number 181 might fit in the same

1 category we were speaking of earlier.

2 **DR. ZIEMER:** That's 181?

3 **MS. MUNN:** Uh-huh.

4 **DR. ZIEMER:** Yeah.

5 **MS. MUNN:** That's a --

6 **DR. ZIEMER:** Breast cancer with a little higher
7 POC.

8 **MS. MUNN:** And a long-term employment.

9 **MR. GRIFFON:** I'd rather see that one than the
10 previous one.

11 **DR. ZIEMER:** Yeah, 181 --

12 **MS. MUNN:** Uh-huh.

13 **DR. ZIEMER:** -- Savannah River site --

14 **MS. MUNN:** Yeah.

15 **DR. ZIEMER:** -- 33 years of work, 36 percent
16 POC. That would be in lieu of the Pantex one,
17 I think, Wanda.

18 **MS. MUNN:** Probably.

19 **DR. WADE:** What number was the Pantex one?

20 **DR. ZIEMER:** Pantex was 117. Then we're not
21 precluded from having two of those.

22 **DR. WADE:** Okay, so they're both on the list
23 right --

24 **DR. ZIEMER:** They're both on the list at the
25 moment, see where we are.

1 **MR. PRESLEY:** Hey, Paul, what kind of cancer
2 was that one from Savannah River?

3 **DR. ZIEMER:** This was another breast cancer,
4 Robert.

5 **MR. PRESLEY:** Okay.

6 **DR. ZIEMER:** That's -- Wanda was mentioning she
7 had identified that Pantex one as a breast
8 cancer. This is one with a higher POC and a
9 lot more years of work, so it might be more
10 interesting. That's number 181.

11 Okay, page 7?

12 (Whereupon, unintelligible discussions were
13 held amongst participants.)

14 **DR. ZIEMER:** We do have one in here that's very
15 close to the 50 percent mark at 48 percent. It
16 is Savannah River. It's number 199.

17 **MR. GRIFFON:** Gall bladder, didn't we just take
18 a gall bladder one from there?

19 **MS. MUNN:** We did one (unintelligible).

20 **MR. GRIFFON:** That was -- that was a different
21 place. That was (unintelligible).

22 **DR. ZIEMER:** Again, looking for some that are
23 close to the mark, perhaps that would be worth
24 (unintelligible).

25 **MR. GRIFFON:** What number was that, Paul,

1 again?

2 **DR. ZIEMER:** That was --

3 **MS. MUNN:** One ninety-nine.

4 **DR. ZIEMER:** -- 199, 47 percent, Savannah River
5 site, 28 years work, POC of 47.8.

6 **DR. DEHART:** I'd like to see (unintelligible)
7 211 who has both (unintelligible) and Rocky
8 Flats.

9 **MS. MUNN:** Okay, yeah. I was just looking at
10 that.

11 **DR. ZIEMER:** Okay, 211 is a lymphoma and
12 multiple myeloma. The person worked at Mound
13 and Rocky, 32 years of total work, POC is
14 similar, 44 percent range, so that's an
15 interesting range again.

16 **MS. MUNN:** Should we consider (unintelligible)?
17 I know we've looked at (unintelligible) a lot,
18 but we haven't looked at Pinellas much, have
19 we?

20 **DR. ZIEMER:** Which one is that, is that --

21 **MS. MUNN:** One eighty-eight.

22 **MR. GRIFFON:** I just thought we could save it
23 for another type of case. That was -- I had
24 looked at that one, too, but...

25 **MS. MUNN:** Uh-huh.

1 **DR. ZIEMER:** What's your pleasure on 188?

2 **DR. WADE:** That would give you 25 preliminary
3 selections. You could go back and weed some
4 out and have 20.

5 **MS. MUNN:** Yeah.

6 **MR. GRIFFON:** Well, we still have the other --
7 the over -- the other list.

8 **DR. ZIEMER:** Yeah, 188 is Pinellas Plant. It's
9 a 51 percent POC, non-melanoma skin, squamous
10 cell and non-melanoma skin, basal cell -- both.
11 And 25 years work.

12 **MS. MUNN:** And our total count on that type of
13 cancer's not that high.

14 **UNIDENTIFIED:** How many is that?

15 **DR. WADE:** Twenty-five by my count. Some we've
16 identified as likely (unintelligible) one or
17 the other, but 25 is where we stand now. We do
18 have the other lists (unintelligible).

19 **DR. ZIEMER:** Clarify for the Chair, the random
20 list is -- Stu, what -- what's the overlap with
21 this other list?

22 **MR. HINNEFELD:** The randomly selected list
23 could very well include cases on the other list
24 (unintelligible) assessment. Now the randomly
25 selected list shows, on the right-hand column,

1 the dose reconstruction type, so if you have a
2 dose reconstruction type on the random list
3 that is a full internal and external, it should
4 also appear on the other list, so you've
5 already kind of checked those.

6 **MR. GRIFFON:** So they're not different
7 (unintelligible).

8 **DR. ZIEMER:** So it's not like you can go
9 through this list and find neces-- you may be
10 picking the same ones (unintelligible).

11 **MR. GRIFFON:** Or you could look at some of the
12 over or underestimates -- maybe a few. I
13 wouldn't say that we'd want to focus a lot on
14 those, but...

15 **DR. ZIEMER:** It may be -- maybe it would be
16 useful to go ahead and go through that list --

17 **MR. GRIFFON:** Yeah.

18 **DR. ZIEMER:** -- so that we have the full scope
19 of what's available before we pin it down, so
20 let's go ahead and take the time to go through
21 that. Is that agreeable? So we're in the
22 random list now.

23 **MS. MUNN:** Yes, perhaps it's -- maybe I'm not
24 understanding, but -- no, I'm not
25 understanding. Forget what I was going to say.

1 **DR. WADE:** Consider it forgotten.

2 **MS. MUNN:** Yes. Unring that bell.

3 **MR. GRIFFON:** Strike that from the record.

4 **DR. WADE:** Shall we start with --

5 **DR. ZIEMER:** For example, the second one on the
6 random list, 200604--

7 **MR. GRIFFON:** That's an overestimate.

8 **DR. ZIEMER:** --002 -- oh, that's --

9 **MR. GRIFFON:** Those -- those IDs are just a new
10 -- a new set of IDs I think they created.

11 **MS. MUNN:** (Unintelligible)

12 **MR. GRIFFON:** Yeah.

13 **MS. MUNN:** Unfortunately, we can't link them.
14 That's what I was (unintelligible) link them.

15 **MR. GRIFFON:** They just generated a new set of
16 numbers (unintelligible).

17 **DR. WADE:** Well, we can probably find it if
18 you'd give me a minute. I'm sure with the
19 probability of causation I can find it.

20 **MS. MUNN:** Right.

21 **DR. ZIEMER:** Okay, so you can't tell
22 immediately...

23 **DR. WADE:** That's why you have able staff.

24 **MR. GRIFFON:** But the only overlap's going to
25 be these ones that say full external/internal.

1 **DR. WADE:** There's a few --

2 **MR. GRIFFON:** Yeah.

3 **DR. WADE:** -- of those and (unintelligible).

4 **MR. GRIFFON:** There's only a few, yeah.

5 **DR. ZIEMER:** And probably we can identify from

6 the other data whether it's the same one.

7 **DR. WADE:** Right.

8 **DR. ZIEMER:** Okay, so let's proceed down this

9 list.

10 **DR. DEHART:** I'd suggest 001 as a likely

11 (unintelligible).

12 **DR. ZIEMER:** Y-12 plant, 31 years work, male

13 genitalia, 35 percent POC. Okay, put that on

14 the list for now.

15 **DR. DEHART:** (Unintelligible) overestimate.

16 **DR. ZIEMER:** That's an overestimate.

17 **DR. DEHART:** 03 is a Rocky Flats --

18 **MS. MUNN:** 03, right.

19 **DR. DEHART:** -- (unintelligible) overestimate

20 (unintelligible).

21 **MS. MUNN:** Good.

22 **DR. ZIEMER:** So suggesting 03 then -- 003,

23 Rocky Flats, nervous system, 42 percent POC, 19

24 years -- 20 years (unintelligible).

25 Any others?

1 **MS. MUNN:** 006 is an overestimate.

2 **MR. GRIFFON:** It's Bethlehem Steel. I think
3 we've been up and down through that model.

4 **MS. MUNN:** Well...

5 **DR. ZIEMER:** Yeah, that's -- Bethlehem Steel
6 lung, that's probably -- that's --

7 **MR. GRIFFON:** Yeah.

8 **DR. ZIEMER:** -- pretty straightforward.
9 (Unintelligible) other nominee from page 1,
10 we'll go to page 2.

11 **MS. MUNN:** If we won't do underestimates, then
12 we're not going to do anything over 50 percent.

13 **DR. ZIEMER:** I'm sorry, Wanda, did you have a
14 comment?

15 **MS. MUNN:** I was just commenting that if we're
16 not going to do any underestimates, then that
17 automatically eliminates anything with a POC
18 over 50.

19 **MR. GRIFFON:** That wasn't my rationale for not
20 wanting that case, though. It's that we've
21 been through Bethlehem Steel --

22 **MS. MUNN:** I understand.

23 **MR. GRIFFON:** Okay.

24 **MS. MUNN:** We have done that.

25 **MR. GRIFFON:** I still -- yeah.

1 **DR. ZIEMER:** And page 2?

2 **MS. MUNN:** Twenty-eight would probably be a
3 better Pantex choice.

4 **DR. ZIEMER:** Twenty-eight -- 28 is a colon
5 cancer, Pantex plant, 35 percent POC, 32 years
6 of work.

7 **MS. MUNN:** There 39, small POC but interesting
8 site and disease.

9 **MR. GRIFFON:** Only a year and (unintelligible).

10 **DR. ZIEMER:** Only a year and a half of work,
11 which probably, in part, accounts for the low
12 POC, I would think.

13 **MS. MUNN:** Yeah, I would imagine.

14 **DR. ZIEMER:** And that's an overestimate.

15 **MS. MUNN:** Yeah.

16 **DR. ZIEMER:** You want to see that anyway?

17 **MS. MUNN:** Well, just depends on what we're
18 trying to look at.

19 **DR. ZIEMER:** Any -- any others on that page?

20 **MR. CLAWSON:** (Unintelligible)

21 **DR. ZIEMER:** Did Brad -- you're suggesting 41?

22 **MR. CLAWSON:** (Unintelligible)

23 **DR. ZIEMER:** Nevada Test Site, 41 is a male
24 genitalia, 33 percent POC, ten years work,
25 overestimate. Okay.

1 Ready to go to page 3?

2 **MR. GIBSON:** Paul?

3 **DR. ZIEMER:** Uh-huh, Mike.

4 **MR. GIBSON:** What if we tried to find an
5 overestimate and a underestimate from the same
6 site and see if we can see any dissimilarities
7 or anything (unintelligible).

8 **DR. DEHART:** Forty-five is a (unintelligible).

9 **MS. MUNN:** I don't think we have, either.

10 **DR. ZIEMER:** Number 45, 26 percent POC, 29
11 years work. Keep Mike's comment in mind as you
12 go here.

13 **MS. MUNN:** If you look at 48 and 52 --

14 **DR. ZIEMER:** Hang on just a second -- just a
15 second. We're still on 45. Comment on 45?

16 **MR. GRIFFON:** I was just -- I was just going to
17 keep in -- you know, as we're looking through
18 these I'm not -- it's not clear in the ma-- in
19 what we have in front of us whether -- like for
20 Sandia, it may not be anything site-specific.
21 It may be like the 28 radionuclide model that
22 they used that's across the complex, so we
23 won't learn anything about Sandi-- I'm not
24 sure, but you know, without seeing more details
25 we won't know, so I -- that's -- that's why,

1 you know, I would say let's maybe be cautious
2 about how many overestimates we pick 'cause we
3 may not get what we think we're going to get.
4 You know, it might not be anything site-
5 specific. It might be the generic models that
6 they used. So I don't know, maybe we can
7 tentatively as-- you know, and have Stu check
8 on that or something, I -- you know.

9 **MR. PRESLEY:** Paul, is this Sandia Albuquerque
10 or Sandia Livermore?

11 **DR. ZIEMER:** It's Sandia National Lab, so that
12 is Albuquerque, is it not?

13 **MS. MUNN:** That's Albuquerque.

14 **MR. PRESLEY:** That's Albuquerque.

15 **MS. MUNN:** Based on what Mike was suggesting
16 earlier, we might look at 48 and 52, both from
17 X-10.

18 **DR. ZIEMER:** Forty-eight is an --

19 **MS. MUNN:** An underestimate.

20 **DR. ZIEMER:** -- an underestimate from Oak Ridge
21 and -- and 52 --

22 **MS. MUNN:** Is an overestimate.

23 **DR. ZIEMER:** -- is an -- so the -- oh -- oh, 48
24 is an underestimate --

25 **MS. MUNN:** Uh-huh.

1 **DR. ZIEMER:** -- 52 is an overestimate.
2 Now it's -- there's always these other
3 variables, but -- but nonetheless one can look
4 and see how those are possibly being carried
5 out.

6 **DR. DEHART:** Forty-eight, and what was the
7 other one?

8 **DR. ZIEMER:** Forty-eight is Oak Ridge National
9 Lab, lung cancer, 53 percent POC, 34 years of
10 work and it's an underestimate reconstruction.
11 Fifty-two is also Oak Ridge National Lab,
12 pancreatic cancer, 18 percent POC, 25 years
13 work, it's an overestimate. And you know,
14 that's -- that's one sort of mirror image one.
15 There might be some others, Mike, as we go
16 along --

17 **MR. GIBSON:** (Off microphone) (Unintelligible)

18 **DR. ZIEMER:** -- if you spot, you know, another
19 Y-12 -- we've got a Y-12 overestimate here and
20 we've got a Rocky Flats overestimate. You want
21 to keep your eyes open for underestimates that
22 would mirror image those.

23 **DR. DEHART:** But notice most of the
24 underestimates are lung.

25 **DR. ZIEMER:** Yes, yes. Yeah. Okay, are we

1 done with page 3? We're going to page 4 of 10.

2 **DR. ROESSLER:** Paul?

3 **DR. ZIEMER:** Yes.

4 **DR. ROESSLER:** If you look at number 64, it's
5 Pantex and breast cancer. This one might be
6 more informative than the other one because it
7 is an overestimate. It comes pretty close,
8 it's a 44 POC. We might get more information
9 out of that one than the other one that had a
10 POC of -- of around 1.

11 **DR. ZIEMER:** Uh-huh.

12 **MR. GRIFFON:** Which number is this?

13 **DR. ROESSLER:** Sixty-four.

14 **DR. ZIEMER:** What was that other Pantex one?
15 It was from the other list. The other -- the
16 other Pantex breast cancer was 117 on the first
17 list. POC there was only 2 percent. But that
18 -- and that was a full dose reconstruction.
19 This is a -- an overestimate, which in itself
20 might have caused that difference in -- in
21 those numbers.

22 **MS. MUNN:** Maybe we could take 117 off.

23 **DR. ZIEMER:** That's -- or you may want to see -
24 - may want to see both of them because
25 they're...

1 **MS. MUNN:** For comparison.

2 **DR. ZIEMER:** Well, first the -- the --

3 **MR. GRIFFON:** One's from the '90s.

4 **DR. ZIEMER:** Yeah, different work decades and
5 different -- many different years of work, but
6 nonetheless may be of interest.

7 More on page 4?

8 (No responses)

9 Ready for page 5?

10 **DR. DEHART:** Ninety-six is another one of those
11 multiple report sites, and actually multiple
12 diagnoses, as well.

13 **DR. ZIEMER:** Okay, 96, it's male genitalia and
14 non-melanoma basal -- skin basal cell cancer,
15 so there's two cancers. There's two sites,
16 Paducah and Oak Ridge National Lab. POC is 54
17 percent, years worked 36 and it's an
18 underestimate.

19 Any others of interest on page 5?

20 (No responses)

21 Ready for page 6?

22 (No responses)

23 None on page 6?

24 **MR. GRIFFON:** Pass.

25 **DR. ZIEMER:** Okay, page 7.

1 **DR. DEHART:** Six cases (unintelligible).

2 **MS. MUNN:** Uh-huh.

3 **DR. ZIEMER:** None on page 7? Wanda?

4 **MS. MUNN:** What about -- I don't think we've
5 had an Alcoa before, 144, an overestimate,
6 colon.

7 **MR. GRIFFON:** But again, I -- I don't know what
8 that means 'cause I don't think it's going to
9 be anything to do with Alcoa. You know, the
10 model's probably just going to be a generic
11 overestimate technique, or underestimate
12 technique.

13 **MS. MUNN:** Well --

14 **MR. GRIFFON:** I guess we can look into it, but
15 I --

16 **MS. MUNN:** -- it's just we won't know until we
17 see the case.

18 **MR. GRIFFON:** Yeah.

19 **MS. MUNN:** And especially with AWEs, how can
20 you tell any more?

21 **MR. GRIFFON:** I don't know. I -- I don't know
22 that they have that --

23 **MS. MUNN:** It's a 1940.

24 **MR. GRIFFON:** -- database.

25 **MS. MUNN:** That's --

1 **MR. GRIFFON:** They started employment in 1940,
2 yeah.

3 **MS. MUNN:** Yeah, so --

4 **DR. ZIEMER:** Well --

5 **MS. MUNN:** -- if we don't see the case, you
6 can't tell.

7 **DR. ZIEMER:** -- it might be of interest to look
8 at, 144, Aluminum Company of America -- Alcoa -
9 - colon cancer, 41 percent POC, 45 years work,
10 overestimate.

11 **MR. GRIFFON:** No, my only point on those,
12 Wanda, is that if we're reviewing the same
13 model -- you know, if we think we're reviewing
14 different sites but it's always the same model,
15 then it's probably -- we won't -- we don't want
16 to do a lot of those, you know.

17 **MS. MUNN:** Well, that's true.

18 **MR. GRIFFON:** But it's hard to tell until we
19 see the case.

20 **MS. MUNN:** Yeah, without seeing the case, can't
21 make that judgment.

22 **DR. ZIEMER:** Page 8?

23 **DR. DEHART:** One five four.

24 **DR. ZIEMER:** One five four.

25 **DR. DEHART:** Basal cell carcinoma, three

1 different work sites.

2 **DR. ZIEMER:** And a POC that just bumped over
3 the edge, 51 percent POC.

4 **MR. GRIFFON:** (Unintelligible) underestimate.

5 **DR. ZIEMER:** Underestimate. Non-melanoma basal
6 cell is the diagnosis, Idaho National Lab, Los
7 Alamos National Lab, Argonne National Lab West,
8 which is in the Idaho complex but nonetheless
9 three different sites. Okay. That's
10 interesting.

11 Any others on page 8?

12 **MS. MUNN:** It might be -- I have a personal
13 interest in 166, even though it's a very low
14 POC and practically no work experience. That's
15 -- that particular site may show up again in
16 some other things.

17 **UNIDENTIFIED:** (Off microphone)
18 (Unintelligible)

19 **MS. MUNN:** Again, that's just a personal
20 interest of mine. I can always look that up
21 (unintelligible).

22 **DR. ZIEMER:** Years worked, it looks like about
23 a month.

24 **MS. MUNN:** Yeah. I don't think it even meets
25 the criterion, would it?

1 **DR. ZIEMER:** Hallam Sodium Graphite Reactor, is
2 that considered a separate site?

3 **UNIDENTIFIED:** It's at Hanford, isn't it?

4 **MS. MUNN:** Yeah -- no, no. No, Hallam was not
5 Hanford. Yeah, that --

6 **DR. ZIEMER:** Stu, or anyone --

7 **MR. HINNEFELD:** Well, off the top of my head,
8 I'm not terribly familiar with it off the top
9 of my head.

10 **DR. ZIEMER:** -- is Hallam considered a site --

11 **MR. HINNEFELD:** In order to be listed there, it
12 must be listed as a -- as a specified site -- a
13 covered site in order for us to have it in the
14 database there.

15 **DR. ZIEMER:** This says the person worked a
16 tenth of a year, which is roughly a month, so
17 they're --

18 **UNIDENTIFIED:** (Off microphone)
19 (Unintelligible)

20 **MS. MUNN:** Yeah, maybe --

21 **DR. ZIEMER:** Okay.

22 **MS. MUNN:** -- not, just my (unintelligible).

23 **DR. ZIEMER:** One month in the 1960s.

24 **MS. MUNN:** I'll look it up myself.

25 **DR. ZIEMER:** Well, you want -- do you want to

1 have it looked at or not?

2 **MS. MUNN:** No -- no. (Unintelligible).

3 **DR. ZIEMER:** Off the list. Any others on that
4 page?

5 (No responses)

6 How about page 9?

7 **MR. GIBSON:** (Off microphone) Sixty-nine's an
8 overestimate (unintelligible).

9 **DR. ZIEMER:** Oh, 60 -- 69?

10 **MR. GRIFFON:** We've (unintelligible) through
11 that -- 169, I think it was on the last page,
12 8.

13 **DR. ZIEMER:** You want to add that?

14 **MR. GIBSON:** (Off microphone) If you don't mind
15 the jump back a couple of pages, there's a
16 (unintelligible).

17 **DR. ZIEMER:** Okay, 169 is Mound Laboratory,
18 breast cancer, 30 percent POC, 26 years of
19 work.

20 **MR. GIBSON:** (Off microphone) And if you jump
21 back to case 95 on page 5, there's an
22 underestimate (unintelligible) two years work
23 in the '60s, so (unintelligible) that case
24 (unintelligible) difference.

25 **DR. ZIEMER:** At the Mound plant.

1 **MR. GIBSON:** Right.

2 **DR. ZIEMER:** And that's 51 -- case 095 is 51
3 percent POC, non-melanoma skin, basal cell,
4 Mound plant, 23 years work.

5 **MR. GRIFFON:** Back on page 9?

6 **DR. ZIEMER:** Uh-huh, page 9 again? Did you
7 have one on page 9? Anyone on page 9?

8 (No responses)

9 None on page 9? And there's a few more on page
10 10.

11 **DR. DEHART:** (Off microphone) (Unintelligible)
12 back to page 9, there's a diagnosis of
13 pancreatic cancer. We haven't seen many of
14 those. They are rather rare. This is 188.

15 **DR. ZIEMER:** One eight eight is a Nevada Test
16 Site case, (unintelligible) years of work,
17 pancreatic cancer, POC of 32 percent by the
18 overestimate procedure.

19 **MR. PRESLEY:** Hey, Paul, that's one I'd like to
20 see put in there if it's possible.

21 **DR. ZIEMER:** The pancreatic?

22 **MR. PRESLEY:** Yeah.

23 **DR. ZIEMER:** Yeah, we got it. Thank you, Bob.

24 **DR. WADE:** As if by the wisdom of Solomon,
25 that's 40 cases.

1 **UNIDENTIFIED:** Of course.

2 **DR. WADE:** Now again, we have some we need
3 obviously to -- to consider.

4 **MR. GRIFFON:** Is that 40?

5 **DR. WADE:** By my count.

6 **DR. ZIEMER:** What -- what we -- yeah. What we
7 might want to do is -- is consider these as a
8 recommendation to the full committee, but in
9 the meantime you'll have a chance -- 'cause I
10 think the most of you -- first time -- we just
11 got this list this morning, and you may wish to
12 study it and at the time of the full Board
13 meeting this can be amended to add or delete
14 cases. Would that be agreeable? This will
15 give us a base of 40 as our starting point to
16 make a recommendation to the committee. Right?
17 Roy.

18 **DR. DEHART:** Is --

19 **MR. GRIFFON:** Is there any way -- I'm sorry.

20 **DR. DEHART:** Is it possible for someone to kind
21 of preview these cases and, where they're
22 formulas and that's all, to let us know?

23 **DR. ZIEMER:** Whether they're -- they're done by
24 a sort of system-wide approach versus a site-
25 specific --

1 **MR. GRIFFON:** Just for those
2 overestimate/underestimate (unintelligible) --

3 **DR. ZIEMER:** -- is that something we could get
4 readily, Stu?

5 **MR. HINNEFELD:** Well, we can get it. It'll
6 take some time. I'm trying to figure out the
7 best way to do it 'cause it'll involve looking
8 at each case. I mean that -- that piece of
9 information isn't databased, and so it will
10 involve looking at each dose reconstruction and
11 so it'll take a little time. I don't know if I
12 can get it before this afternoon or not.

13 **DR. DEHART:** The point was made that it's only
14 the over/underestimates.

15 **MR. HINNEFELD:** And it --

16 **MR. GRIFFON:** Yeah, it's for 13 cases -- or 15
17 cases, I guess, yeah, so still, you know.

18 **MR. HINNEFELD:** Okay, we can give it a shot, I
19 think. But I -- I really don't know because it
20 will require an HP reviewer or an HP to look at
21 every case and say what was the internal method
22 and external method, and they may come up --
23 you know, they could be generic, you know, and
24 tell you nothing about the site at all, if I
25 understand the question.

1 **DR. ZIEMER:** Yeah.

2 **MR. HINNEFELD:** So it takes a little time to do
3 it. We can give it a try.

4 **DR. ZIEMER:** Perhaps if you're able to get
5 that, that will inform the final selection
6 process.

7 **MR. HINNEFELD:** Okay.

8 **DR. ZIEMER:** Even -- even if it's by the end of
9 the week, if we need to modify.

10 **MR. HINNEFELD:** Okay.

11 **DR. ZIEMER:** Can I take it by consent that
12 we'll consider this set of -- do we have 40?
13 Lew, you're counting. Right?

14 **DR. WADE:** By my count. What I'll do is I'll
15 make up a list and get it to everyone as to --

16 **DR. ZIEMER:** Lew's pretty good at counting to
17 40.

18 **DR. WADE:** Well, I get much above 25, I get
19 into some problems. I'm really good with the
20 low numbers.

21 **DR. ZIEMER:** So without objection, we'll
22 consider this -- at least the first cut on this
23 -- as a recommendation to the full Board later
24 in the week, and --

25 **DR. WADE:** Later today.

1 to 11:20 a.m.)

WORK GROUP STATUS REPORTS:

MR. MARK GRIFFON, WORK GROUP CHAIR

2 DR. ZIEMER: I'll call the session back to
3 order. There's been a little delay 'cause
4 we're waiting for some paperwork to arrive, but
5 in the meantime there's several pieces to the
6 workgroup report -- workgroup -- Mark Griffon's
7 workgroup is going to report a little bit on
8 the Y-12 site profile and give us an update,
9 likewise on the Rocky Flats site profile, and
10 then some individual information or reports
11 concerning the dose reconstruction reviews,
12 procedures reviews and site profile reviews.
13 Maybe you'll want to start at the back end of
14 this --

15 MR. GRIFFON: Yeah, yeah.

16 DR. ZIEMER: -- and give us an update, Mark, on
17 where we are on the site profile reviews and
18 then -- in a moment we'll get to Y-12 and
19 Rocky.

20 **PROCEDURES REVIEWS**

21 MR. GRIFFON: Well, I -- I can't -- you picked
22 the one item that I'm not prepared to do, but I
23 can do the procedures review -- I think
24 verbally we can talk about the procedures

1 review, where we're at with that, and the case
2 reviews. And -- and we have the modified --
3 the edited matrices for -- for those are
4 coming, but I can at least describe where we're
5 at and the details will be available in the
6 handouts.

7 The procedures review, and this is the first
8 procedures review we started. Most of them --
9 I'm not sure exactly when this was initiated,
10 but it was a while ago. It's got many of the
11 earlier procedures, and at this point we've --
12 we've taken it through our workgroup process.
13 We have NIOSH resolutions for I believe all --
14 all of the findings. And I -- I should say,
15 and you'll see this when you get the -- the
16 matrix, some of these resolutions are that
17 NIOSH will modify another -- a procedure, or
18 NIOSH is drafting a new procedure that will
19 supersede this previous procedure. And I
20 talked to Stu Hinnefeld earlier and we are -- I
21 think NIOSH is going to recommend some sort of
22 a -- a tracking system so that we don't lose
23 these items. But as far as a response -- you
24 know, as far as going through all the findings
25 and a -- a path forward on all the findings, I

1 think we have that and we have the final matrix
2 for the procedures review.

3 SC&A is -- is also been tasked with doing
4 additional procedures review for some new
5 procedures and workbooks, I believe, so that's
6 a separate task. But for that first item, I
7 think we're in final form, and we'll have that
8 ready and -- and I guess we can present it to
9 the Board -- right, Paul? We --

10 **DR. ZIEMER:** Yes --

11 **MR. GRIFFON:** Yeah.

12 **DR. ZIEMER:** -- Mark, the findings matrix I
13 think you distributed last week -- somebody
14 did, an undated copy of the matrix, but --

15 **MR. GRIFFON:** Okay, I'm -- undated --

16 **DR. ZIEMER:** -- do I have the -- I'm wondering
17 if I have the very final copy.

18 **MR. GRIFFON:** Well, that's what -- the one that
19 LaShawn is printing off is the -- and I think I
20 did some editing on this as -- as recently as
21 last Friday, so I wouldn't guarantee that's the
22 latest.

23 **DR. ZIEMER:** Well, in any event, whether this
24 is the actual final copy or the one that you're
25 handing out, which may or may not be identical,

1 but I think we basically are at closure on all
2 the Board actions, are we not? With the
3 understanding that there's some tracking
4 involved in some of those closure items.

5 **MR. GRIFFON:** Right.

6 **DR. ZIEMER:** But I don't think there's any
7 unresolved issues between SC&A and NIOSH on the
8 outcomes. Is that a correct statement?

9 **MR. GRIFFON:** That -- that's correct. I guess
10 -- I -- I guess, you know, the only question
11 would be, and this is a tracking question, is
12 that a lot of times NIOSH indicated they would
13 modify it, but obviously we haven't seen how --
14 necessarily how it was modified, so --

15 **DR. ZIEMER:** Right, and in many of these we
16 didn't have a specified date for modification,
17 just understanding that modification would
18 come.

19 **MR. GRIFFON:** Right.

20 **DR. ZIEMER:** And in some cases I depended on
21 the urgency or whether in fact that procedure
22 was even being used anymore.

23 **MR. GRIFFON:** That's correct, we did try to
24 prioritize --

25 **DR. ZIEMER:** So I think for -- for practical

1 purposes, we can say that we have completed the
2 -- the findings re-- or the procedures findings
3 matrix.

4 **MR. GRIFFON:** Yes. Yeah.

5 **DR. ZIEMER:** Yeah. And we will have the final
6 copy for the Board yet at this meeting. Is
7 that correct?

8 **MR. GRIFFON:** Yes.

9 **DR. ZIEMER:** Okay.

10 **MR. GRIFFON:** And if it remains undated, I
11 should probably put a header and footer --

12 **DR. ZIEMER:** I -- I --

13 **MR. GRIFFON:** -- with dates on it.

14 **DR. ZIEMER:** Yeah, I would like to see -- make
15 sure that -- because there've been several
16 versions --

17 **MR. GRIFFON:** Yeah.

18 **DR. ZIEMER:** -- of this and you want to make
19 sure you're looking at the right version.
20 Board members, any questions on the matrix or
21 on -- on the procedures review?

22 **DR. WADE:** How will then -- is -- is it the
23 Board's pleasure then to transmit these
24 findings to the Secretary in some way or how
25 would we bring closure to the issue? This goes

1 again to the -- to the GAO sort of comments
2 that were made as to, you know, bringing issues
3 to closure. So just something to think about.

4 **MR. GRIFFON:** I -- I think we probably need to
5 -- to do a similar letter report --

6 **UNIDENTIFIED:** (Off microphone)
7 (Unintelligible)

8 **MR. GRIFFON:** Yeah -- saying what we --

9 **DR. ZIEMER:** This could be a letter report
10 simply describing the process that was used,
11 what the outcome is and we would probably not
12 necessarily have to ask the Secretary to direct
13 anything be done, but simply inform what has
14 been done, indicate that NIOSH is -- is
15 prepared to track any open issues and it would
16 seem to me that would suffice.

17 **MR. GRIFFON:** Yeah.

18 **DR. WADE:** If we could have a letter like that
19 drafted for the consideration of the Board when
20 we met in June, then we could put this item --

21 **DR. ZIEMER:** That's correct.

22 **DR. WADE:** -- so --

23 **MR. GRIFFON:** I think we can certainly do that.

24 **DR. ZIEMER:** Yeah.

25 **MR. GRIFFON:** We can draft a letter at the

1 workgroup --

2 **DR. ZIEMER:** The working group can simply draft
3 the letter that would go to the Secretary,
4 reporting on the -- the final outcome. That
5 would be good.

6 **MR. GRIFFON:** Sure.

7 **DR. ZIEMER:** Board members, any other comments
8 on that particular item?

9 (No responses)

10 Okay.

11 **INDIVIDUAL DOSE RECONSTRUCTION REVIEWS**

12 **MR. GRIFFON:** Then the...

13 **DR. ZIEMER:** Go ahead, Mark.

14 **MR. GRIFFON:** The second set of cases is -- is
15 another item, so we have the case reviews,
16 which are the individual dose reconstruction
17 case reviews, and we did a first set of cases -
18 - we reviewed them and I believe we -- we did
19 transmit a letter report?

20 **DR. ZIEMER:** I -- I have not transmitted that
21 to --

22 **MR. GRIFFON:** Oh.

23 **DR. ZIEMER:** -- the Secretary yet. I've still
24 to merge the data we got from Stu into the
25 sample letter, and that's almost ready to go

1 and I will distribute that to the Board shortly
2 --

3 **MR. GRIFFON:** Okay.

4 **DR. ZIEMER:** -- in preparation for transmitting
5 it to the Secretary.

6 **MR. GRIFFON:** So the Board --

7 **DR. ZIEMER:** We basically approved a draft
8 letter report already to the Secretary, but it
9 -- it had some blanks to fill in on the various
10 numbers, which Stu has generated for us.

11 **MR. GRIFFON:** Okay.

12 **DR. ZIEMER:** So that's -- the first 20 cases
13 are basically ready to go.

14 **MR. GRIFFON:** Okay. The -- the second set of
15 cases, where we stand as of -- of late last
16 week, I got -- I received some edits from NIOSH
17 on some of the NIOSH resolutions, and as of
18 last night I received some edits from SC&A, so
19 I did a final editing of this this morning, so
20 remember the time period when this was
21 produced, so there may still be some editorial
22 problems. In the second set of cases, most
23 actions I believe at this point are also
24 resolved. There are a few blanks in the NIOSH
25 resolution column that remain to be completed,

1 and there's also the final column, which I
2 haven't completed yet, which is the Board
3 action. Which if you remember, we have a 1
4 through 7 sort of system, but I was -- I was
5 getting edits real time here so I didn't
6 complete that final listing, either.
7 But this -- I think this second set is very
8 close to being closed out. We've had dis--
9 workgroup discussions with SC&A and NIOSH, and
10 I think we have agreement on all the NIOSH
11 resolutions at this point, so we're ready to
12 close it out. It's just a matter of fine-
13 tuning the -- the Board actions, as well as a
14 couple of the -- of the NIOSH resolution
15 fields. And we're also getting copies of that.
16 I think there's -- I'm not sure how this will
17 appear in the black and white copy, but there's
18 a few NIOSH resolutions that I left highlighted
19 that we're still trying to resolve, so -- but
20 that's a handful, maybe four or five out of the
21 -- out of the 40-page matrix, so...

22 **DR. ZIEMER:** So it appears that what we would
23 be looking at would be final closure at the
24 next Board meeting to approve the final column,
25 which are the Board actions. Is that correct?

1 **MR. GRIFFON:** Yeah. I believe so, yeah.

2 **DR. WADE:** Next Board meeting meaning the June
3 meeting?

4 **MR. GRIFFON:** Yeah.

5 **DR. WADE:** Okay. And again, if -- it's
6 possible we could also have a draft letter to
7 the Secretary, or you may want to wait until
8 after that meeting to -- to do that.

9 **DR. ZIEMER:** And I would suspect the draft
10 letter would look somewhat similar in -- in --
11 at least framework-wise. Not in specifics, but
12 it would be constructed in a similar manner.

13 **MR. GRIFFON:** And my -- my -- my hope would be
14 that we'd have a third set, which is out there
15 which we've also had deliberations on the
16 workgroup level, and -- and we -- we also in
17 most cases came to resolution on all the
18 findings. However, there are -- are several --
19 and this is more than in the second set;
20 there's quite a few where my NIOSH resolution
21 says that NIOSH needs to further investigate,
22 and I think we don't -- we don't want to leave
23 it that open-ended, so we're -- we're holding
24 off on the third. But I would -- I would hope
25 that we could have the second and third set

1 closed by the next meeting. That would be my
2 hope. We'd have a couple of months in between
3 meetings and I think we're far enough along on
4 both those sets that we can close them both out
5 and maybe transmit them under one letter
6 report.

7 **DR. WADE:** And then where do we stand on the
8 fourth set and what on --

9 **MR. GRIFFON:** On --

10 **DR. WADE:** -- our plan?

11 **MR. GRIFFON:** On the fourth set I believe where
12 we stand -- and I might need some help here,
13 but I -- I -- SC&A submitted their report and
14 we -- they are in the process of developing a
15 matrix from their -- their full report, but
16 they haven't provided the matrix to the
17 workgroup or to NIOSH, so we --

18 **DR. ZIEMER:** No, we -- the Board has just --

19 **MR. GRIFFON:** -- we haven't started the
20 deliberation process.

21 **DR. ZIEMER:** The Board has just --

22 **MR. GRIFFON:** Right.

23 **DR. ZIEMER:** -- received, within the past week,
24 I think, roughly --

25 **MR. GRIFFON:** Right.

1 **DR. MAURO:** That's correct.

2 **DR. ZIEMER:** -- the set -- or the comments on
3 the fourth set. John, any -- any other --

4 **DR. MAURO:** Yes, really we're -- we're at the
5 point where the product has been delivered.
6 It's a big report. As you may have noticed
7 there was a supplement submitted because of
8 some production problems that everybody on the
9 Board received where we had to replace the --

10 **DR. ZIEMER:** There were a couple of page
11 replacements, yeah.

12 **DR. MAURO:** -- page replacements, which is the
13 checklist. So in effect right now, what we
14 have before the Board and the working group is
15 our work product. We are now at the stage now
16 to begin the closeout process where we build a
17 matrix and go through the process. So we're at
18 what I would consider to be the beginning of
19 the issue resolution/closeout process for the
20 fourth set.

21 **MR. GRIFFON:** And I -- I should say, in between
22 the last meeting and this meeting SC&A did
23 conduct the meetings with individual Board
24 members --

25 **DR. MAURO:** Oh, yes.

1 **MR. GRIFFON:** -- over -- over their particular
2 cases and --

3 **DR. MAURO:** Yeah, I'd like to point out that --
4 yeah, to -- to get to that part -- the product,
5 the thick report, to get to that point -- of
6 course before we put that out, we do have our
7 what I call one-on-one dialogues where we --
8 where the -- each designated members of the
9 teams, the two-man teams, have a chance to
10 spend a couple of hours with Hans and Kathy
11 going over the designated cases where there is
12 inter-- interchange, clarification, then we
13 revise our drafts that went out to those
14 individuals and put out the product that you
15 see. So the product you have now is in fact
16 our draft deliverable that now brings us over
17 that what I consider the watershed into the
18 closeout process where a matrix needs to be
19 built and the process needs to be -- to begin,
20 as we did for the last set.

21 **DR. ZIEMER:** Thank you.

22 **MR. GRIFFON:** And I believe we'll -- we'll --
23 you know, I -- I would say we -- we'll roll
24 that right into our workgroup process and --
25 and those -- I mean tho-- those -- they're --

1 they're difficult on the Board level, but they
2 work very well on the workgroup level where we
3 go through finding by finding and have the
4 technical discussions about each finding. So I
5 would assume we'd roll that right into the
6 workgroup process.

7 **DR. ZIEMER:** Right. Any comments on any of
8 these first four sets? So good progress being
9 made and thank you to the workgroup for helping
10 to facilitate that.

11 **DR. WADE:** One consideration could be --
12 embodied in the first three sets we have a
13 year's worth of work. We're doing these dose
14 reconstructions by year, and that's -- we have
15 -- if you'd remember, we have some -- I forget
16 the terminology we used for the -- the various
17 reviews, but it might be appropriate to offer
18 an annual report of dose reconstruction
19 reviews. Some -- take the first three, roll
20 them together and then issue some summary
21 statement to the Secretary. Again, I think it
22 shows that the Board is indeed on task and
23 producing product, and I would suggest that we
24 do that for the first 60 at the next meeting.

25 **DR. MAURO:** I'd like to add to that and that is

1 very much within our scope of work, as defined
2 explicitly in our Task IV work. So yes, we owe
3 you that product, and it's probably an
4 appropriate time to do that.

5 **DR. WADE:** And that's the first year's worth of
6 work.

7 **DR. MAURO:** First set of three, the three sets
8 of 20, which constitute one year's worth of
9 work.

10 **DR. ZIEMER:** Right.

11 **DR. MAURO:** It -- it is ap-- an appropriate
12 time to -- to regroup and sort of capture the -
13 - and we're in a very good position to do that
14 because we can -- all that data, those -- those
15 checkmarks, they're all sitting in a relational
16 database, and there's a lot we can do. In
17 fact, how we present that material and capture
18 it probably is a -- is a good subject for a
19 working group meeting on how to summarize that
20 information.

21 **DR. ZIEMER:** Okay, thank you.

22 **DR. WADE:** So can we assume that the working
23 group, with input from SC&A, will consider the
24 issue of the -- the first annual review before
25 the next Board meeting, and then product would

1 come to the next Board meeting so the Board
2 could close on this issue?

3 **MR. GRIFFON:** Yeah. Yeah.

4 **DR. WADE:** I think that's an excellent -- an
5 excellent milestone.

6 **DR. ZIEMER:** Okay, without objection, we'll
7 proceed on that basis then.

8 **DR. WADE:** And this is the hardest working
9 working group I've ever encountered in my time
10 in government, so...

11 **DR. ZIEMER:** Mark, do we have the materials
12 ready for the Y-12 --

13 **DR. WADE:** Well, we'll have to look...

14 **MR. GRIFFON:** Okay, this'll be hard without the
15 matrix.

16 **DR. ZIEMER:** Without the matrix?

17 **DR. WADE:** We can go on to the -- the next it--

18 **DR. ZIEMER:** What about -- yeah.

19 **SITE PROFILE REVIEWS**

20 **DR. WADE:** If you remember, the Board set up
21 working groups to look at individual site
22 profile reviews, and I thought maybe we could
23 just review that and -- I don't know that
24 there's any status to be given, but just sort
25 of to remember where we are on that and then

1 see what our path forward is.

2 **DR. ZIEMER:** Right.

3 **DR. WADE:** For example, if we look at the
4 working group on the Savannah Test Site (sic),
5 that working group was chaired by Dr. DeHart,
6 included Gibson, Griffon and Lockey. What say
7 you, Mr. Chair?

8 **DR. ZIEMER:** A quick status report, in other
9 words.

10 **DR. DEHART:** Currently we're waiting the two
11 documents for purpose of comparison and seeing
12 how they could be matrixed, as we've done with
13 the other sites.

14 **DR. WADE:** Those two documents are the -- the
15 NIOSH report and --

16 **DR. DEHART:** The NIOSH report and the -- our
17 research group who -- who are yet to conduct
18 that. They -- they've been kind of heavy-hit -
19 -

20 **DR. WADE:** I understand --

21 **DR. DEHART:** -- recently.

22 **DR. WADE:** -- completely.

23 **DR. ZIEMER:** Savannah River.

24 **MR. GRIFFON:** Who's on that team?

25 **DR. WADE:** DeHart chairs, Gibson, Griffon and

1 Lockey, in my notes. You don't have to chair
2 that --

3 **MR. GRIFFON:** I didn't know I was on that one.

4 **DR. WADE:** Well, there -- okay, and then we
5 have the Nevada Test Site group chaired ably by
6 -- by Robert Presley, Clawson, Munn, Roessler.

7 **DR. ZIEMER:** Robert, are you still on the line?
8 Robert Presley? Maybe he went --

9 **MR. PRESLEY:** (Unintelligible)

10 **DR. ZIEMER:** Can you give us a quick update on
11 the status of the workgroup on Nevada Test
12 Site?

13 **MR. PRESLEY:** Everybody should have their
14 (unintelligible), and I had hoped
15 (unintelligible) update (unintelligible) and
16 said that we're going to have a -- the agenda I
17 have says that we're going to go through
18 (unintelligible) and all tomorrow. Is that
19 correct?

20 **DR. ZIEMER:** That's correct on -- on the --

21 **DR. WADE:** SEC.

22 **DR. ZIEMER:** -- on the SEC. Right now we're
23 simply talking about the site profile, not the
24 SEC per se.

25 **MR. PRESLEY:** We haven't met on the site

1 profile.

2 **DR. ZIEMER:** Has not met yet, so that is the
3 status of it. Okay. Two different things.
4 Okay.

5 **DR. WADE:** Then Hanford, chaired by Dr. Mel--

6 **MR. GRIFFON:** Can you go over who was on Nevada
7 Test Site?

8 **DR. WADE:** Nevada Test Site is Presley chair,
9 Clawson, Munn, Roessler. These are my notes,
10 anyway. And then Hanford group chaired by
11 Melius, Clawson, Poston, Ziemer.

12 **DR. ZIEMER:** Right. Melius is not here, but we
13 -- we have not met on the Hanford material --
14 we're --

15 **DR. WADE:** And I think in all these cases --

16 **DR. ZIEMER:** -- awaiting some materials.

17 **DR. WADE:** Right. In all of these cases,
18 events have overtaken these groups. There's
19 been just a tremendous burden on everyone, but
20 our purpose here is just to remind you that
21 these are -- these are works in progress and,
22 you know, as hopefully, you know, the road
23 clears a bit -- he says naively -- there'll be
24 an opportunity to -- to take on this work. We
25 know who Y-12 is, that group.

1 **DR. ZIEMER:** Okay. So --

2 **MR. GRIFFON:** I've got to go look for --

3 **DR. ZIEMER:** Are we -- are you looking only for
4 Y-12 material, or Rocky --

5 **MR. GRIFFON:** Y-12 and Rocky both.

6 **DR. ZIEMER:** Both -- both... Any preliminary
7 remarks you want to make in terms of what's
8 been done before we would look at the...

9 **MR. GRIFFON:** Yeah, I can -- I can --

10 **DR. ZIEMER:** And again, I want to emphasize
11 that we're talking here about the site
12 profiles. We are not talking about the
13 petitions for SECs on either of these sites.
14 We're only talking about site profile issues
15 here.

WORK GROUP REPORT: Y-12 SITE PROFILE UPDATE
MR. MARK GRIFFON, WORK GROUP CHAIR

16 **MR. GRIFFON:** Yeah. Okay. On -- on -- I can
17 give an update on -- until we get the matrices,
18 at least. On Y-12 what -- what's happened is
19 we -- we initially st-- just as a little bit of
20 a background, it's difficult 'cause we're so
21 involved at the workgroup level, but I'm trying
22 to step back and remind others that weren't
23 involved in it of the -- of where we've come
24 from. There was initially a -- a site profile

1 review done by SC&A, and then they developed a
2 matrix from that of the findings for the site
3 profile. And at some point -- and I have a --
4 some of this we'll -- we'll clarify a little
5 more as far as time lines with some of the
6 reports that we have for tomorrow morning, but
7 at some point we -- we requested, the Board
8 requested, that -- that SC&A narrow down all
9 the findings on their matrix to findings that
10 they felt could be potential SEC-related
11 findings. In other words, they weren't -- they
12 weren't -- they were large enough or important
13 enough or -- or of -- of a certain nature that
14 they could affect a Special Exposure Cohort
15 determination, and so they refined their matrix
16 and -- and we had a -- a much smaller list of -
17 - of findings, albeit very difficult and
18 sometimes multiple findings within one matrix
19 item. But we -- we narrowed it down to SEC
20 issues. And then we've had several workgroup
21 meetings, either conference calls or physical
22 meetings in Cincinnati, even in Boston -- they
23 accommodate me at one meeting and came up to
24 Boston -- where we've -- we've gone through all
25 these site profile issues.

1 Now what -- what you'll see in the matrix is
2 that for both Y-12 and Rocky all matrix items,
3 I believe, have been basically closed out in
4 the sense that NIOSH has provided a response.
5 But this has been -- again, I've got to
6 emphasize, this has been real time, and we've
7 got -- we had a meeting on April 11th or 12th,
8 and then we had another conference call meeting
9 on April 20th. In between time we're getting
10 sample DR cases. We're getting a lot of new
11 materials in response to these things. So what
12 I tried to indicate in the matrix that you'll
13 receive is that these it-- if an item was
14 closed out but SC&A didn't really review it,
15 the final resolution proposed by NIOSH, then I
16 tried to capture that by saying that SC&A will
17 include their review of this item within their
18 review of the SEC evaluation report. So we're
19 kind of pulling those things out of the site
20 profile SEC issues and into the official review
21 of the SEC evaluation report. And you know,
22 this is the problem with these things kind of
23 overlapping, but that's where we stand. And --
24 and also in generating the review report of the
25 SEC evaluation report -- and we anticipated

1 this -- SC&A may have -- have some different
2 findings that we didn't necessarily anticipate
3 within the site profile review process, but --
4 but they came up out of reviewing the
5 evaluation report that NIOSH provided for that
6 SEC.

7 So that's where we kind of stand. We kind --
8 tried to close out the matrix items in the site
9 profile review. Anything that wasn't
10 completely addressed or we just recently got
11 materials and hasn't been completely reviewed,
12 I tried to -- to capture that by saying it's
13 been rolled over into the SEC review that --
14 that'll -- that we'll discuss tomorrow morning
15 in depth.

16 **DR. ZIEMER:** Okay.

17 **MR. GRIFFON:** I hope that makes sense.

18 **DR. ZIEMER:** We'll open it up here in a minute
19 for questions. Lew, give us an update on where
20 we are on this -- on the matrix paperwork that
21 Mark --

22 **DR. WADE:** Well, we --

23 **DR. ZIEMER:** -- was just discussing.

24 **DR. WADE:** LaShawn is nowhere in sight, so I --
25 I can't give you an update. She has not

1 answered her cell phone. My suggestion is that
2 we have our brief discussion and then break for
3 lunch. We have time on the agenda for the full
4 Board to discuss these issues. Rather than
5 keep people waiting, maybe we could take a
6 lunch break and start promptly at 1:00, and
7 then use that time more wisely.

8 **DR. ZIEMER:** Yeah. And Mark, just for clarity
9 here, on both of these what we'll be looking at
10 is the matrix for the site profiles -- or site
11 profile reviews.

12 **MR. GRIFFON:** Right.

13 **DR. ZIEMER:** And at the moment, these do not
14 require actual action. You would be simply
15 updating us on where we are, what items are
16 still sort of pending. Is that correct?

17 **MR. GRIFFON:** That -- that's correct, yeah.

18 **DR. ZIEMER:** So basically it's a status report
19 on where we are on closing out issues that have
20 arisen in the site profile review process, both
21 for Y-12 and for Rocky. So after lunch what
22 you will have will be simply the matrix at its
23 current status, which is changed of course in
24 real time over the past week or so. So there
25 won't be any action actually required on this,

1 other than to become informed as to where they
2 are, what the issues are.

3 Now it's also clear that some of these issues
4 will relate to the Special Exposure Cohort
5 petition, so there's -- there is a relationship
6 there, but at this point we're looking at it
7 strictly in terms of the site profile review
8 process. In fact, when we reach the point
9 where we are discussing the petitions, there --
10 there will be some conflict of interest issues
11 that arise in terms of who's sitting at the
12 table here, so --

13 **DR. WADE:** Right. Maybe just for -- since we
14 have a moment, I could sort of -- since I'm the
15 one who put this agenda together, I could sort
16 of explain how I see the issues progressing.
17 Let's take Y-12, for example. After lunch the
18 Board will hear from the working group on the
19 Y-12 site profile issues. And you know, we'll
20 explore that item, an update will be given to
21 you. Then this afternoon at 4:30 SC&A will be
22 presenting an update on its task work for SEC
23 petition and they'll talk about Y-12. There is
24 a report in your presence that represents the
25 SE-- the SC&A review of the Y-12 SEC. We'll

1 hear a brief report out from SC&A on that
2 issue.

3 All of that is leading towards a discussion at
4 1:30 on Wednesday where we will deal directly
5 with the Y-12 SEC petition. We will begin with
6 the NIOSH presentation, followed by a
7 presentation by petitioners, and then the
8 working group will make its report on the Y-12
9 SEC issues. And then the Board will have time
10 to deliberate and vote.

11 So that's how it will sort of cascade through
12 the meeting. The same thing will happen with
13 regard to Rocky Flats, although it'll be in a
14 slightly different time frame.

15 You'll hear about the Rocky Flats site profile
16 after lunch. You'll hear the SC&A report on
17 their task looking at the Rocky Flats SEC
18 petition at 4:30 this afternoon. And then on
19 Thursday morning at 8:30 we'll have a three and
20 a half hour session dealing with the Rocky
21 Flats SEC petition, which will encompass the
22 presentation by NIOSH, presentation by
23 petitioners, workgroup report and then Board
24 deliberation and decision.

25 So that's what's in front of you. I realize

1 Carolyn Boller is a Congressional aide for
2 Congressman Udall's office. She'll be with us
3 all day today, and I believe will not be here
4 tomorrow, so I wanted to be sure to introduce
5 her, but we'll maybe catch her this afternoon,
6 as well.

7 **DR. WADE:** Here's Carolyn right now.

8 **DR. ZIEMER:** Oh, okay. Carolyn, we're just
9 introducing you and you had disappeared, but
10 we're pleased to have you here with us today.
11 We just wanted to acknowledge your presence
12 with the group.

13 And you have another colleague that you brought
14 in. Welcome, sir.

15 **UNIDENTIFIED:** (Off microphone)
16 (Unintelligible)

17 **DR. ZIEMER:** Ray, did you catch -- could you,
18 for -- for our court reporter, just repeat your
19 name so we...

20 **MR. HILLER:** (Off microphone) I'm David Hiller.
21 I'm with Senator Salazar's office.

22 **DR. ZIEMER:** Thank you very much.
23 (Whereupon, Drs. Wade and Ziemer discussed
24 scheduling off microphone.)

25 **DR. ZIEMER:** I think this would be a good point

1 for us to recess for lunch, and try to be back
2 here for 1:00. If you have some good ideas on
3 where to eat, the Board would be glad to find
4 out what they are.

5 **UNIDENTIFIED:** (Off microphone)

6 (Unintelligible)

7 **DR. ZIEMER:** Thank you.

8 (Whereupon, the subcommittee meeting concluded
9 at 11:50 a.m.)

10

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 25, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 26th day of May, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**