

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

ROCKY FLATS

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Hebron, Kentucky on March
7, 2007.

C O N T E N T S

March 7, 2007

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
WORKING GROUP UPDATE	13
COMPLETENESS OF DATA	21
1969 DATA GAP	94
OTHER RADIONUCLIDES - THORIUM	157
DATA INTEGRITY, SAFETY CONCERNS AND LOG BOOKS	177
SUPER S (TIB 0049)	192
NEUTRON DOSE QUESTIONS	201
COWORKER MODEL	210
WOUNDS ISSUE	221
PROOF OF PROCESS	233
RECAP OF ACTION ITEMS	251
COURT REPORTER'S CERTIFICATE	269

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S
(By Group, in Alphabetical Order)

BOARD MEMBERS

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

IDENTIFIED PARTICIPANTS

ALBERG, JEANETTE, SEN. ALLARD
BRACKETT, LIZ, ORAU
BROEHM, JASON, CDC WASHINGTON
BUCHANAN, RON, SC&A
CHANG, CHIA-CHIA, NIOSH
CHEW, MEL, ORAU
ELLIOTT, LARRY, NIOSH
FALK, ROGER, ORAU
FITZGERALD, JOE, SC&A
HOFF, JENNIFER, ORAU
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
JESSEN, KARIN, ORAUT
KATZ, TED, NIOSH
KOTSCH, JEFF, DOL
LABONE, TOM, ORAU
LIPSZTEIN, JOYCE, SC&A
LITTLE, CRAIG, ORAU
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCFEE, MATT, ORAU
MEYER, BOB, ORAU
NETON, JIM, NIOSH
RICH, BRYCE, ORAU
SHARFI, MUTTY, ORAU
SHIELDS, LASHAWN, NIOSH
SMITH, MATTHEW, ORAU
SUNDIN, DAVE, NIOSH
ULSH, BRANT, NIOSH

P R O C E E D I N G S

(9:30 a.m.)

1
2WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

DR. WADE: We're about ready to begin. This is Lew Wade, and this is a meeting of the work group on Rocky Flats site profile and SEC petition. That group is most ably chaired by Mark Griffon, members Gibson, Presley and Munn. Ms. Munn and Mark are here in the room, and by voice I understand that Presley and Gibson are on the phone. Is that correct?

MR. GIBSON (by Telephone): Yes.

MR. PRESLEY (by Telephone): This is Bob Presley.

DR. WADE: Are there any other Board members on the call?

(no response)

DR. WADE: Any other Advisory Board members on the call?

(no response)

DR. WADE: Clearly then we don't have a quorum of the Board, and that's good. We will

1 proceed with the work group meeting.

2 What I'm going to do is ask for Board
3 members to identify themselves, then NIOSH
4 team members, including the ORAU team, SC&A
5 team members to identify themselves. Then
6 I'll ask other feds. I'll ask petitioner and
7 worker reps to identify themselves, members of
8 Congress or their representatives. And then
9 I'll ask any others who would like to be
10 identified for the record.

11 A little bit of talk about phone
12 etiquette to begin with, special attention
13 today because Ray is not with us. Shane is
14 with us and without Ray I think we need to be
15 particularly careful to identify ourselves
16 when we speak and speak clearly.

17 Also, we've lived through all kinds of
18 background noises from dogs barking to babies
19 crying to elevator music. So keep an eye
20 towards, an ear towards what goes on in your
21 background. Mute when you're not on. When
22 you are speaking, don't speak into anything
23 but the handset. Don't try and use a speaker
24 phone. It creates all kinds of interference.

25 As we go through the Board member

1 identifications, NIOSH and SC&A team member
2 identifications, I would ask that all of those
3 individuals identify their conflicts, if any,
4 with regard to the Rocky Flats site. So we'll
5 start again with Board members, and here we
6 have...

7 **MR. GRIFFON:** Mark Griffon with the Advisory
8 Board.

9 **MS. MUNN:** Wanda Munn, Advisory Board, no
10 conflicts on Rocky.

11 **DR. WADE:** And on the line?

12 **MR. GRIFFON:** No conflicts either for Mark
13 Griffon.

14 **DR. WADE:** Mike?

15 **MR. GIBSON (by Telephone):** Mike Gibson, no
16 conflicts.

17 **DR. WADE:** And Robert?

18 **MR. PRESLEY (by Telephone):** Robert Presley,
19 Board, no conflicts.

20 **DR. WADE:** And I assume there are no other
21 Board members within the sound of my voice.

22 (no response)

23 **DR. WADE:** Okay, let's start with NIOSH team
24 members here around the table.

25 **MR. ELLIOTT:** Larry Elliott, no conflict.

1 **DR. ULSH:** Brant Ulsh, NIOSH team, no
2 conflict at Rocky.

3 **DR. WADE:** Okay, ORAU team.

4 **MR. MEYER:** Bob Meyer, no conflict, NIOSH
5 team.

6 **DR. LITTLE:** Craig Little, no conflict.

7 **MS. JESSEN:** Karin Jessen, no personal
8 conflict.

9 **MR. McFEE:** Matt McFee, ORAU team, no
10 conflicts at Rocky.

11 **MR. SHARFI:** Mutty Sharfi, no conflicts at
12 Rocky.

13 **MS. HOFF:** Jennifer Hoff, no personal
14 conflicts.

15 **DR. WADE:** Other NIOSH/ORAU team members on
16 the telephone?

17 **DR. NETON (by Telephone):** Jim Neton, no
18 conflict.

19 **DR. WADE:** Good morning, Jim.

20 **MR. FALK (by Telephone):** This is Roger
21 Falk, and yes, I have a conflict.

22 **DR. WADE:** Good morning, Roger.

23 **MR. SUNDIN (by Telephone):** This is Dave
24 Sundin, no conflict.

25 **MS. BRACKETT (by Telephone):** Liz Brackett

1 with the ORAU team, no conflict.

2 **MR. CHEW (by Telephone):** Mel Chew with the
3 ORAU team, no conflict.

4 **DR. WADE:** Good morning, Mel, welcome.

5 **MR. RICH (by Telephone):** Bryce Rich with
6 ORAU team, technically conflicted.

7 **MR. LABONE (by Telephone):** Tom LaBone, no
8 conflict.

9 **DR. WADE:** Other members of the NIOSH/ORAU
10 team?

11 **MR. SMITH (by Telephone):** Matt Smith, ORAU
12 team, no conflict.

13 **DR. WADE:** Last chance.

14 (no response)

15 **DR. WADE:** SC&A?

16 **MR. FITZGERALD:** Joe Fitzgerald, no
17 conflict.

18 **DR. MAKHIJANI:** Arjun Makhijani, no
19 conflict.

20 **DR. WADE:** On the telephone?

21 **DR. MAURO (by Telephone):** John Mauro, no
22 conflict.

23 **DR. LIPSZTEIN (by Telephone):** Joyce
24 Lipsztein, no conflict.

25 **DR. WADE:** Good morning, Joyce.

1 **MR. BUCHANAN (by Telephone):** Ron Buchanan,
2 no conflict.

3 **DR. WADE:** Good morning, Ron.

4 Other members of the SC&A team on the
5 line?

6 (no response)

7 **DR. WADE:** Are there other federal employees
8 on the line by virtue of their employment?

9 **MS. HOMOKI-TITUS:** Liz Homoki-Titus with
10 Health and Human Services.

11 **MS. HOWELL (by Telephone):** Emily Howell
12 with Health and Human Services.

13 **MS. CHANG (by Telephone):** Chia-Chia Chang
14 with NIOSH Director's office.

15 **MR. KATZ (by telephone):** Ted Katz with
16 NIOSH.

17 **MR. BROEHM (by Telephone):** Jason Broehm,
18 CDC Washington office.

19 **MS. SHIELDS (by Telephone):** LaShawn Shields
20 with NIOSH.

21 **MR. KOTSCH (by Telephone):** Jeff Kotsch,
22 Department of Labor.

23 **DR. WADE:** Welcome, Jeff.

24 Other feds here by virtue of their
25 employment?

1 (no response)

2 **DR. WADE:** Representatives of workers,
3 petitioners, those directly involved in Rocky
4 Flats?

5 (no response)

6 **DR. WADE:** Workers, petitioners, those
7 directly involved at Rocky Flats?

8 (no response)

9 **DR. WADE:** Members of Congress or their
10 representatives?

11 **MS. ALBERG (by Telephone):** Jeanette Alberg
12 with Senator Allard's Office in Colorado.

13 **DR. WADE:** Good morning.

14 Other Congressional representatives?

15 (no response)

16 **DR. WADE:** Any others on the line who would
17 like to be identified for the record?

18 (no response)

19 **DR. WADE:** Okay, I think we're done with our
20 introductions. Mark?

21 **MR. GRIFFON:** I see we have another small
22 working group for Rocky Flats discussion. I
23 e-mailed, but I'm not sure everyone has this,
24 but it's a very brief outline of an agenda to
25 start us off, some of the primary issues that

1 remain on, frontloaded on this agenda.

2 WORKING GROUP UPDATE

3 Well, actually, before we get into
4 those items it might be worthwhile just to go
5 through sort of where we're at with this whole
6 process, winding down toward a final
7 evaluation report from SC&A. And it's been a
8 long haul obviously, but I think we've made
9 quite a bit of progress, and I just want to
10 point out some of the items that we've gone
11 through in this process.

12 Item number one, the Super-S, which
13 has been on our matrix forever, I think we now
14 have agreement on the model and I think the
15 latest news from SC&A -- I don't want to
16 misstate this -- but I think that SC&A has now
17 gone through the supporting cases that were
18 not used as design cases. And they've made a
19 determination that those also would be bounded
20 by the design cases. So I think we've
21 basically completed our review of Super-S and
22 SC&A's in agreement with NIOSH's model and
23 approach. Is that correct, Joyce?

24 **DR. LIPSZTEIN (by Telephone):** Yes.

25 **MR. GRIFFON:** Also, with the second item,

1 and this item involves three sort of sub-
2 items, but data reliability, safety concerns
3 and logbooks reviews. The bottom line
4 conclusion, I think there were, there still
5 are some disagreements on some individual
6 items within those reviews.

7 But the bottom line sort of question
8 was do we see any systemic problems, systemic,
9 you know, problems. And SC&A has concluded on
10 those three, that three-pronged review that
11 they haven't identified any systemic problems
12 through that review comparing those items with
13 the individual radiation files. So I think
14 that's a lot of progress. That was a lot.
15 There were several items on that, involved in
16 that.

17 The third item, the other
18 radionuclides, I think, well, I know that
19 we're down basically in our discussions to
20 thorium, which we'll have on the agenda today.
21 But other than that we, NIOSH and the ORAU
22 team, did quite a bit of research on these
23 other radionuclides, and SC&A was comfortable
24 with the approach described for the various
25 other radionuclides on that original list. So

1 that's off the table.

2 The D&D worker question I think with
3 as we've evolved here and NIOSH expanded their
4 coworker model to cover that D&D period, and
5 again, that gave us any comfort that there
6 would be a bounding approach for these D&D
7 workers with regard to internal exposures. So
8 I think that D&D question is no longer an
9 ongoing item.

10 **MR. FITZGERALD:** Yeah, and I think we'll
11 talk about the 0-14 extension that was the one
12 remaining issue, and Joyce is on the phone.
13 But I think that's substantially completed.

14 **MR. GRIFFON:** And the last thing, I guess,
15 would be the coworker models for internal and
16 external dose reconstruction. And I think
17 that we had some disagreements, I think we've
18 essentially concluded that any differences in
19 the model basically at this point would be
20 site profile issues, not necessarily SEC
21 issues. So those models themselves, the
22 coworker models I think are basically, there's
23 agreement there, or they're not SEC issues.

24 And I want to qualify that just a
25 little bit to say that that's not withstanding

1 the ongoing concern about the data that
2 populates those models. So we still have some
3 questions on the table about the data in those
4 models. But the models themselves seem to be,
5 I think we've concluded our discussions on
6 those.

7 So I just wanted to point out that as
8 in introduction that we have made some
9 progress. Sometimes I think we lose sight of
10 that.

11 **DR. WADE:** Mark, can I ask you, your second
12 was a brace of three: logbooks, safety
13 concerns, and what was the third?

14 **MR. GRIFFON:** Data reliability.

15 **MR. FITZGERALD:** Data integrity.

16 **MR. GRIFFON:** Data integrity, I'm sorry.

17 **MS. MUNN:** And that's more than just a
18 little progress. That's a staggering number
19 of items that have been addressed. I don't
20 think it's possible to be any more thorough
21 than this group has been with respect to these
22 issues.

23 **DR. WADE:** All have been well served by this
24 group of people.

25 **MR. GRIFFON:** So I think where we stand in

1 looking at the agenda for today, the items I
2 wanted to look at first were the question of
3 completeness, data completeness. And I know
4 it's been a separate agenda item, so I should
5 have probably separated this '69 data
6 question, but those two sort of tie together a
7 little bit.

8 But those two items, then the thorium
9 will be our next thing to discuss. And then
10 the third item on my agenda is some updates on
11 these other items. And some of those may be
12 like Super-S, you know, I think that will be a
13 fairly quick update, but I think SC&A's
14 completed that review and we can just hear
15 SC&A's report on that.

16 The last item I have on my agenda,
17 which I brought up at the last Advisory Board
18 meeting, and this is this proof of process
19 question. And we had some examples early on I
20 think that were provided to the work group.
21 But I feel like, well, first of all, I'm not
22 sure that those original examples use the
23 current coworker models. I don't even know if
24 coworker models were around when those were
25 out there so I think we might have to re-

1 examine...

2 And parenthetically, I gave some
3 possible examples of what I might want to see
4 a sort of proof of process on, and we can
5 discuss that further. I'm not sure if my list
6 is exhaustive or appropriate. Those were some
7 things that came to my mind that we may want
8 to see, and we may, I would consider if NIOSH
9 -- we can discuss this more later -- but if
10 NIOSH could identify case numbers that use
11 certain approaches.

12 I don't think, you know, we can keep
13 those case numbers and the Privacy information
14 off the record, but if they can point us at
15 those cases, I think that would be more than
16 adequate to meet that need so I don't see
17 additional work necessarily other than
18 identifying the cases.

19 All right, to start off, well, first
20 of all, is there anything I missed on the
21 agenda or any big items? There might be some.

22 **MR. PRESLEY (by Telephone):** Bob Presley,
23 what about the new item on here, the wound
24 scenario question?

25 **MR. GRIFFON:** Yeah, under these updates the

1 wound scenario question came up at the last
2 work group meeting, and that was something
3 that was brought up about specifically whether
4 the sort of chronic inhalation approach would
5 be bounding of a wound scenario when the
6 wound, when there was no record of there being
7 a wound so they didn't know it was a wound.

8 And I think Mutty and Jim Neton talked
9 about maybe examining that and seeing if, in
10 fact, it was in all cases going to be
11 bounding. So we had a brief discussion on
12 that. I don't know if you, I thought we had
13 it as an action item, but I was going to list
14 this as an updated action item. Not a big
15 hitter I don't think but ...

16 **MS. MUNN:** I was surprised when I saw it
17 because I didn't remember that we had an
18 action on that. I know there was some
19 discussion about it, but --

20 **MR. GRIFFON:** I'm pretty sure I'm right.
21 Brant, am I wrong on that? I thought Jim --

22 **DR. ULSH:** I do recall that we talked about
23 it. I don't know --

24 **MR. GRIFFON:** I thought Jim said, you know,
25 we need to look at this and make sure it is

1 bounding and maybe I didn't capture it.

2 **DR. WADE:** I think they're ready.

3 **MS. MUNN:** It just wasn't on my list of --

4 **DR. NETON (by Telephone):** I did discuss
5 this with Dave Allen awhile ago, and I don't
6 have a complete report to talk about, but
7 maybe the two experts we have on the phone,
8 Liz and Tom LaBone might be able to help us
9 out a little bit.

10 **MS. MUNN:** Is the volume up as high as we
11 can get it on that?

12 **DR. WADE:** Jim, could you just count to ten
13 or something like that?

14 **DR. NETON (by Telephone):** Pardon me?

15 **DR. WADE:** Could you count for us? We're
16 going to get the volume up. We're having
17 trouble hearing.

18 **DR. NETON (by Telephone):** I was just saying
19 I've discussed this with Dave Allen briefly,
20 but I'm not prepared to provide a detailed
21 report, but I think we have some, Liz Brackett
22 and Tom LaBone on the phone. They might be
23 able to help us out when we come to it, when
24 we get there.

25 **DR. WADE:** Okay, thank you. That was much

1 better.

2 **DATA COMPLETENESS**

3 **MR. GRIFFON:** So to start off the data
4 completeness report, and I think this is the
5 52 cases that were reviewed. And I forget the
6 breakdown. There were some production workers
7 and some randomly selected, but it totaled 52
8 individual radiation files were reviewed.

9 And I guess the purpose of the, the
10 overall purpose of this was to make sure that
11 there was, that the data within radiation
12 files in general for Rocky Flats workers for
13 the entire class was complete enough, was
14 adequate for dose reconstruction. And I think
15 that I just wanted to make sure that we
16 discuss it. We're looking at can we do dose
17 reconstruction for the entire class at hand
18 here for all organs of interest.

19 So that's kind of where, that was our
20 rationale for doing this sampling in the first
21 place was to see if we randomly select and we
22 picked claimants' files only because they were
23 more readily available rather than picking
24 radiation files from out of the database. And
25 it would have been a lot more work to find

1 those radiation files. So SC&A provided a
2 report. I think we all, that was submitted to
3 NIOSH, ORAU at the last work group meeting.
4 Is that correct?

5 **MR. FITZGERALD:** Yeah, --

6 **MR. GRIFFON:** Or shortly before the meeting?

7 **MR. FITZGERALD:** -- the scoping of that was
8 very specific of the work group on what we
9 were to cover. It was just strictly --

10 **MR. GRIFFON:** Yeah, the scope of that was
11 basically for -- just a point of
12 clarification, I guess -- SC&A's scope was to
13 sort of do a screening, look for these data
14 gaps and identify them. And I think, at least
15 it was my understanding, and I'm pretty sure
16 that we had discussions about this, that these
17 data -- and we even discussed it in the last
18 work group meeting -- that SC&A did identify
19 some data gaps, but they did not walk through
20 the work histories or compare to the radiation
21 monitoring policies or practices of that time
22 period.

23 Rather, they were just going to hand
24 that over and say, okay, NIOSH, you've got
25 more of that information available anyway. So

1 these are sort of potential, you know, gaps
2 but maybe not unexplainable gaps. But that is
3 how it was set up.

4 And we've now got a response from
5 NIOSH which came in, I'm not sure on the dates
6 again, a week or so ago.

7 **MR. ELLIOTT:** Yeah, a week ago.

8 **MR. GRIFFON:** So I guess we can ask, maybe
9 Brant can summarize what the findings are on
10 that.

11 **DR. ULSH:** I do have copies of our report on
12 the data completeness issue and a separate
13 report specifically on 1969 and '70. I'll
14 circulate those around the table if anyone
15 wants to have a copy while we talk about this.

16 And there is one other handout that I
17 want to send around, but I have to issue a
18 note of caution here. This last handout
19 contains what are called job history cards,
20 and there is Privacy Act material in here. So
21 feel free, Board members, SC&A, to take it
22 home if you'd like to, but don't leave it
23 laying here on the table. Get it back to me
24 if you're not going to take it.

25 **DR. MAKHIJANI:** Brant, are you going to make

1 this electronically available?

2 **DR. ULSH:** It is electronically available
3 now.

4 **DR. MAKHIJANI:** On NOCTS?

5 **DR. ULSH:** Yes. All the job --

6 **DR. MAKHIJANI:** No, no, I mean the
7 compilation. I know the individual histories
8 are there on NOCTS. This particular Word
9 document, are you going to, the new one, the
10 new one that you said you're sending around?

11 **DR. ULSH:** Arjun, there are three things
12 coming around. The first two are our reports.

13 **DR. MAKHIJANI:** Which I have.

14 **DR. ULSH:** Which you have. And the last one
15 is just an example job history card. That's
16 all.

17 All right, so just to add a little bit
18 to Mark's summary --

19 **MS. HOMOKI-TITUS:** Brant, can I just follow
20 up?

21 **DR. ULSH:** Yes, please.

22 **MS. HOMOKI-TITUS:** This document that Brant
23 just sent around that has all of the Privacy
24 Act information in it, please be very careful
25 when you're referring to it on the record

1 because there's a lot of information that
2 could make it possible to identify an
3 individual. So you need to be careful about
4 what information you pull out of here when
5 you're making statements on the record.

6 **DR. ULSH:** I've blacked out things like the
7 name, social security number and all that, but
8 the job exposure -- sorry -- the job history
9 itself is specific to a person so like Liz
10 said, let's just refer to that in the
11 abstract.

12 **DR. WADE:** And I might make a brief comment
13 as well. Mark mentioned that this has been a
14 long and arduous process, but it's been a
15 rapidly evolving one. So the work group
16 decides to pursue a certain line of inquiry
17 and documents are generated by NIOSH,
18 documents are generated by SC&A, not in all
19 cases do we have the ability to have those
20 documents reviewed.

21 So documents will come in front of the
22 working group, in front of the NIOSH and SC&A
23 participants, that haven't been scrubbed for
24 Privacy Act consideration. That serves the
25 process. Certainly, we'd like to have

1 everything scrubbed and available to everyone
2 when we discuss it, but that's not always
3 possible as this is very rapidly evolving.

4 So again, to those people who are not
5 part of NIOSH, SC&A, the teams, the work
6 groups, some of these documents might not be
7 in your possession, and we apologize for that.
8 But again, rather than delay the process or
9 slow the process, this is the course of action
10 we've chosen, and I think it's the appropriate
11 course of action. Thank you.

12 **DR. ULSH:** To go to our report on data
13 completeness, the data completeness
14 evaluation, as Mark mentioned the 52 case
15 files that were selected by SC&A for review
16 consist of -- let me make sure I get this
17 right. There were 32 randomly sampled, and
18 then there were 20 that we categorized as
19 individuals who had received high cumulative
20 exposures. So that totals 52.

21 SC&A started out by reviewing 12, and
22 I think sometime in December presented those
23 results. And it was agreed by the working
24 group that we should expand the scope of the
25 review. And that's how we wound up with 52.

1 In January, SC&A, on January 10th, SC&A
2 issued their report on their data completeness
3 evaluation of the 52 files. And then as Mark
4 mentioned, the NIOSH response to that was
5 issued last week, Wednesday. So that is out
6 now.

7 As Mark mentioned, and I think Joe
8 also mentioned, SC&A's analysis consisted of
9 categorizing the time periods for these
10 workers when they had monitoring available,
11 and time periods when there was no monitoring
12 records in their file. And NIOSH agrees that
13 there are time periods when workers don't have
14 monitoring records in their file.

15 I think where we perhaps diverged is
16 the significance of those. In the main body
17 of SC&A's report, they really did not talk
18 about the significance of the data gaps as is
19 appropriate because you can't really tell what
20 the significance of those gaps are without
21 doing the kind of analysis that NIOSH has now
22 done where you look at the radiation files in
23 detail and also at the job history cards which
24 are available in NOCTS for numerous employees.

25 It's only after those kinds of

1 reviews, reviews of that kind of data that you
2 can come to any conclusion about whether or
3 not any data is missing. And that's where I
4 think that we took issue with some of the
5 conclusions of SC&A's report when there were
6 gaps or when there were periods when there was
7 no monitoring data available. And the
8 conclusion was then drawn that this data was
9 missing.

10 And I cautioned about that a couple of
11 times in the past, and that has, I think our
12 analysis has shown that that caution was
13 justified because what we found of the 52
14 cases, first of all it, it should be noted
15 that dose reconstructions had been completed
16 for 48 of the 52 cases. There are four that
17 are still in process.

18 There are none identified that we've
19 concluded we can't do dose reconstruction.
20 And it's also worth noting that I think there
21 was only one of those that used coworker data.

22 **DR. NETON:** Correct.

23 **DR. ULSH:** Correct? Okay. And for these 52
24 cases we found that 60 percent of them had a
25 probability of causation greater than 50

1 percent.

2 Now for the cases, we looked at each
3 individual case, each of the 52, and what we
4 found was where there were periods with no
5 monitoring data, the most common explanation,
6 well, there were two that were pretty common.
7 Number one, the employee wasn't at the site
8 during the time when there was no monitoring
9 data, and so you certainly would not
10 categorize it as missing data. I mean, that's
11 appropriate that there is no monitoring data
12 for them in that situation.

13 The other common occurrence was if you
14 look at the example job exposure card that
15 I've sent around. Now these cards are
16 available in NOCTS for employees of the prime
17 contractors. So throughout Rocky Flats'
18 history, Dow employees, I think Kaiser came
19 too late. These cards -- now this is just my
20 anecdotal recollection -- these cards were
21 available up into the 1980s, and I didn't see
22 any for the latter part of the '80s and into
23 the '90s. So I think they discontinued the
24 use of these cards.

25 But if you take a look at the example,

1 what you can see here is it lists occupations,
2 and in many cases, well, it lists the
3 department where they worked. I don't want to
4 talk in detail, for Privacy Act reasons, about
5 this example, but I just wanted you to see the
6 kind of information that is available for many
7 of the claimants. This was one resource that
8 we relied upon to determine whether or not the
9 periods with no monitoring data represented
10 missing data or whether they were readily
11 explainable.

12 Now there are certain employees where
13 we don't have these cards, for instance,
14 subcontractors. We don't have these cards for
15 subcontractors so we got clues to their
16 employment of information in the radiation
17 files themselves. You'll see, I don't know.

18 I don't remember the name of the
19 actual document that's in there, but it's kind
20 of like a pay stub or that kind of thing. And
21 it tells what company they worked for. S&W
22 was common, Swinnerton & Walberg (ph) and also
23 Lumnes (ph). So we get data on those people,
24 on the subcontractors, from a different source
25 than these cards. But by and large we're

1 talking about employees of the prime, so we've
2 got detailed job exposures -- I'm sorry, I
3 keep saying that -- job history cards.

4 And what we looked at in these job
5 history cards were when SC&A identified a
6 period where there was no monitoring data, and
7 we also looked at the file and saw the same
8 thing, we asked ourselves, well, is there a
9 reasonable explanation considering the badging
10 policies in place at the time for this person
11 not to be monitored. Or is it a situation
12 where you would expect this person to be
13 monitored because he had a significant
14 exposure potential.

15 And an example might be an operator in
16 Building 71. If you looked and there was a
17 period where that person was not monitored,
18 and you determine that he was onsite, that
19 would be kind of surprising if he didn't have
20 data because those were some of the higher
21 employment, higher exposure potential
22 employment positions at the site.

23 On the other hand if you looked and
24 you saw job titles and work locations that did
25 not indicate high exposure potential, an

1 example might be a janitor, then it might be
2 entirely reasonable that that person would not
3 be monitored because over time with the
4 badging policies in place at the site, people
5 who had the potential to receive greater than
6 ten percent of the tolerance level were
7 required to be monitored. If they weren't
8 expected to have that exposure potential, then
9 it was not mandatory that they be monitored.

10 So what we found in the 52 cases, keep
11 in mind that these 52 cases represent hundreds
12 of man years of monitoring data. And they
13 also, there are two types of data that were
14 looked at, external dosimetry data, that's the
15 film badge or TLDs that you wear to monitor
16 external radiation. And then there was also
17 internal monitoring data, bioassay data. So
18 this would have been urinalysis, lung counts
19 and those kinds of things.

20 So if you take 52 individuals,
21 multiply by the number of years that they were
22 employed, you get hundreds of man years of
23 monitoring data, both internal and external.
24 And what we found was that there was one gap
25 where it represented missing data. There was

1 one person who had one year of external
2 monitoring data that was not present and that
3 was clearly noted in his file. And it's
4 legitimate to conclude in that case that is
5 missing data.

6 There were no other cases of missing
7 data. There were ready explanations
8 available. Either the person wasn't onsite
9 during the time or he was working in a
10 position where there was low exposure
11 potential and would not be expected to be
12 monitored. Therefore, we concluded that the
13 monitoring data for these 52 individuals was
14 essentially complete with that one exception
15 of one year of external monitoring data.

16 It should also be noted, as I
17 mentioned, that for that one case where there
18 was legitimately missing data, we were able to
19 complete a dose reconstruction with a
20 probability of causation of greater than 50
21 percent. Therefore, there is not a single
22 case among these 52 where the data is missing,
23 except for that one instance, and more
24 importantly, where the data is so incomplete
25 that we could not complete a dose

1 reconstruction.

2 So that was the conclusion of our
3 report on that. Now, Mark, I can hold off.
4 Do you want to discuss this before I get into
5 '69 and '70?

6 **MR. GRIFFON:** Yes, I guess we'll take them
7 one at a time.

8 Joe?

9 **MR. FITZGERALD:** Yeah, I'm going to have
10 Arjun address this. But I just want to
11 clarify on this issue of scope and charge that
12 we approached this from a standpoint of the
13 working group's express request to develop a
14 sampling plan and to actually sample the, at
15 random, the frequency and extent of gaps.

16 Now these gaps were initially
17 identified in the 12 cases that I think Arjun
18 and Ron presented early in the fall. And we
19 got into November the charge from the work
20 group was to expand that through a sampling
21 plan and to focus on identifying gaps in terms
22 of frequency and magnitude.

23 The charge beyond that was simply to
24 then provide that information to NIOSH and for
25 NIOSH then to -- as they have -- to

1 characterize the implications and the
2 explanation for these so-called gaps. And
3 again, I think we appreciate and were very
4 careful about the distinction between
5 ascribing and implication that the data's
6 missing for a gap. So the context of our
7 sampling was to identify gaps.

8 Arjun.

9 **DR. MAKHIJANI:** Thank you. Yeah, in regard
10 to this missing gaps, I agree, Brant, that it
11 came up and there was that caution. And we
12 actually took that caution quite seriously.
13 If you look at the report on completeness, the
14 word missing actually doesn't appear in the
15 report itself.

16 Unfortunately, in one summary table
17 the word missing was left in the summary table
18 numerous times and then one other place where
19 it's in a comment. And it's clearly
20 inadvertent. The word missing actually
21 doesn't appear in our analysis. And as I
22 said, it appears inadvertently in one summary
23 table. That's it.

24 The individual cases where there are
25 gaps in the data in the tables themselves of

1 the cases are all identified as gaps. And so
2 it's rather surprising that when NIOSH quoted,
3 cited SC&A's individual case characterization,
4 it was noted that we said it was missing data
5 when the individual line items actually say
6 gaps. And so I thought we settled that issue.

7 And the analysis actually, we did look
8 at one aspect of the jobs in the sense that we
9 did conclude that the non-monitored workers
10 were not in plutonium areas and that the
11 external gaps were, dose gaps were
12 concentrated in the 1950s. And so along with
13 identification of the gaps, that much was
14 handed to NIOSH.

15 Our overall conclusion is a little bit
16 different than what was characterized by
17 NIOSH. I'd like to mention that briefly.
18 NIOSH concluded that our position seems to be
19 that any lack of complete data record
20 automatically makes it impossible to
21 reasonable, to make dose when my reading of
22 what we said was sort of the opposite of that.

23 I thought we said that the gaps don't
24 indicate that you can't, you shouldn't
25 automatically conclude that you can't estimate

1 dose. I just would like to read that for the
2 record because in my impression, what we
3 explicitly said was the contrary to what's in
4 NIOSH's report.

5 We said it might be possible to fill
6 in the gaps using the data from Rocky Flats
7 and other sites for uranium, external, shallow
8 and deep dose provided that additional
9 analysis as regards claimant favorability
10 relating to actual working conditions is
11 carried out. However, no firm conclusion is
12 possible at the present time since NIOSH has
13 not done the requisite analysis including, for
14 instance, about shallow dose exposure
15 conditions in the uranium foundry operations
16 in the 1950s.

17 So we explicitly have an open door
18 about the possibility of dose reconstruction
19 to fill in the gaps using Rocky Flats and
20 other data. So I think, well, I leave it to
21 NIOSH whether they want to amend the report.
22 But at least our report is very clear on that
23 point.

24 We haven't had a chance to, there's a
25 lot of paper on the table and, of course, we

1 will be completing our report after a full
2 reading of that. So it's not possible to
3 fully respond to everything. But I just
4 wanted to make a few observations about what's
5 on the table from NIOSH's side and its
6 analysis, and what we had been looking for
7 when we described the gaps and the extent of
8 the gaps.

9 So the main point that NIOSH has made
10 about completeness and dose reconstructability
11 is that almost all, in the 52 cases almost all
12 the dose reconstructions are complete; and
13 therefore, this is a demonstration that
14 there's a feasibility of dose reconstruction
15 with sufficient accuracy under 42-CFR-83. And
16 as I see it those two propositions are kind of
17 different because 42-CFR-82 allows you to do a
18 lot of things, but they don't fall under the
19 rubric of 42-CFR-83.

20 Specifically, out of these dose
21 reconstructions, actually, may I read a couple
22 more that are completed at least in our
23 preliminary evaluation? Maybe they're not
24 settled yet with the claimants, but there is a
25 dose reconstruction file (inaudible) 52. And

1 under those 31 have been compensated and 19
2 have not been compensated.

3 Out of the 31 that were compensated,
4 28 were minimum dose reconstructions. Minimum
5 dose reconstructions by definition are not
6 bounding dose reconstructions. Research had
7 been cut short for efficiency purposes. This
8 is beside the point for demonstrating a
9 bounding dose reconstruction under 42-CFR-83
10 where you have to show that you have an upper
11 limit reasonable dose that can be used for
12 compensation or denial.

13 So of these 28 there were some partial
14 dose reconstructions that were done using only
15 internal dose, some only external dose. One
16 was actually only medical dose which we have
17 not discussed in an SEC context, and one was
18 external and medical both.

19 Of the ones that were denied there
20 were 19. And out of that, 15 were maximum
21 efficiency doses so far as we could see. And
22 this is a preliminary reading. We haven't had
23 a chance to actually examine all of these
24 things in detail and a few all have contrary
25 information -- and please correct me -- but as

1 we noticed in 15 out of the 19 cases, TIB 002
2 had been used which is explicitly an
3 efficiency tool to calculate, to cut short
4 research to be able to deny somebody without
5 undue delay.

6 And this is not a dose reconstruction
7 approach that would be applicable for
8 calculating a bounding dose. Efficiency
9 methods of cutting short research belong in
10 42-CFR-83 and not under 42-CFR -- I mean 82,
11 and not under 83 for the purpose of
12 demonstrating the ability to do a dose
13 reconstruction. And we found coworker data
14 was used only in two cases in, rather than
15 one. Maybe it's one that's not yet been
16 completely settled with the claimant so far as
17 our file is concerned.

18 In actually using the data, and we
19 haven't gone through all of it as yet, it
20 appears that the zeros and gaps in the record
21 seem to have all been treated as being under
22 the limit of detection because the coworker
23 model was used only twice I think. And we
24 haven't actually looked to see as to how it
25 was applied and whether it corresponded to any

1 of these gaps.

2 So in brief, even if dose
3 reconstruction had been completed under 42-
4 CFR-82 and without making any comment about
5 those dose reconstructions themselves, it
6 doesn't address the issue that we raised which
7 was specific to the kinds of workers who were,
8 the class of workers who were not monitored,
9 the exposure potential for some of those
10 workers which according to documentation in
11 the early periods may have been high.

12 And we specifically called attention
13 to foundry workers in the 1950s. So far as we
14 could tell none of the 52 cases had any
15 foundry work in the 1950s. We may be wrong.
16 We have to look at the job category more
17 carefully. But on a preliminary look, I did
18 ask Ron Buchanan to look at it.

19 Ron, are you on the phone?

20 **MR. BUCHANAN (by Telephone):** Yes, I'm here.

21 **DR. MAKHIJANI:** Ron, could you describe your
22 search a little bit, please?

23 **MR. BUCHANAN (by Telephone):** This is Ron
24 Buchanan with SC&A. I went through the 52
25 cases and looked at the personnel exposure

1 cards which I think you're calling the job
2 history cards and to see what their job
3 assignments were and usually that's gives the
4 building and a job title. I looked through
5 those 52 cases and I do not find any foundry
6 workers in Building 44 or 444 in the 1950s. I
7 found one worker that had some work in

8 .
9 **DR. MAKHIJANI:** So the issue on the table in
10 data completeness in regard to external data
11 in the '50s identified a sub-class of workers
12 hadn't been addressed so far as we can see in
13 the NIOSH analysis. There was one piece of
14 data in regard to foundry workers that is in
15 NIOSH's report -- and if there are more,
16 Brant, please correct me because I've gone
17 through a lot of paper in a short period of
18 time.

19 That piece of data related to the mean
20 doses for foundry workers in 1968 and only the
21 mean doses were provided. The distribution
22 was not provided. There's no substantive
23 discussion on how that relates to the 1950s or
24 establishment of working conditions in the
25 1950s. And that's particularly relevant

1 because (ph) document from 1982 that
2 was quoted in SC&A's analysis identified
3 particularly the early years that Building 444
4 as being particularly problematic.

5 Now, NIOSH seems to not have accepted
6 that analysis in the sense that dose
7 measurements are regarded as contact doses
8 that don't necessarily indicate high exposure
9 potential. And then the high dust identified
10 in the document is also dismissed
11 essentially. I don't want to unfairly
12 characterize it so let me just kind of refer
13 to the NIOSH document itself. Give me a
14 moment to find it.

15 And I'm just reading it as it is
16 written there. The concern expressed about
17 dust high in Thorium-234 and PA-234M would
18 seem to be unfounded. First, given the nature
19 of foundry work, it seems unlikely that large
20 amounts of dust would have been created that
21 contained these two radionuclides. Second,
22 very little skin would have been exposed for
23 dust accumulation. Finally, given that any
24 contamination on the skin was easily removed
25 by washing, it would not have accumulated.

1 Now the first statement about the
2 concern regarding dust being unfounded is
3 contradicted largely by the
4 document. It said that dust which was
5 generated in burnout and breakout areas and
6 settled on various pieces of equipment.

7 And from there there were additional
8 beta radiation fields generated. This also
9 resulted in excessive dust in the atmosphere.
10 Now, he feels it was well handled, but this
11 appears to be primary documentation from Rocky
12 Flats about high dust in the 444 atmosphere
13 and also the high dose potential is documented
14 here.

15 So we're not, at least on this first
16 reading, in accord with NIOSH's statement
17 about exposure potential. There is some
18 documentation about foundry workers from 1969,
19 if I can just refer to that even though it's
20 in the other paper because it directly
21 concerns foundry workers. Maybe we can just
22 discuss the foundry issue as a whole.

23 That's in an unnumbered table, but
24 it's on page, there's no page numbers on this
25 document so it's on page three anyway. The

1 title of the table is 444 Foundry Workers
2 Exposures by Year Penetrating Skin Dose in
3 Millirem. It shows four quarters of data for
4 1968 and four for 1969. And it shows that the
5 workers had zeros in 1969, the first three
6 quarters, essentially indicating the kind of
7 zeros we've been talking about, that their
8 badges weren't read and zeros were entered.

9 And then it shows a fourth quarter
10 measurement for the workers, which were done
11 for these seven foundry workers. And actually
12 this data indicates that this idea that it was
13 generally ten people who were not badged or
14 people who were badged and their badges were
15 not read had less than ten percent of their
16 exposure potential, this data actually doesn't
17 validate that assumption.

18 Now, we went through this at Y-12 in
19 that, you know, they may have made their
20 judgment with the best of intentions, but as
21 the data stand one worker did not return their
22 badge. The data for six workers in the fourth
23 quarter of 1969, out of those six workers,
24 four of them had more than ten percent of the
25 exposure potential for penetrating dose

1 because ten percent of the exposure potential
2 for the quarter is 125 millirem. And for
3 shallow dose one had more than the ten percent
4 of the exposure potential out of six.

5 That's a limited set of data, but it's
6 not a very good validation of the idea that
7 exposure potential was generally less than ten
8 percent, and that the calls that were made for
9 those who were not badged, or in 1969 those
10 whose badges were not read, were correct.
11 And so the implication of this for the 1950s
12 when many of the workers were not badged don't
13 actually justify the conclusions that NIOSH
14 has made that the concern about the exposure
15 potential were unfounded.

16 Specifically, the idea that when there
17 are zeros in the records they can be replaced
18 by LOD or limit of detection or the limit of
19 detection divided by two for the gaps does not
20 appear to be justified as a uniform policy.
21 So that the zeros that are there, the ones
22 that are gaps, have to be distinguished from
23 the ones, from the badges that were read and
24 where the reading was below significant
25 detection. So it's a fairly significant issue

1 there.

2 The other sort of example that NIOSH
3 has provided about the ability to do dose
4 reconstruction and the availability of data to
5 fill in the gaps relates to Building 81,
6 enriched uranium, and actually provided more
7 data here for 1960s. And that's on page five
8 of NIOSH's completeness report I believe. I
9 have my version with all my comments on the
10 side so I don't know whether my page numbers
11 are right.

12 **DR. ULSH:** I think you're correct, Arjun.
13 You're talking about the table on page five,
14 right?

15 **DR. MAKHIJANI:** It's at the bottom there.
16 And so it does appear that the Building 81
17 workers have identified and identifiable
18 doses. And in the example given we agree with
19 NIOSH that the coworker models weren't applied
20 in the example given for that year. The
21 coworker model doses are clearly more than the
22 extrapolated doses for one year from the
23 fourth quarter.

24 But as NIOSH says, there were no data
25 for this group of workers in the 1950s. And

1 the main problem identified for external dose
2 in terms of data gaps at Rocky Flats was for
3 the 1950s. NIOSH has given two reasons, and
4 then there's a similar demonstration for '61,
5 and we agree with that demonstration and
6 NIOSH's characterization of it.

7 **DR. WADE:** There is someone breathing very
8 heavy on the telephone so I would ask you to
9 mute or if mute's not possible to take the
10 mouthpiece away and just listen because we're
11 hearing from others on the phone that they're
12 having great difficulty following. So please
13 deal with that situation. Thank you.

14 **DR. MAKHIJANI:** On page seven of NIOSH's
15 paper at the top there are two bullet points
16 explaining how the presented data can be
17 applied to the 1950s. And these are very
18 qualitative. They don't actually present an
19 analysis, and they don't present any
20 references or documentation as to the
21 assertions.

22 The first rationale is that the amount
23 of enriched uranium processed increased
24 throughout the 1950s and plateaued in the
25 early 1960s. Therefore, the source term in

1 the early 1960s was higher than the source
2 term in the 1950s. We've discussed this
3 particular kind of rationale for estimating
4 individual doses before in several contexts,
5 and the main one in which this one can be
6 applied actually to an individual dose is when
7 you're going from a worker who would be
8 working for a few hours or part time to full
9 time and when work is increasing so that the
10 number of hours of an individual's work goes
11 from part time to full time.

12 But if you have ten full-time workers
13 and then you have 50 full-time workers, it
14 doesn't indicate that the ten full-time
15 workers had more doses than the 50 full-time
16 workers. Those are determined by the working
17 conditions for those ten workers. And there
18 are many conditions in which there are few
19 workers but high exposures and then many
20 workers had lower exposures and that just
21 depends on the conditions.

22 And so unless there's some kind of
23 data that indicated that enriched uranium
24 workers, part-time work, in the 1950s, I think
25 this particular argument doesn't appear to be

1 germane for individual dose reconstruction.
2 You know, number of hours per year and so on
3 is germane for the thorium discussion when
4 agreed that it was part-time work in the light
5 machining or whatever would come to that. It
6 doesn't seem to be germane here, at least
7 there's not data that's presented to indicate
8 that it is applicable.

9 And then the second is that there were
10 no major changes in Building 81 configuration
11 shields, for example, shielding improvements,
12 et cetera, that would have depressed doses the
13 workers received in the early 1960s. So that
14 there's the argument that, inference that you
15 can actually assume that workers to the doses
16 in the '50s were similar to those in the early
17 '60s.

18 Now, there's no documentation or
19 references where we can see that there were no
20 major changes, but accepting it on face value,
21 there are lots of instances where you can find
22 considerable variations in working conditions
23 from one week to the next that are documented
24 especially in the 1950s throughout the weapons
25 complex.

1 And I think in the absence of data or
2 some kind of demonstration it's at least hard
3 for us to accept this argument at face value
4 that because there were no major, physical
5 infrastructure changes that that automatically
6 means that your doses in the 1960s would bound
7 the doses in the 1950s.

8 Generally, there was a trend of
9 declining doses from the '40s and '50s into
10 the '60s and '70s in the weapons complex with
11 some exceptions in particular places and times
12 and operations but an unmistakable trend so
13 far as my experience indicates. And so this
14 particular rationale as a general rationale I
15 think doesn't demonstrate that a bounding dose
16 can be developed from the 1960s data.

17 So in sum we're kind of left without a
18 substantive demonstration under 42-CFR-83 that
19 dose reconstruction of sufficient accuracy can
20 be done for this group of workers who were
21 either not monitored or their badges were not
22 read in the 1969-'70 period. Again, it's not
23 to say that this can't be done or that
24 suitable models can't be created or even that
25 the existing coworker model wouldn't cover the

1 situation.

2 But none of the arguments presented,
3 whether it's the 52 cases dose reconstructions
4 or the specific analysis of enriched uranium
5 and 444 operations addresses the issue
6 substantively for the 1950s. For the foundry
7 workers it's unclear how long that issue might
8 go on. For enriched uranium workers I think
9 there is a convincing demonstration for the
10 two years for which there is data. The
11 coworker model does envelope the available
12 data for those workers.

13 Let me just stop there.

14 **DR. ULSH:** Perhaps I can respond.

15 **MR. GRIFFON:** Can I just ask one thing
16 before we get into the details of Arjun's
17 comments. It struck me, too, the cases, not
18 all of them, but many of the cases it seemed
19 to point out that the fact that they could
20 complete those and I'm not sure. I wonder
21 about the relevancy to what we're, the task at
22 hand was really look at these cases.

23 **MR. ELLIOTT:** But who picked the cases? Who
24 selected the cases? I mean, they were
25 randomly selected.

1 **MR. GRIFFON:** Right.

2 **MR. ELLIOTT:** Why didn't we go after the
3 foundry cases first if that's what --

4 **DR. ULSH:** I'll perhaps address that.

5 **MR. GRIFFON:** Yeah, we can address the
6 foundry thing separately, but I'm, the point
7 is the cases were randomly selected. We all
8 agreed that the claimants would be the easiest
9 population to sample from. But the question
10 that is before the work group and the Board is
11 can NIOSH reconstruct dose for the entire
12 class and for all organs of interest.

13 Now just because you can do one, just
14 because a case was completed, I think it's
15 kind of irrelevant to answering that question.
16 That's all I'm saying. Am I wrong? I mean,
17 you seem to state that again and again. I'm
18 not disputing whether it can or cannot be done
19 in each case, but is it relevant to that
20 answering our ultimate question? That's what
21 I'm trying to get at.

22 **DR. NETON (by Telephone):** This is Jim. I
23 think that there's fairly compelling evidence
24 though that once we went through these cases,
25 the whole point of the data completeness issue

1 is the work group wasn't convinced that they
2 thought we were, they thought that we would
3 have to rely more substantially on coworker
4 models. In all these cases I think it was
5 shown that only two relied on coworker models
6 in general. It may have been the case that,
7 at least in this random sample, that they
8 aren't heavily relied on and that was the
9 whole point of doing this data completeness
10 evaluation.

11 **DR. ULSH:** There's another --

12 **MR. GRIFFON:** Yeah, I don't think that was
13 the whole point. I think --

14 **DR. NETON (by Telephone):** Why were you
15 worried about data completeness other than
16 when they rely more heavily on a coworker
17 model than you heretofore believed?

18 **MR. GRIFFON:** Because originally we were,
19 that was suggested to us that the coworker
20 model was going to be relied on for a couple
21 cases. And then we asked that question. We
22 got a different response later because I think
23 -- maybe not a different response, but a more,
24 it was examined further once the coworker
25 models were fully --

1 **MR. ELLIOTT:** I that's been taken out of
2 context. I think what Brant said early on,
3 and the transcript will show that his remarks
4 about use of coworker data were relative to
5 the claims that had already been
6 reconstructed. And that we knew that there
7 were some claims ahead of us that we'd have to
8 develop coworker datasets for. Am I correct
9 in my understanding of that, Brant?

10 **DR. ULSH:** What I said at the time was I
11 said at this time there are two cases that we
12 know of that would have to rely on coworker
13 data. This was, I don't know, some time last
14 year, middle of last year --

15 **MS. MUNN:** Yes.

16 **DR. ULSH:** -- I don't remember when. I
17 would have to look at the transcript to be
18 sure, but I think I said at the time that, you
19 know, there are still X number of claims that
20 we have not completed, and I can't tell you
21 what the reliance on coworker data will be
22 there, but it looks like we are going to have
23 to use it far less than at other sites. I
24 said that then. I maintain it today. My
25 decision is not --

1 **MR. GRIFFON:** Yeah, I don't want to drag
2 this down into pulling out transcript quotes
3 as has been done in some reports, but I might
4 look at the Denver (Blackberry interference)
5 report if people are interested. But I mean,
6 we got the impression that there was going to
7 be very little reliance, and now I agree
8 there's probably still not a great reliance on
9 the internal dose (unintelligible) more
10 reliance on the external it would seem is
11 where I think is where we're at. And I just
12 want to move. I'm not trying to point any
13 fingers, I just want to try to move forward.

14 **DR. WADE:** Let's deal with Mark's question.
15 Arjun raised it and I think it's easily dealt
16 with. I mean, there was the 52 cases were
17 looked at, certain data gaps were identified.
18 NIOSH has gone through a fairly complete job
19 of identifying them. But in the body of the
20 NIOSH report, there contains the logic that
21 this represents proof that we can do dose
22 reconstruction with sufficient accuracy.

23 I think that's not necessary to say
24 because as Arjun points out many of the dose
25 reconstructions are overestimates or

1 underestimates. It doesn't need to be said.
2 The statement adds nothing to the debate, and
3 I think it creates a false impression.

4 **DR. ULSH:** There were a lot of issues in
5 Joe's comments and then Arjun's comments and
6 the comments now. The reason that we talked
7 about whether or not dose reconstructions were
8 complete is because that, at the bottom, is
9 the whole reason that we're looking at this.
10 Is the data sufficient to do dose
11 reconstructions of sufficient accuracy? Now,
12 it was certainly true --

13 **MR. GRIFFON:** For the entire class for all
14 organs of interest. I mean, that's --

15 **DR. ULSH:** Okay, so we approached this data
16 gap question with two questions in mind. One,
17 do gaps exist, and two, if they do exist, do
18 they prevent us from doing dose
19 reconstructions. We have tools that let us
20 deal with situations where the record is less
21 than complete, and they are the tools that you
22 have mentioned: overestimates,
23 underestimates, coworker data, et cetera.

24 So to answer the question do we have
25 data sufficient to do dose reconstruction, you

1 have to look at were we able to do the dose
2 reconstruction even if there were situations
3 where the data was incomplete. And I have to
4 stress that we found, by and large without one
5 single exception, the data were complete in
6 the first place.

7 Furthermore, we had sufficient data to
8 do dose reconstruction, and that's why we
9 made, we put that analysis in the data about
10 whether or not we had been able to do a dose
11 reconstruction. I mean, that's the bottom
12 line question right there. So let's talk
13 about, there were a lot of different issues,
14 and I think we've talked about a couple of
15 different groups of workers, and there's a lot
16 of issues being conflated here that I think we
17 need to de-convolute.

18 First, I think when Joe summarized the
19 scope of SC&A's analysis, I'm in complete
20 agreement that that was the scope of SC&A's
21 analysis, that you all were going to look at
22 when data was present and when it wasn't.
23 That was the task. That's the way I remember
24 it, the task put before SC&A for the working
25 group.

1 Where I have a little heartburn is the
2 situations that Arjun mentioned calling them
3 inadvertent where the attachments to this
4 report, there were in fact two spreadsheets
5 where they concluded the data were missing.
6 And if you want to back off of that
7 characterization that's fine.

8 But my heartburn with that
9 characterization is that that is
10 misinterpreted by members of the public and
11 Congressional representatives. When they hear
12 that data is missing, then that forms the
13 basis of bills in Congress. It forms the
14 basis of beliefs by members of the public that
15 there are gross problems with these records,
16 and that is simply not true. And that is what
17 I have real heartburn about.

18 **DR. MAKHIJANI:** Can we settle that, please?

19 **DR. ULSH:** Yes.

20 **DR. MAKHIJANI:** If you look at, we conceded
21 that term missing was not appropriate. We
22 said that the term missing is not used in the
23 analysis at all, and if I'm wrong, please
24 correct me. The term missing appears really,
25 essentially in one table, Table 3 of

1 Cumulative Analysis which is only a summary.
2 It's an error. It's inadvertent. We
3 certainly called attention to the fact that it
4 was an error, and it will be corrected.

5 But if you look at the actual
6 compilations, Table 1, Table 2, data
7 compilation gap 1980, gap -- I personally went
8 through and changed all of these things where
9 it previously said missing in the first draft.
10 We had our discussion. You pointed this out.
11 I know that I personally changed these things.
12 Now, we've had a lot of things with sending
13 them for Privacy review, you know. There has
14 been a lot of versions of paper floating
15 around, and I truly regret that there was one
16 summary table and with the word missing
17 unfortunately it appeared maybe ten times.

18 **DR. ULSH:** Twenty-four.

19 **DR. MAKHIJANI:** What?

20 **DR. ULSH:** Twenty-four on one table, 20 on
21 the other. But I think we're --

22 **DR. MAKHIJANI:** You're looking at the wrong
23 version of the tables. I got this off of your
24 website, our report on your website.

25 **DR. ULSH:** Okay, well, I'm looking at the

1 version that when I objected to this at the
2 January 9th working group meeting, you sent a
3 message to Joe, and Joe forwarded it to me.
4 It said being responsive to Brant's comments,
5 and that was my objection to the use of the
6 word missing. The spreadsheets that were
7 attached, and there are two, contain the word
8 missing 20 times on one of them and 24 on the
9 other. And this has been picked up in public.

10 **DR. MAKHIJANI:** Well, Brant, this is not the
11 public report because the, we really should
12 settle this issue because, both for the
13 process and the record, because what is public
14 is what is on the NIOSH website. And
15 actually, if it's different than what you have
16 it shows that we actually went back and in
17 good faith made the corrections.

18 And unfortunately, as I said, in one
19 summary table the word missing appeared one,
20 two, three, four, five, six, seven, eight,
21 nine, ten, eleven times in one table, but it
22 doesn't, and in one other place, but otherwise
23 everywhere the word missing was replaced by
24 the word gap in accordance with our accepting
25 your comments. So let's not snatch defeat out

1 of the jaws of victory here.

2 **MR. GRIFFON:** I think we're in agreement.

3 **DR. ULSH:** I think we're in agreement.

4 We're in agreement that it's not appropriate
5 necessarily to conclude the data is missing so
6 I think we can move on.

7 **DR. MAKHIJANI:** We agreed with that.

8 **DR. ULSH:** Okay, now there are a bunch of
9 other --

10 **DR. WADE:** Let Joe speak.

11 **MR. FITZGERALD:** Yeah, Joe Fitzgerald. One
12 other comment, too, and this process is
13 evolving, and this was the very first draft
14 that we provided NIOSH and the work group.
15 And when I e-mailed that I think I even said
16 in the transmittal that this hadn't gone
17 through copy editing and the only purpose of
18 providing it was to facilitate discussions at
19 the table.

20 Now by the way, I'll acknowledge that
21 these have been posted in a public way which
22 certainly complicates things when you're
23 dealing with the issue of first drafts. But,
24 you know, in providing a first draft I think
25 there's got to be an expectation and an

1 understanding that we would expect to have
2 comments. In fact, the comments we're
3 receiving from you right now on this first
4 draft were certainly going to reflect, be
5 reflected in the report that we're writing.
6 So I guess keep that in mind as well that this
7 is a process that's evolving, and it has come
8 into a public forum the way it has worked out.
9 But this, again, is a draft that both from the
10 content standpoint as well as editorial
11 content standpoint we would expect to get
12 feedback, make corrections and that's what you
13 do with first drafts.

14 **MR. GRIFFON:** And I think, clearly, even
15 from last work group meeting, I think
16 everybody is in agreement that we should call
17 these things gaps and that statement was made.

18 **DR. ULSH:** Well, I think we can move on. If
19 everyone's --

20 **MS. MUNN:** This is Wanda. You know, we're
21 really getting hung up on semantics. And the
22 semantics are not the issue really. The issue
23 is how do other people outside of this group
24 and outside of the technical community
25 interpret that word; whichever word you use is

1 interpreted by other individuals who do not
2 understand either the process nor the comment
3 about source terms and where things lie.

4 They interpret that as being something
5 that is not there that needs to be there; and
6 therefore, conclude, possibly erroneously,
7 that something cannot be done since
8 information for some reason does not exist.
9 Now that's, it doesn't matter what word you
10 use, that's what comes into people's minds
11 otherwise.

12 And the point that Brant made is well
13 taken. This leads to concern by elected
14 officials. It leads to concern by
15 organizations that have representation for
16 workers, and it certainly leads to concern
17 from the workers themselves. So the word is
18 secondary. The meaning that is transmitted is
19 of concern when we in our attempt to be
20 completely open in what we do stress over and
21 over again some point like this.

22 What we are doing in my personal
23 opinion is misleading both the public and the
24 individuals who are most concerned with what
25 we're doing. So I would urge us not to argue

1 about the terms so much as to be cognizant of
2 the impact that our deliberations have.

3 One other point, it is disturbing to
4 become adversarial over issues of this point.
5 I would like you to remember that SC&A is a
6 contractor to the Board whose charge was to
7 point out to us major items that may have been
8 overlooked in the process that the agencies
9 were undertaking here. And this is not an
10 auditing process, and this is not an
11 adversarial process.

12 This is two organizations, one an
13 agency and one a subcontractor of the Board,
14 who are attempting to identify what truth is
15 and what can and cannot be done with respect
16 to the very extreme amount of information that
17 we have on sites like Rocky Flats, and we do
18 have a plethora of information here. So it
19 behooves us to step back once in awhile and
20 remember who we are, what our object is and to
21 review for ourselves whether we are or are not
22 playing fair with the public, with our elected
23 officials and with the workers when we take
24 our deliberations perhaps past the point of
25 reason in terms of what we can and cannot do.

1 **MR. GRIFFON:** And I think that we can, I
2 agree with the point that we can have
3 disagreements here, but we don't need to have
4 it so, you know, it doesn't have to get
5 adversarial. I think we all need to sometimes
6 step back from that and remember that.
7 Everyone's just trying to do their job in this
8 way.

9 **MS. HOMOKI-TITUS:** I'm sorry to interrupt.
10 I just got another e-mail from some people on
11 the phone saying after the burst of static now
12 they can't, they can barely hear at all. So
13 they want to know if we would mind hanging up
14 and try calling back into the call-in line.
15 Sorry.

16 **DR. WADE:** Do you want to take a quick
17 stress break?

18 **MR. GRIFFON:** Yes, we'll take a break.

19 **DR. WADE:** We're going to take a quick break
20 and try to re-establish the phone line. Thank
21 you.

22 (Whereupon a break was taken.)

23 **MR. GRIFFON:** I think Wanda has --

24 **MS. MUNN:** One more question before we go.
25 I was going to ask a question of Dr.

1 Makhijani. I wanted to ask whether there was
2 any other category of workers other than
3 specifically foundry workers that he has any
4 personal reservations about coverage for to
5 date with the efforts that have been brought
6 before this working group.

7 **DR. MAKHIJANI:** Well, as I mentioned in my
8 sort of follow-up to Brant, there are the
9 Building 81 workers in the 1950s for whom
10 there is a question about back extrapolating
11 the data from the '60s. Generally, the gaps
12 that we identified, Ms. Munn, were for
13 external data for non-plutonium workers in the
14 1950s and to show that the existing coworker
15 models, the new coworker models could cover
16 them. And foundry workers seem to be the ones
17 with, of the ones that we knew, we haven't
18 studied all the processes that had them,
19 seemed to have at least some potential for
20 high exposure.

21 But those are the only two groups that
22 I know of in terms of what we've looked at.
23 Enriched uranium Building 81 workers in the
24 1950s and the back extrapolation involved
25 foundry workers, the period a little unclear,

1 1950s and maybe somewhat into the '60s. I'm
2 unclear because we only have one point of
3 reference, well, two, 1968 and 1969 in terms
4 of the data so it's a little bit harder for me
5 to say there.

6 **MS. MUNN:** I see, so those are the only two
7 that you have outstanding concerns about at
8 this time that you anticipate?

9 **DR. MAKHIJANI:** Well, we --

10 **MR. GRIFFON:** I guess I would say there's
11 other questions I have on the data
12 completeness thing. I think the one that's
13 most likely to present a question with regard
14 to being bounded by the coworker approach is
15 this foundry question. But there's certainly
16 questions that I have still remaining in the,
17 in some of those middle gaps, that period
18 where even though there's some arguments made
19 that based on the job title or the likelihood
20 of radiation exposure, that there's no
21 surprises in gap there.

22 All policies that I was aware of
23 before this seemed to point to that they
24 should have been on at least a quarterly
25 monitoring program. So why not is kind of

1 what I'm asking. Now I don't expect that they
2 were high exposed. So I'm expecting that you
3 could probably use a coworker model to fill
4 that gap if, in fact, there is a gap.

5 **MS. MUNN:** I anticipate that we'll be going
6 there later on your agenda, but I just wanted
7 to make very sure that there were no other
8 real categories of individuals of potential
9 claimants that you had any reservations about
10 at this time.

11 **DR. MAKHIJANI:** We haven't talked about 1969
12 so maybe --

13 **MS. MUNN:** No, that comes later, but I --

14 **DR. MAKHIJANI:** Within the framework of this
15 discussion, I think I, I don't know, Joe, I
16 presented our analysis and what we've
17 discussed in terms of where we feel the gaps
18 are.

19 **MR. FITZGERALD:** Yeah, I think that what
20 we've given NIOSH and what we've been talking
21 through I think it pretty much scopes what
22 we've identified.

23 **DR. MAURO (by Telephone):** This is John
24 Mauro. Can I say something about the
25 discussion I've been listening to for a

1 second?

2 **MR. GRIFFON:** Sure.

3 **DR. MAURO (by Telephone):** Thank you. I
4 think we may, I know we got into deeply into
5 some complex issues. I'd like to step back a
6 little bit and go back to the sampling
7 findings that NIOSH reported regarding gaps on
8 the 52 cases. I think there's something very
9 important that happened there, and
10 unfortunately, I think we went by it a little
11 too quickly.

12 I think originally the reason we did
13 the sampling as I understand it there was
14 concern that there might be gaps out there
15 that represented missing data that might be
16 important to fully characterize and
17 appreciate. What I heard is that we did find
18 gaps, but it turns out for all intents and
19 purposes there really isn't anything that you
20 would call, what I heard was missing data.

21 That is, people that if there was
22 missing data, there's a reason why it was
23 missing. It was completely consistent with
24 what was going on. So it's not that there,
25 this is part of the purpose of the

1 investigation as I understood it was what are
2 the size of the gaps and what the reasons for
3 the gaps are. And I think that the reasons
4 have been fully explained except it sounded
5 like in one place we did have some what you
6 would call, I think there was one individual
7 in one year.

8 I think it's important to separate
9 that and understand that -- I think we have
10 closure there unless the method is
11 undisputable, what was characterized by Brant.
12 So I think that was a very, very important
13 finding. That is, notwithstanding the fact
14 that there were a substantial number of gaps
15 which Larry called on the order of about 30
16 percent of the records. That was the number
17 that stuck in my mind regarding both internal
18 and external over if we look across the whole
19 body of data.

20 But it sounds to me all of those gaps,
21 there's a reason why there was a gap there
22 which is perfectly understandable. And I
23 think that's important in terms of the
24 robustness of the dataset upon which we're
25 looking at.

1 moving on to the subject. But nevertheless we
2 do have people who were not monitored. We do
3 have places where there were zeros. Now
4 that's separate from this question of the gaps
5 and the reason for the gaps.

6 Now we're really moving into the realm
7 of when there is, in fact, people who were not
8 fully monitored for whatever reason, how do we
9 go about reconstructing their doses. So all
10 I'm trying to do right now is point out that I
11 think those are two separate subjects. I
12 think we very successfully addressed the first
13 part unless there's some question regarding
14 the, you know, NIOSH's interpretation of the
15 fact that for all intents and purposes there
16 is no missing data, there are gaps, and there
17 are legitimate gaps. Gaps now that we're
18 about to talk about that we can discuss, the
19 extent to which there are methods in which
20 those individual doses can, in fact, be
21 reconstructed.

22 What I've just described, do you have
23 the same sensibility regarding that? Is that
24 what just transpired?

25 **MR. GRIFFON:** Short answer, no.

1 **DR. MAURO (by Telephone):** Okay, that's
2 important to me because that's the reaction I
3 --

4 **MR. GRIFFON:** I think you're ahead of me in
5 terms of agreement with NIOSH's findings. I'm
6 not there yet. I'm not saying that they're
7 inaccurate. I'm just saying I'm not quite
8 there yet, John. And part of what I was, you
9 made a point in the middle of your statement
10 that there were some gaps that based on
11 today's monitoring practices wouldn't have
12 been there.

13 That's not what, I think you might
14 have been picking up on my point earlier, and
15 I wasn't talking about on today's monitoring
16 standards. I was talking about the monitoring
17 practices of the time. And so I'm trying to
18 still flush some of that out in my mind
19 anyway. There's time periods, we've discussed
20 a few of these fairly extensively at the last
21 meeting, that one person that had, for all
22 intents and purposes we saw in the site
23 profile, I believe, and correct me if I'm
24 wrong on the year, but I think in 1964
25 everyone at that point was badged except maybe

1 the subcontractor question which now is a
2 clarification on that.

3 So I'm still trying to get my handle
4 on the, do what's reflected in the records
5 match up with the monitoring practices of the
6 time period? And I was hoping that there may
7 be some sort of spreadsheet-style analysis
8 that backed up each one of these paragraphs
9 rather than, because they're all sort of
10 making different points on why a certain case,
11 that you had data sufficient for that time
12 period of interest. But without seeing it
13 laid out in table format, it was a little hard
14 to go through systematically.

15 But there's the one person, there's a
16 few people actually that have this potential
17 gap in their records in the '64-to-'75 range,
18 and even though in most cases, and I think
19 it's reflected in NIOSH's report, they look
20 like low radiation potential jobs,
21 notwithstanding that according to the policy,
22 my understanding was that they should have
23 still been on a quarterly badge program.

24 Now that might be that memo where we
25 said in 1969 that they had a policy, at least

1 for some period of time, where they didn't
2 read, they might have been badged, but they
3 didn't read the badge for quarterly workers.
4 And basically it was because of probably the
5 volume of work or whatever. It was probably a
6 cost reduction thing, and it was supported by
7 the fact that these were lower exposure
8 workers. I don't dispute that.

9 But then we see this, in some workers,
10 this continues, and I'm not sure we've got a
11 handle on when that starts and stops and I was
12 looking for more of this kind of, and I know
13 it's difficult because sometimes you just
14 don't have the documents, but you know, a
15 borderline test for that to say, okay, that
16 gap makes sense because that was the policy
17 for '69 to '72 or whatever.

18 **DR. MAURO (by Telephone):** That's what I was
19 trying to do, Mark. I heard Brant's
20 presentation regarding their detailed analysis
21 of those two cases, and where we identified
22 gaps, I think it was my understanding that
23 there was a reason based on their
24 investigations of why that gap existed. And I
25 guess I did not walk away, Mark, from the

1 conversation that went on for quite a bit
2 after that with the sense that I appreciated
3 what did the sampling tell us then.

4 In other words, how did the sampling
5 program and the gaps that we've identified,
6 and then the analyses that NIOSH has done
7 regarding those gaps and presented their
8 findings regarding them, what does that tell
9 us now regarding the records? How does that
10 help us move, you know, and I guess I had a
11 little trouble understanding the process that
12 we're in.

13 **MR. GRIFFON:** I guess my understanding of
14 it, and this sort of goes to Jim Neton's
15 question earlier, too, but my understanding of
16 what we've gotten here is basically, you know,
17 we went down this data completeness approach
18 more because it was apparent that there was a
19 stronger reliance at Rocky Flats for the
20 individual radiation files, that they had the
21 data for the individuals.

22 We started looking at coworker models
23 early on and the database data, and we came up
24 with some questions, but we were, at least I
25 was given the impression that for the most

1 part, and I'm not, again, I'm not trying to
2 pull transcript quotes or anything like that,
3 but for the most part there wasn't going to be
4 a heavy reliance on these coworker models
5 because in fact each individual had a complete
6 radiation file, complete enough to do dose
7 reconstruction.

8 So then we said, well, for the class
9 we'll sample from this and say for the class
10 are these records complete enough. And if
11 not, if we find gaps that we believe are truly
12 gaps in their records, would the coworker
13 approach, you know, do we need to use the
14 coworker approach to fill that gap. And if
15 so, is the coworker approach adequate. Is it
16 bounding and is the data in that reliable.

17 So sort of we got away from, you know,
18 it's sort of this two-pronged test that if you
19 don't use the coworker models much, we sort of
20 stopped pursuing the question of HIS-20 versus
21 CER and all these concerns about the data.
22 If, in fact, we end up needing these coworker
23 models more, then the question comes up again.

24 And I think we've answered, you know,
25 I think we've got responses on both those

1 fronts, so I don't think we're, at the end of
2 today we're not going to ask for more research
3 to be done. We just want clarifications now.
4 But that's sort of the reason we went down
5 this path.

6 And then the other question will be,
7 and I think NIOSH is saying we've got
8 approaches that they've used already, and they
9 also additionally have coworker, not
10 necessarily coworker, but some techniques, DR
11 techniques to fill in gaps and additionally
12 they have these other, the TIB-038 and TIB-058
13 coworker models.

14 But what I'm not completely
15 comfortable with, and part of it's because I
16 just haven't digested the entire scope of the
17 report, but the question, if you look at each
18 case sort of summary that NIOSH provided, then
19 to me there's still some questions on the
20 monitoring policy at the time. Whether the,
21 if you line up the data the individual had
22 during that time period and the monitoring
23 policy of the time, was there that strong of a
24 match? So I guess that's where I'm still at
25 on the data completeness section of it.

1 **MS. MUNN:** And I'm not quite in the same
2 place, John, for your information. But I'm a
3 little concerned that we've kept Dr. Ulsh
4 waiting for over 30 minutes to respond to the
5 comments that Dr. Makhijani made earlier, and
6 perhaps we could hold our discussion a little
7 bit while that response came forward that
8 might answer some of the questions for us.

9 **MR. GRIFFON:** Go ahead, Brant.

10 **DR. ULSH:** All right, some of the, okay,
11 like I said, there were a lot of issues that
12 were mentioned, and I think there's a lot of
13 things that come into play here. And it's
14 important to discuss them separately, de-
15 convolute it.

16 There were a couple of, for lack of a
17 better word, groups of workers that SC&A was
18 concerned about. The first is foundry
19 workers, and the second is enriched uranium
20 workers in Building 81. There were also a
21 couple of different time periods that were
22 mentioned, the '50s and 1969 and '70. And
23 those were, all four of those factors there
24 are completely different. They're separate.

25 So let me just start first of all with

1 the foundry workers in the '50s. I don't know
2 that SC&A said this explicitly, but you seem
3 to be under the impression that foundry
4 workers in the '50s were not monitored. Am I
5 misstating your --

6 **DR. MAKHIJANI:** No, yes, you are. We
7 haven't, all we've said is that the data
8 completeness findings were that the non-
9 plutonium workers had the gaps in external
10 dose in the 1950s. That's where the gaps were
11 concentrated.

12 **DR. ULSH:** Okay.

13 **DR. MAKHIJANI:** So there would be uranium
14 workers, everybody was outside the 700 series
15 buildings. Now the foundry worker question,
16 we did not actually look for foundry worker
17 data, just for the record, because of what
18 Larry Elliott brought up. You know, why
19 didn't we look at foundry workers was the
20 concerns. The non-plutonium workers became a
21 concern because of the analysis of the random
22 cases. We didn't know beforehand what we were
23 going to find, and the foundry workers became
24 a concern because in that context there was
25 also the document from *, that said

1 that this was a place of particular concern.

2 Now we haven't found any data for the
3 1950s for foundry workers nor have we looked
4 through to try to identify a database. We
5 were looking to you to show some foundry
6 worker data for the '50s for whoever was there
7 because we understood that you might do that
8 and that they would be monitored because of
9 their higher exposure potential, something
10 like that. We didn't find any.

11 **DR. ULSH:** Well, in fact, that's exactly
12 right. I'm going to circulate some more
13 Privacy Act material. Please get this back to
14 me if you're not going to take it home.

15 In a discussion that SC&A and NIOSH
16 had, your interview with Roger Falk, we
17 discussed that the enriched uranium workers in
18 Building 81 were not monitored until the
19 fourth quarter of 1960, and that's true.
20 We're in agreement there. They weren't.

21 That's not the foundry workers though.
22 The material that I'm handing around right now
23 is dosimetry, just an example of dosimetry
24 results for foundry workers in 1953. In fact,
25 the foundry workers, as you stated, Arjun,

1 because they had a higher exposure potential
2 relative to other uranium workers were, in
3 fact, monitored. They are not unmonitored
4 workers.

5 Coworker data is not a question for
6 these people in general. Now, I don't want to
7 swear that there's not a single unmonitored
8 foundry worker, but in general, the group that
9 includes foundry workers were monitored. So I
10 think that the urgency in terms of
11 applicability of coworker data to foundry
12 workers in particular is not really
13 appropriate because they were, in fact,
14 monitored and here's an example of that.

15 Now, you're right, Arjun, that the 52
16 workers that we looked at for data
17 completeness, I'm not aware of any foundry
18 workers in that 52, in that group of 52. So
19 your caution about making any conclusions
20 about that is justified because there weren't
21 any in that 52. But this group of workers was
22 monitored in the '50s.

23 **DR. WADE:** How do we know if we're looking
24 at foundry workers?

25 **DR. ULSH:** If you look at the top corner, it

1 says Building 44, and then we've gone and
2 that's the uranium, that's the DU foundry that
3 we're talking about here.

4 This group includes not only the
5 foundry workers, but I think there's also
6 management types in here as well. But this is
7 the Building 44 workers for this particular
8 badge exchange cycle.

9 **DR. MAKHIJANI:** And we would be able to go
10 back to the documents and see that there are
11 many foundry workers in here. This is the
12 first time I'm seeing a record of foundry
13 workers.

14 **DR. ULSH:** I know. I got this because of
15 the question that came up. What you would
16 have to do, Arjun, to make that determination
17 is make sure that you're looking at Building
18 44 because that's where the foundry was. And
19 then beyond that you have to look at the job
20 exposure history cards and look for terms
21 like, I think, operator or, I don't know,
22 there might be a couple of other titles. But
23 that would indicate to you that if they were
24 an operator in Building 44, there's a good
25 chance they were doing a foundry-type

1 operation.

2 **DR. MAKHIJANI:** Were there only foundry
3 operations at 44 or other operations, too?
4 That's what I'm not clear about.

5 **DR. ULSH:** Building 44 was in large part, I
6 mean, it was a metallurgical operation for
7 handling DU, and it's a little too bright a
8 line to say foundry workers versus non-
9 foundry. I mean, they weren't, I don't think
10 that they were split up that way. They were
11 doing operations, not chemical operations, but
12 typical types of metallurgical operations that
13 occur in a DU metal-type operations. So if
14 you --

15 **MR. GRIFFON:** You said you'll see management
16 types on this list as well?

17 **DR. ULSH:** I think that this includes, well,
18 I don't want to say all building for those
19 pages I've given you. I don't want to say all
20 Building 44 workers although I think that
21 that's true for this badge exchange cycle.
22 And that will include foundry workers as well
23 as the salaried, management-type.

24 **MR. GRIFFON:** So someone made a judgment
25 that anybody in that, based on that premise

1 you're saying anybody could have received
2 greater than ten percent of the RPG because
3 that was the practice at the time, right?

4 **MS. MUNN:** If you're relying on the
5 assumption that the major source term is
6 there, yes.

7 **MR. GRIFFON:** Often time we've said
8 managers, you know, we look at job titles and
9 make decisions based on that so if we've got
10 management and salaried people --

11 **DR. ULSH:** I think, Mark, though that
12 judgment was not necessarily made on a per
13 individual basis. I mean, this group of
14 workers included people who had the potential
15 to get greater than ten percent; therefore,
16 they monitored the group.

17 **MR. GRIFFON:** I'm just trying to understand
18 --

19 **DR. ULSH:** I understand. That's a good
20 thing to be clear on.

21 **DR. MAKHIJANI:** Brant, what's the indicated
22 badge cycle here and the units, are they in
23 roentgens or looks like that, but I'm not sure
24 if it is --

25 **DR. ULSH:** It does look like that, Arjun.

1 **DR. MAKHIJANI:** It's not indicated.

2 **DR. ULSH:** I don't know. I'd have to go
3 back and investigate that in terms of what the
4 units are.

5 **UNIDENTIFIED:** It will give you an issue and
6 return date.

7 **MS. MUNN:** It looks like --

8 **DR. ULSH:** Yeah, in terms of the radiation I
9 would have to check that out.

10 **DR. MAKHIJANI:** But it's a monthly cycle?

11 **DR. ULSH:** It is a monthly cycle.

12 **DR. ULSH:** Okay, so that's the foundry
13 workers. Now that's the foundry workers in
14 the '50s. We need to keep that distinct from
15 '69 and '70. That's a separate situation, and
16 we'll talk about that separately.

17 Now in terms of the Building 81
18 enriched uranium workers, we know that they
19 were not monitored until the fourth quarter of
20 1960 as our report discusses. And we're in
21 agreement with SC&A that they were not
22 monitored. The question is why weren't they
23 monitored. Well, the reason is because with
24 the badging policies in place at the time,
25 those who were not expected to exceed ten

1 percent of the tolerance limit, it wasn't
2 required that they be monitored.

3 And if you look at once they were
4 monitored in the fourth quarter of 1960 and in
5 1961, that judgment appears to be justified
6 because they were lower than the tolerance
7 limit. But of course, the question as Arjun
8 has pointed out, the question remains can you
9 go back in time, extrapolate back into the
10 '50s. And I think that's a valid question.

11 The things that would give me pause
12 about extrapolating back in time would be if
13 there were the source term was different or if
14 there were exposure conditions that might have
15 been different, for instance, improved
16 shielding or whatever. And that's why I put
17 those two bullets that Arjun referenced into
18 this report because if you look at the
19 material balance and account ledgers, which
20 for enriched uranium, are classified.

21 But SC&A has people who have
22 clearances, and if you want to verify this,
23 you can do that. But those account ledgers
24 show that the amount of enriched uranium that
25 was processed at Rocky Flats throughout the

1 '50s steadily increased up to a plateau in the
2 early 1960s. And in some time in the middle
3 '60s -- I can't remember if it was '63 or '65
4 -- all of those operations, enriched uranium
5 operations, were transferred to Y-12.

6 So what you see in terms of the source
7 term present is that the amount that was
8 present that was being handled in the '60s was
9 higher or equal to the amount that was being
10 processed throughout the '50s because of that
11 steady ramp up throughout the '50s.

12 And also you don't see major building
13 configuration changes. For instance, I mean,
14 in other buildings, I think in particular
15 around the americium line, there were projects
16 to increase shielding. They observed high
17 exposures, and they increased the shielding.
18 Well, obviously, if you had enriched uranium
19 operations in the '50s and then in 1957 you
20 said, wow, we need more shielding, and you put
21 it in, and the people aren't monitored, and
22 you don't have monitoring results until the
23 '60s, well, that would give you some pause
24 about extrapolating backwards.

25 We don't see that situation for

1 Building 81; and therefore, that gave us some
2 comfort that the, what we're seeing in 1960
3 and '61 would be applicable back into the
4 '50s. The judgments of the health physicists
5 in place at the time that these workers were
6 likely to have exposure potentials lower than
7 ten percent seems to be justified.

8 And furthermore, then the question
9 becomes, well, all right, if they were not
10 monitored in the '50s, and we know they
11 weren't, what are you going to do? Well, one
12 thing that we might do is apply coworker
13 models. And if you look at OTIB-58, and you
14 look at the values of the coworker models that
15 we are proposing for the 1950s and into the
16 1960s, in no case does the exposures that you
17 see in this group of workers, these enriched
18 uranium workers once they were monitored, does
19 it even approach, does it even remotely
20 approach the amount of dose that we're going
21 to apply under coworker if we choose to use
22 coworker data. That's not always necessary.
23 So that was the genesis of that discussion in
24 our report.

25 Now, Mark, you also asked the question

1 about badging policies at the site over time,
2 and if you recall, it was our, I think in our
3 TBD, and it was our original assumption that a
4 particular quote about in 1964 -- this is from
5 memoirs -- in 1964 we were able to incorporate
6 the dosimetry badge with the security badge.
7 This was an improvement from the standpoint of
8 assuring that employees was (sic) wearing a
9 badge while working on the job.

10 We originally interpreted that to mean
11 that beginning in 1964 everybody was
12 monitored. And you recall that that caused
13 some confusion when SC&A presented the results
14 of the analysis of the first 12 workers in the
15 data completeness because, well, if everyone
16 was monitored, then why do we have this one
17 particular individual who wasn't? And so we
18 went back and took another look, and it turns
19 out that we actually misinterpreted that
20 comment to mean that everyone was monitored
21 onsite.

22 Throughout the '50s there was the rule
23 about greater than ten percent of the
24 tolerance limit. We know that. It is true
25 that in 1964 they expanded the monitored

1 population, and that was facilitated by the
2 combination of the security badge. But it
3 appears that even at that time that there were
4 people who had low exposure potential who were
5 not monitored.

6 And you can see that from the graph
7 that we put out, and I think it might even be
8 in one of SC&A's reports reproduced where it
9 shows that there is less than 100 percent in
10 all years at Rocky Flats. We know that. We
11 know that that is the case. Now periodically
12 they expanded the monitored population, but at
13 no time does it appear that they had a policy
14 to monitor everyone who ever set foot on the
15 site. It appears that there were always some
16 exclusions for people who had no significant
17 exposure potential.

18 And if you look at our write up on the
19 badging of personnel at Rocky Flats, and the
20 one that I have is dated November 30th, 2006,
21 but I'm not sure if this is a draft or when
22 exactly we sent it over to SC&A and the
23 working group. That gives some information on
24 this periodic expansions, different groups
25 being monitored, being added to the monitored

1 population. So, Mark, as you digest this, our
2 report on data completeness, that might be a
3 helpful thing to look at in terms of
4 determining what the badging policies were at
5 the time.

6 So it's certainly true that in our
7 original TBD we made an incorrect assumption
8 that beginning in '64 everyone was monitored.
9 That does not appear to be the case, and we
10 will be revising the TBD to handle that if we
11 haven't already. I don't know.

12 **MR. MEYER:** We haven't yet.

13 **DR. ULSH:** Okay, so that's in the works.

14 Let's see, I think, okay, so my main
15 points here that's it's important to keep the
16 foundry workers separate from the enriched
17 uranium workers, to consider them separately
18 because their situations were different in the
19 '50s. The foundry workers were monitored.
20 The Building 81 EU people, EU workers, were
21 not until 1960, fourth quarter.

22 Now that brings us up to 1969 and '70,
23 but, Mark, I don't know if there's more that
24 you want to discuss on this before we get into
25 '69 and '70 or if you're ready to jump into

1 that?

2 1969 DATA GAP

3 **MR. GRIFFON:** I think it's probably okay.

4 **DR. ULSH:** Go ahead?

5 **MR. GRIFFON:** Arjun or Joe?

6 **MR. FITZGERALD:** That's fine.

7 **DR. MAKHIJANI:** That's fine.

8 **DR. ULSH:** All right, 1969 and '70 was a
9 strange time at Rocky Flats. They had the,
10 the big event was the Mother's Day fire that
11 occurred in May of 1969. That was a very
12 disruptive event. It occurred in Building
13 771, I think, 776. And it essentially stopped
14 plutonium production operations for a time.

15 Now, concurrently -- this happened in
16 the first quarter of 1969 -- there was a
17 policy, administrative policy, that workers
18 who were stationed outside of plutonium areas
19 and were on quarterly badge exchange cycles,
20 their badges would not be read unless the
21 circumstances warranted it. That was
22 administrative policy.

23 And the motivation behind that was
24 that they were preparing to switch over to
25 TLDs. There was a lot of manpower, a lot of

1 resources, being dedicated to reading these
2 film badges for workers who were at low
3 exposure potential. And when I say low
4 exposure potential, I'm drawing that from the
5 fact that they were on quarterly badge
6 exchange cycles which is the longest badge
7 exchange cycle.

8 And they made those determinations
9 about which cycle you were on based on your
10 exposure potential. So those workers, their
11 badges were not read, and that has caused a
12 lot of consternation around the tables and me
13 included.

14 The way that this was originally
15 discovered, I think SC&A noticed that the
16 frequency of zero badge readings increased
17 suddenly in 1969 and went on into '70. And so
18 as we investigated this, you know, you'll find
19 the history of our investigative efforts on
20 this issue in particular in our report and
21 also in SC&A's report. We originally, when
22 presented with this increased incidence of
23 zeros, we considered a lot of different
24 hypotheses. We put everything on the table
25 just to try to find out what the reason might

1 be.

2 The hypothesis that we started with
3 was something related to the fire. Well, that
4 relatively quickly became evident that that
5 didn't explain the situation. And then we
6 happened upon this report. It was a monthly
7 progress report from the dosimetry section
8 that set out this policy to not read the
9 badges for these particular workers.

10 And so then we started evaluating the
11 patterns that we see in external dosimetry in
12 '69 and '70 against that policy. And what we
13 found is that it's consistent. It is
14 consistent with that policy. And we actually
15 saw film worksheets for people that were
16 affected by this policy where there's a zero
17 at the top of the page and then an arrow all
18 the way down the page covering a number of
19 different employees.

20 And the problem came in with the
21 treatment of those zeros. Those zeros were
22 interpreted as real zeros when in fact they
23 should have been interpreted as unmonitored
24 people. If you're wearing a badge, but the
25 badge isn't read then you're not monitored.

1 And so the question comes up, well, what do
2 you do with that then because in the data that
3 we use for coworker distributions, HIS-20,
4 those zeros were treated as if they were
5 zeros. And that's a problem.

6 It doesn't indicate anything
7 nefarious, you know, they were out to deceive
8 anybody, but it's a problem in terms of
9 coworker distributions. And so the question
10 then becomes, well, what do you do. What
11 impact does this have on our coworker
12 distributions for 1969 and '70.

13 And another question that we have been
14 wrestling with is when did this policy end.
15 And, Mark, I would still love to be able to
16 hand you a memo that says as of X date, this
17 policy's rescinded. We have not found that,
18 and I don't think we're going to find that.
19 Therefore, you have to look at the weight of
20 the evidence.

21 Bob, are you --

22 **MR. MEYER:** I was just going to say we've
23 put an awful lot of effort into trying to find
24 that memo, and it doesn't seem to exist.

25 **DR. ULSH:** So let's look at the weight of

1 the evidence. Consider that the reasons
2 behind, the motivation behind this policy was
3 the resources that were being expended to read
4 film badges for people who were at very low
5 exposure potential, at least putatively judged
6 to be at low exposure potential. That
7 motivation goes away with the transition at
8 the site to TLDs, and that happened, 1969 and
9 '70 were transition years, and by the end of
10 1970 everybody was on TLDs.

11 Also, as pointed out by SC&A, the
12 incidence of zeros, those years where you see
13 the high incidence of zeros was limited to
14 1969 and '70. And so from the weight of the
15 evidence it appears that this policy was in
16 effect done away with, no longer applied, by
17 the beginning of 1971. It would be an issue
18 in 1969 and '70.

19 **MR. GRIFFON:** Can I just add, when you said
20 in '71 everyone was on TLDs, you meant 100
21 percent of workers.

22 **DR. ULSH:** Thank you, Mark, 100 percent of
23 the people who were monitored, were monitored
24 with TLDs. That's correct. I'm not saying
25 that everyone on site was wearing a TLD.

1 I don't know, Craig, am I missing
2 anything in terms of the weight of the
3 evidence?

4 **DR. LITTLE:** Well, the, we know the TLDs
5 were phased in through time. They didn't all
6 hit at the same time, and in the report we've
7 got about six or seven monthly progress
8 reports that make statements about when things
9 were, when TLDs were phased in at the various
10 buildings, into 771 in September of '69, and
11 in 76 it was February of 1970 that, the
12 December 1970 progress report which is written
13 in January '71, says all film badges have now
14 been replaced with TLD badges.

15 We also know that people went in in
16 the immediate aftermath of the 1969 fire were
17 wearing TLDs. They were wearing TLD badges
18 because we have a summation of external
19 exposures to people who were in that fire
20 during the period through May, June and July
21 of 1969. The maximum external dose to any
22 single person who was attending to that
23 situation was under 200 millirem with the vast
24 majority under 50 millirem. Only three people
25 received over 150 millirem.

1 So we know that they did use TLDs.
2 They had some on site. They did use them.
3 They didn't have enough to start using them
4 through the whole plant and didn't make that
5 conversion until the end of 1970. And they
6 staged in as you would expect with the higher
7 exposed people getting the TLDs first, then
8 the lower exposed people getting TLDs later.

9 **DR. ULSH:** And that actually is a good
10 segue, Craig, thank you.

11 One of the concerns that SC&A has
12 raised about this non-read policy, it applied
13 to people who were stationed, officially
14 stationed, outside of plutonium areas.
15 However, SC&A presented a couple of
16 individuals, one of whom went into Building
17 776 after the fire occurred, and there is no
18 external monitoring data in his file. So it
19 makes you wonder. Well, I think it makes you
20 wonder, why not?

21 And what Craig has said is that the
22 people who went into the building after the
23 fire were wearing TLDs. So let me clarify
24 what that means. We talked to several
25 individuals, several people who were directly

1 involved in the fire including the fireman who
2 was in charge of the response. And they all -
3 - okay, without it in front of me I don't want
4 to say all. Many of them said, those who
5 commented on whether or not they were wearing
6 dosimetry say, yes, we were badged with TLDs.

7 And if you look at the memo that is
8 included in our report, it talks about
9 personnel TLD dosimetry data. These were
10 special TLDs. They were not the dose of
11 record. They were not issued to you as your
12 routine film badge. They were issued on a
13 job-specific basis as special dosimetry is by
14 the supervisors in charge they would hand out
15 to the workers that were on this particular
16 job.

17 These are not the dose of record, and
18 that's why it is not inconsistent when people
19 say everyone who went into the building was
20 wearing a TLD, but we don't see TLDs on a
21 couple of individuals that we know went into
22 that building. It's because they're talking
23 about special dosimetry. It's not their
24 routine film badge.

25 And furthermore, the concern was

1 raised then if there was someone going in who
2 was not monitored, and we've just talked about
3 the fact that they were indeed monitored, but
4 they were in Building 776, had high exposure
5 potential after the fire. Well, I want to
6 talk about that second premise as well. You
7 cannot assume that someone who went into
8 Building 776 in the aftermath of the fire was
9 at a high exposure potential.

10 In fact, we know that that is not the
11 case because if you look at the memo that's
12 included in our report, dated July 24th, 1969,
13 as Craig mentioned, there are 173 people who
14 received between zero and 50 millirem, 28
15 people who received between 51 and 100, four
16 who received between 100 and 150 and three who
17 received between 150 and 200. And over the
18 time period, 5/11/69, that's the date of the
19 fire, through 7/22/69, July 22nd, 1969. So the
20 premise that these people were at high
21 exposure potential is not supported by the
22 monitoring data that we have.

23 **MR. FITZGERALD:** And is that -- excuse me,
24 Brant -- is that reflecting a consideration of
25 Super-S?

1 **DR. ULSH:** No, Joe, this is external.

2 **MR. FITZGERALD:** You're saying it's
3 external.

4 **DR. ULSH:** This is external. That's
5 correct.

6 There's certainly the potential for
7 intake of plutonium, and certainly high fired
8 plutonium because it was a major fire
9 involving plutonium, and that's one of the
10 ways you get it. So this says nothing about
11 potential exposures of Super-S.

12 Now the issue that your question
13 raises is how, okay, we've talked about
14 external dosimetry potential, but what about
15 internal doses? And for that situation we
16 would certainly apply Super-S if it's claimant
17 favorable to do so for a person who went into
18 the building after the fire, absolutely.

19 **DR. LITTLE:** But there was monitoring data
20 for a number of the people that went into the
21 building. They have chest counts.

22 **DR. ULSH:** That's correct.

23 **DR. LITTLE:** And only one of the people that
24 was, and I think there were 45 people if I
25 remember right, who were given chest counts in

1 a very short term after the fire. Only one of
2 them had a significant lung count, and that
3 was a person who was indeed a fireman who had
4 somehow gotten, who went up on the, he could
5 not understand how he got it.

6 He surmised that he might have gotten
7 it because he went up on the roof, and that's
8 the one place he thought he might have gotten
9 an extra long exposure. But none of the other
10 people, including some of the people who were
11 raised up in some of these reports, have
12 internal lung counts that are significant,
13 above that.

14 **DR. ULSH:** So what we're left with is there
15 is no evidence that the judgment that the
16 people whose badges were not read were at high
17 exposure potential. It is true that on
18 occasion they might have gone into a building
19 like 776 but not on a routine basis.

20 And when they were going into a
21 situation where there might be an exposure
22 potential, the examples that we have, for
23 instance the aftermath of the fire at 776,
24 they were monitored through special TLDs. Now
25 I don't want to say anything beyond that,

1 beyond the examples that we have, but we don't
2 see evidence that in fact these people had
3 high exposure potential, and they were not
4 monitored.

5 Now what about the foundry workers,
6 our old friends the foundry workers. I'm
7 going to rely on Craig a little bit to
8 summarize what data does exist for the foundry
9 workers in '69 and '70. But one thing, the
10 standard that I would like you to compare it
11 to is the coworker dose that we would assign
12 in 1969 and '70.

13 And if I can come up with that -- the
14 current version of OTIB-58 assigns for 1969 at
15 the 95th percentile. Keep in mind we're
16 talking about people who would have worked in
17 radiation areas so we would assign the 95th
18 percentile. What you see for penetrating
19 doses, OTIB-58 assigns 2.47 rem, 2,472
20 millirem, for 1970, 2,071 millirem.

21 For non-penetrating doses, this is
22 shallow doses, we assigned 2,574 millirem in
23 1969, and in 1970, 2,115 millirem. There is
24 absolutely no indication that the foundry
25 workers ever approached those dose levels. So

1 it is, we maintain that the coworker dose that
2 we would assign in this situation if you have
3 an unmonitored foundry worker is very, very
4 claimant favorable.

5 Is there anything else to add?

6 **DR. LITTLE:** Well, the only thing I would
7 add is if you look at the table that is on the
8 report that Arjun commented on earlier, we
9 were able to find for foundry workers in '68
10 and '69, we found film badge worksheets for
11 '68 that had data for most of those people for
12 most of the quarters. I mean, there were a
13 few that were not, they had blanks because
14 they didn't return the badge or in a few
15 instances they just had zeros.

16 And then in keeping with that policy
17 that we talked about of not reading workers'
18 badges who were not expected to be
19 significantly exposed, we found in the first
20 three quarters of 1969 zeros for everyone
21 except one of these guys. And we, this is, I
22 don't want to leave people with the false
23 impression that we think we know who all the
24 foundry workers were in '68 and '69. We don't
25 I don't think, but we have identified some

1 here that we are sure of.

2 And our intent with these people was
3 to, our intent in total was to try to find the
4 memo or find the period of time when this
5 policy went away if you will. And so we said,
6 well, let's find some of these guys, so we
7 found '68. We found they had data. We
8 followed them in '69. They didn't have data
9 in the first recordings, but all but one of
10 them have data in the fourth quarter which is
11 an interesting situation.

12 We couldn't follow all of them into
13 the '70s, into 1970, because what happened is
14 a lot of these guys changed, they either
15 changed department numbers, that is, the
16 department number got changed. And that's how
17 you identify who's who. You know, on the
18 supervisors' reports they're by department
19 number, and then you can backtrack to the lab
20 worksheet or the supervisory report.

21 Or they actually changed jobs. They
22 went into another, so it's hard to, it's a
23 much more difficult task than you might think
24 to go find somebody and track them back
25 through time to find out where they were or

1 find them now and track them forward in time
2 because they moved to another department
3 number or numbered building, something like
4 that.

5 But one point I'd make about this
6 table is they're all zeros in the first three
7 quarters for virtually all these foundry
8 workers but in the fourth quarter there's
9 data. We're not making the assumption that
10 that data represents the, a cumulative for the
11 year. We're not making that presumption.
12 It's possible that that's true, but we are
13 saying this is in keeping with that policy
14 that says we'll read it if we think there's,
15 if we think they're going to get a significant
16 exposure.

17 So they didn't do anything that was
18 considered to be a, maybe they didn't even
19 operate the foundry in the first three
20 quarters. I have no clue, but there are zeros
21 there. Then all of a sudden the fourth
22 quarter these people all show up with data.
23 Something happened to make them read the
24 badge, plain and simple.

25 **DR. ULSH:** And keep in mind the original

1 policy said the badges for these workers will
2 not be read unless circumstances warrant. So
3 if circumstances warranted, their badges were
4 read. But that's what the policy says anyway.

5 **DR. LITTLE:** Right. So also, the numbers
6 shown in the fourth quarter there, only one of
7 the numbers in there, one of the workers, that
8 is, has numbers that approach the values that
9 Brant was just reading.

10 **MS. MUNN:** What were those numbers like,
11 Craig? Just a few.

12 **DR. LITTLE:** External range from a low of 42
13 millirem to a high of 280 millirem.

14 **MS. MUNN:** Okay, thank you.

15 **DR. LITTLE:** Skin doses range from a low of
16 65 to a high of 3,460, but that one person was
17 pretty much an anomaly in this group.
18 Everybody else was in the 400s, 500s. There
19 was one 740. But remember that the tolerance
20 dose for a quarter was 1,000 millirem, or ten
21 percent of the tolerance dose, I'm sorry. The
22 tolerance dose was ten rem for skin in 1969.

23 **MR. FITZGERALD:** And just to clarify back
24 again, Brant, you were indicating that OTIB-58
25 if applied would be well above, I think you

1 mentioned, 2,000 as opposed to four or five
2 hundred at the 95th percentile which would be
3 the presumption for these workers working in a
4 rad area.

5 **DR. ULSH:** Yes.

6 **MR. FITZGERALD:** So that would be the
7 likelihood, likely application.

8 **DR. ULSH:** Yes.

9 **DR. MAKHIJANI:** The 2,000 would be for the
10 whole year for shallow or deep?

11 **DR. ULSH:** Arjun, the numbers for
12 penetrating '69, in 1969, 2,472 millirem, in
13 1970, 2,071 millirem. Now non-penetrating,
14 shallow dose, for the 95th percentile in 1969,
15 2,574, and in 1970, 2,115.

16 **DR. MAKHIJANI:** So the non-penetrating
17 actually doesn't cover even one quarter of one
18 of these workers, right? The fourth quarter
19 for this one worker is 3,460.

20 **MR. MEYER:** That's the normal statistics you
21 expect to see.

22 **DR. MAKHIJANI:** There are only six workers,
23 and actually if you do, compare it to log
24 normal for these six, you get a 95 percentile
25 that's more than the highest reading, so,

1 because there are only six workers. And so
2 the, actually, one of my comments was that in
3 my notes, was that this application actually
4 shows that even with six workers you've got an
5 exception in terms of coworker model.

6 **DR. ULSH:** Well, keep in mind, Arjun, that
7 they said, the policy said that badges would
8 not be read unless circumstances warranted.
9 That would indicate that if they followed that
10 policy accurately, then the people who had
11 high exposures would be monitored. You would
12 not be applying coworker data to them.

13 **DR. MAKHIJANI:** Well, you know, it's a
14 little bit of a problem technically in my view
15 because you're trying to, you know, so it's a
16 little bit of a circular argument in the sense
17 that if there's data, you assume that somehow
18 it was determined that there was high exposure
19 potential so they were read. And you're now
20 assuming that everybody who was somehow
21 thought to be exposed, their badges were read,
22 and that was then comprehensive so nobody will
23 slip through your net.

24 But because in your coworker model
25 you're not covering all of the people who were

1 at high exposure potential just from this list
2 of six for whom we have data. So it's a
3 little bit of a problem because you're trying
4 to go back and say that they were, they knew
5 pretty well when to read these badges and when
6 not to read the badges when there are a
7 thousand badges per quarter that they weren't
8 reading.

9 **DR. LITTLE:** A thousand badges for the year.
10 They estimate in that --

11 **DR. MAKHIJANI:** A thousand badges per year?

12 **DR. LITTLE:** Yeah, a thousand packets is
13 what they expected they would save by that '69
14 policy of not reading badges. If they did
15 that it'd be 250 people.

16 **DR. MAKHIJANI:** It's still a sizable number
17 to go back, and I have not seen, you know, you
18 all have made a better, certainly more
19 thorough evaluation of the records and are
20 more familiar with them. There was a policy,
21 and I have seen those exceptions that we'll
22 read there are exceptional circumstances, but
23 I didn't see any guidance for the
24 implementation of that policy. How did they
25 determine when an exceptional circumstance

1 happened that they got alerted and actually
2 went and read the badge?

3 **DR. LITTLE:** Well, let's just make a premise
4 here with these six people who are zeros. One
5 of these people is a supervisor. He's the
6 supervisor of these people. He knows whether
7 they're running the foundry, to make an
8 extreme example. He knows we're not running
9 the foundry for three quarters or we're
10 running it on a very limited basis or
11 whatever.

12 He says under the policy I don't have
13 to read the badges. I don't have to turn them
14 in. Or I turn them in and I tell them we
15 haven't done anything. They don't need to be
16 read. If, on the other hand, I mean, he's the
17 hands-on guy with these people. He knows what
18 they're operating. He says it's time, we need
19 to read these badges now.

20 I mean, in an operational sense I
21 don't think there's a whole heck of a lot of
22 mystery about whether somebody may or may not
23 be exposed depending on the situation. If
24 you're, if that's your job, and you work in
25 that environment all the time, you're going to

1 know if there's some possibility of doing
2 something that's off normal, if you will, from
3 what you normally do.

4 And look at their '68 doses. They --

5 **DR. ULSH:** And is it possible that a
6 supervisor in that situation, or whoever's
7 making the decision about whether a badge
8 should be read, could make a mistake.
9 Absolutely, it's possible, not very likely
10 because you're working with this process every
11 day. But can you make a mistake? Sure, you
12 can. That's why we use the 95th percentile to
13 cover instances like that.

14 **DR. MAKHIJANI:** But when did the policy
15 change from monthly to quarterly for foundry
16 workers? In the '50s, the sheet that you
17 handed out indicates monthly and now your
18 foundry workers are quarterly.

19 **DR. ULSH:** I think in 1953, Arjun, from the
20 example that I've shown here, they were on
21 monthly. But they very quickly changed to
22 more frequent than that. I don't want to give
23 you an exact --

24 **DR. MAKHIJANI:** More frequently.

25 **MR. ELLIOTT:** That's less than a month?

1 **DR. ULSH:** I think so, but I would have to
2 double check that. So don't take that to the
3 bank. I would have to look at the actual
4 records. But I think that they very quickly,
5 once they started monitoring them, they
6 started on a monthly cycle, and they saw what
7 kind of doses they were receiving. And I
8 think they went to a more frequent badge
9 exchange, but I'll check on that.

10 **DR. LITTLE:** I could say that in '69, it
11 might have been '68, we found some of these
12 same people with biweekly badges, a few, just
13 a very few biweekly badges. And those are
14 interspersed in with the, in the lab
15 worksheets. And there's no particular
16 pattern, but that again is a situation where
17 on a process knowledge basis, the supervisor
18 may have said, okay, we need to pay closer
19 attention during this period of time or
20 something.

21 **MR. ELLIOTT:** Was that found only in
22 Building 44?

23 **DR. LITTLE:** Well, it was just specific to
24 this group and this table. I just happened, I
25 mean, frankly, we're, we were screaming

1 through these data, but I was tracking it by
2 building number. And the way the lab sheets
3 are organized by building number and by
4 period, period being a code two is a biweekly,
5 a code four is a quarterly, a code three is a
6 monthly. And so you go through these things
7 and you look for various buildings. And I
8 happened to run across a 44, a Building 44
9 period two and some of these guys were on
10 there. And I didn't have time to investigate
11 that.

12 **MR. ELLIOTT:** And the biweekly results
13 produced a dose above the LOD? You didn't
14 look that close.

15 **DR. LITTLE:** I didn't look at that. I
16 didn't, no.

17 **MR. SMITH (by Telephone):** Brant, this is
18 Matt Smith.

19 **DR. ULSH:** Yes, Matt.

20 **MR. SMITH (by Telephone):** One thing I had
21 on the numbers that you quoted, we would use
22 those numbers and their base line coming into
23 the OTIB-58 process, and then on top of that I
24 believe was also add missed dose. The way
25 would do that for those years is we would

1 apply 23 cycles of a missed dose based on the
2 fact that would be the highest possible
3 exchange frequency for those kinds of data.

4 **DR. ULSH:** Matt, I thought, I think I pulled
5 these numbers out of Table 71 in OTIB-58. I
6 don't have that in front of me. But if I did
7 pull it from OTIB-58 from Table 71, I think
8 that includes missed dose but double check
9 that, please.

10 But at any rate this is at least, I
11 mean the numbers I gave you are the minimum or
12 the actual numbers. We might be adding missed
13 dose on top of that.

14 **DR. MAKHIJANI:** Could we request some of the
15 data that you, Craig, that you looked at so we
16 can kind of go back and look at a little bit
17 of it in this final stretch? That would be
18 helpful.

19 **DR. LITTLE:** You want the laboratory
20 worksheets?

21 **DR. MAKHIJANI:** Or tell us where they are,
22 you know, where they are in these --

23 **MR. GRIFFON:** When you say laboratory
24 worksheets, are you talking job history
25 worksheets or a different worksheet?

1 **DR. LITTLE:** These are worksheets, the
2 handwritten laboratory worksheet.

3 **DR. MAKHIJANI:** Are they in the site
4 research database somewhere? If you can just
5 send me an e-mail with the site research
6 database.

7 **DR. LITTLE:** They're all available on the O
8 drive. I know that.

9 **DR. MAKHIJANI:** I'll try to find it myself.

10 **MR. ELLIOTT:** Let's hold it in for you.

11 **DR. ULSH:** All right, I've got a member of
12 the team looking in the Building 44 DU workers
13 put on a weekly exchange frequency starting in
14 May of 1954.

15 **DR. MAKHIJANI:** Weekly?

16 **DR. ULSH:** Yes.

17 **DR. MAKHIJANI:** And were there any non-zero
18 doses then?

19 **DR. ULSH:** I didn't ask that. There might
20 have been.

21 **MR. GRIFFON:** Listening to what you said, I
22 think the foundry worker might be a good case
23 example if we can find in our fourth item on
24 the agenda some samples. Because I think you
25 said that that, that you may apply the

1 coworker model depending. And I think a real
2 example of a foundry dose reconstruction might
3 be a good thing to look at in the final
4 stretch.

5 **DR. ULSH:** Mark, I can commit to trying, but
6 I do want to caution --

7 **MR. GRIFFON:** You may not have one.

8 **DR. ULSH:** Exactly, because first of all the
9 number of claimants that had employment -- now
10 wait a minute. Are we talking about '69 and
11 '70? We are, right?

12 **MR. ELLIOTT:** We're talking about '50s.

13 **DR. ULSH:** Okay, the number of Rocky Flats
14 claimants with employment in the '50s is not
15 great. And keep in mind that in terms of
16 coworker dose, coworker dose reconstructions,
17 that came late. So a majority of the dose
18 reconstructions that we've completed were done
19 without coworker data. And then I can't think
20 of an obvious way to say this person is a
21 foundry worker without just going in and
22 looking manually. So I will try, but I can't
23 commit that I can actually find one that meets
24 all those criteria.

25 **DR. MAKHIJANI:** Mark, do you think that in

1 the absence of that, looking through some of
2 these datasheets and maybe tracking a couple
3 of individual workers would be helpful? It
4 seems it would be helpful to try to resolve.
5 If Brant can't find an actual dose
6 reconstruction example in that area, it might
7 be helpful.

8 We can just go through that since, you
9 know, this is the first time we've actually
10 found, you know, it seems like a fairly
11 extensive data, and they have weekly data and
12 so on which indicates that they weren't in
13 that three month swap where we tended to find
14 the gaps.

15 **DR. ULSH:** I think, Arjun -- and I don't
16 want to put words into your mouth -- I think
17 the places where you found gaps corresponded
18 largely to Building 881, but I don't know if
19 you're -- Is that your recollection?

20 **DR. MAKHIJANI:** I just want to -- no, I
21 don't remember actually. I'd have to go back
22 to the table and look, but there was more of a
23 Plant B. Would that be 881?

24 **DR. ULSH:** Plant B is Building 881.

25 **DR. MAKHIJANI:** Right, so if I recall, it

1 was more of a Plant B in the sample of gaps,
2 yes.

3 **MR. GRIFFON:** Well, I guess the datasheets
4 that you're talking about, Craig, are these,
5 what datasheets are we talking about that are
6 on the O drive that you, they're not from
7 individual radiation files?

8 **DR. LITTLE:** No, they're laboratory
9 worksheets.

10 **MR. GRIFFON:** Laboratory worksheets, that's
11 what I'm looking for.

12 **DR. LITTLE:** And they are, that's what they
13 were. They're film badge worksheets.

14 **DR. ULSH:** For the '50s.

15 **DR. LITTLE:** No, I'm talking '68, '69.

16 **DR. ULSH:** That's what you're interested in
17 '68, '70?

18 **MR. GRIFFON:** That's why I'm clarifying.

19 **DR. LITTLE:** He wanted '68 and '69, Arjun
20 did.

21 **DR. MAKHIJANI:** What was Bob looking at just
22 now for in terms of Building 44 in the '50s
23 for the weekly --

24 **DR. ULSH:** That is the example that I passed
25 around. The example that I passed around.

1 That's one example. And those are in the site
2 research database, but we'll point you to it.

3 **MR. GRIFFON:** So you can put those all in
4 one spot, and I guess consider those in your
5 file. That's what I would say, right?

6 **DR. ULSH:** That's for the '50s.

7 **DR. MAKHIJANI:** That would be there then.

8 **MR. GRIFFON:** Can I --

9 **DR. ULSH:** Yeah, I'm done. I'm done. Go
10 ahead.

11 **MR. GRIFFON:** I think we're almost ready to
12 break for lunch, but I had, just with these
13 case write-ups. I think I -- or Joe, I saw
14 your e-mail trail on this with the question of
15 supporting data to back this up. And maybe I
16 can just explain why I'm asking this. This is
17 just one example that I happened to grab here.

18 It's the job title's a photographer
19 worked in a cold area, and 15 years all he got
20 one gram total exposure, and then it says file
21 consistent with monitoring policies at the
22 time. What I don't understand is if I look at
23 SC&A's, a review of SC&A's findings they say
24 missing '58 through '61, comma, '63, comma,
25 '69. And then there's a lengthy comment about

1 the '69 zeros versus blanks. I don't know if
2 you went through this in a way that we said,
3 okay, here we had this guy.

4 We, first of all, I'm not sure he was
5 a for the entire time period, and if
6 he was, and he worked in a cold area, why
7 would he be badged some years and not others?
8 Was he going in, I just don't get it. If I
9 saw a clean sheet, and it said no monitoring,
10 then I could take your argument of worked in a
11 cold area, a , no data. I don't
12 expect any, but he has some and then he has
13 missing periods. That's what doesn't make
14 sense.

15 **DR. ULSH:** This is a good example, Mark.
16 Let me tell you how I approached it. How I
17 and the other team members approached this
18 particular one. I knew this guy well. Let me
19 rephrase that. I'm very familiar with this
20 guy. This is the who went into
21 Building 776 after the fire, right? Yes, and
22 it was one of the ones SC&A was concerned
23 about because he was subject to that policy of
24 non-reading in 1969. And that's why you see a
25 period with no monitoring data in '69. Now

1 what about the other years there where he
2 doesn't have data? The way that we approached
3 this was we went to NOCTS and pulled out this
4 particular claimant's job exposure, job
5 history card. It's listed as personnel
6 exposure, and that's where we determined where
7 he was stationed and what his job duties were.
8 He was a at --

9 **MR. GRIFFON:** But he didn't lay this out is
10 my guess. I mean, if I was poking through
11 this I might lay it out. Here's his years of
12 work. Here's his data. Here's his jobs over
13 time. This is why we see these gaps.

14 **DR. ULSH:** I didn't reproduce his job
15 history card in this response. I was looking
16 at it when I was looking at the years in
17 SC&A's table where there was no monitoring
18 data, and I said, a-ha, in this year he was a
19 in whatever building. So I mean I had that
20 data available in front of me, and I went
21 through and addressed, well, we went through
22 and addressed, considered each of those years
23 where he didn't have data and what was he
24 doing at that time. That's how we approached
25 --

1 **MR. GRIFFON:** So it may be that he had a
2 different job in the interim or something like
3 that. I guess that's what I'm trying --

4 **DR. LITTLE:** I don't think, in this
5 particular case I don't believe so.

6 **DR. ULSH:** I think he was a .

7 **MR. ELLIOTT:** He might have been assigned to
8 go take at a rad area where he --

9 **MS. MUNN:** This has certainly been the case
10 on other sites. I know several who,
11 generally speaking, have no need to be
12 monitored.

13 **MR. GRIFFON:** I don't dispute those, I mean,
14 those are obvious general statements anybody
15 can make. That's clear. I'm just asking --

16 **DR. ULSH:** Mark, if you wanted --

17 **MR. GRIFFON:** -- if I wanted a detailed
18 review, how do I, you know, a , how do
19 you, how can you make, but how do you
20 determine for those years that were missing he
21 was working in cold areas, but then for those
22 years that he had data, you know, all of a
23 sudden, I mean I guess it's just basically are
24 you saying they made the right decision or is
25 there more there that indicates that he was,

1 you know.

2 **DR. ULSH:** Here's how you can get more
3 information on this. If you've got the NIOSH
4 ID there -- and don't repeat it because I
5 think that's Privacy Act, but we can go on
6 NOCTS and --

7 **MR. GRIFFON:** No, I have the job history
8 cards. --

9 **DR. ULSH:** Oh, you do.

10 **MR. GRIFFON:** I'm just wondering if in your
11 putting together this, that you had a
12 spreadsheet built then I wouldn't have to.
13 I'm hoping that you saved me a step and I
14 could look at your analysis files of how --

15 **DR. ULSH:** There is no spreadsheet.

16 **MR. GRIFFON:** You just went right from the
17 hard copy PDFs to this report.

18 **DR. ULSH:** Exactly. There's no Excel
19 spreadsheet. There's a spreadsheet in my head
20 when I did this, when I laid the job exposure
21 card versus the table that SC&A constructed
22 that showed which years he didn't have data.
23 I took those and laid them side by side and
24 said what is the explanation if there is one
25 for all these years that he didn't have data.

1 And also, something else that
2 indicates that he was at low exposure
3 potential is that for the years that he was
4 monitored, his doses were very low. So I mean
5 you would have to make the assumption that for
6 some reason they monitored him in the low
7 exposure years but didn't monitor him in the
8 high exposure years, and I don't think there's
9 any evidence for that, and that would be an
10 assumption that wouldn't be intuitive to make.
11 But that's how we approached each of these
12 cases.

13 **MR. GRIFFON:** Yeah, I'm just trying to
14 understand from a policy standpoint how
15 someone would take that kind of person on or
16 off of a badge program. It seems like he
17 might be doing various things around the plant
18 all the time, and how they determined in one
19 year he was just taking pictures in cold
20 areas.

21 **MR. ELLIOTT:** Wouldn't you have to look at
22 the areas that he wasn't monitored in and
23 verify that they weren't rad controlled areas.

24 **DR. ULSH:** Well, actually you bring up a
25 good --

1 **MR. GRIFFON:** And I don't know if you have
2 that much information on the work history
3 card.

4 **DR. ULSH:** I don't, but you bring up a good
5 point, Mark, and that is you've got workers
6 who were officially stationed, you know,
7 wherever it was, cold areas or wherever. But
8 on occasion they might have gone into Building
9 776 or some other area where people who work
10 there all the time were at higher exposure
11 potential. That is certainly true, and this
12 is a case in point. This guy went into
13 Building 776 after the fire. But in
14 determining his total dose potential you have
15 to take into account not only the dose rate
16 that he might have been exposed to, Building
17 776 tended to have higher dose rates than
18 other areas onsite. But you also have to take
19 into account the amount of time that he spent
20 there. As a to go in for an hour and
21 and leave does not in and of itself indicate a
22 high exposure potential. So you got to, you
23 know this.

24 **MR. GRIFFON:** What I don't get is how
25 someone makes that decision, you know, from

1 year to year. Maybe a supervisor or maybe he,
2 himself, determined, I don't know how that
3 kind of person goes on and off the program
4 that much. But the main question I wanted was
5 to see if you had backup analysis for the
6 document that could help me just review that
7 quickly.

8 **DR. ULSH:** I'm sorry I can't save you that
9 step.

10 **MR. GRIFFON:** The job history cards we have
11 will --

12 **DR. ULSH:** Yes.

13 **MR. GRIFFON:** -- and we can certainly pick
14 out a few that were some questions about and
15 review them that way. That's fine.

16 Joe, did you have any, Joe or Arjun,
17 Arjun's not here. Is there anything before we
18 break for lunch?

19 **DR. MAKHIJANI:** Let me just look at my
20 notes.

21 **MR. FITZGERALD:** And if this was the case
22 for all these cases that you were able to by
23 virtue of the job histories be able to
24 construct an explanation. I mean, it seems
25 like except for the one case that was detailed

1 enough and plausible enough to make that call.

2 **DR. ULSH:** That was our conclusion, but let
3 me clarify something you just said. The job
4 exposure histories, those cards, they only
5 exist for --

6 **MR. FITZGERALD:** Up through.

7 **DR. ULSH:** Well, up into the '80s and only
8 for prime, employees of the prime contractor.
9 So if you have an S&W guy, you're not going to
10 find a card. And I don't think that it's true
11 to say that even a 100 percent of the primes
12 have the cards as well. I can't swear to that
13 fact.

14 **DR. LITTLE:** I think that's correct. We
15 have some of them at least, but not very many.

16 **DR. ULSH:** Not many, but --

17 **DR. LITTLE:** They have a card and it just
18 doesn't have all the information. We know he
19 worked there for a longer period of time, but
20 it doesn't show, it doesn't have every job
21 change he ever went through.

22 **DR. ULSH:** But by and large the cards are
23 there for the primes, and that was the primary
24 resource that we relied upon to determine
25 their work histories. Now, if that wasn't

1 there, for instance in the case of
2 subcontractors, we went into the actual rad
3 file to look for clues. Like on the
4 urinalysis cards, what buildings that they
5 were in. Frequently some of the earlier
6 urinalysis cards, and there's also
7 documentation in there for what employer, who
8 their employer was. That's how we determined
9 that they were subs.

10 **MR. FITZGERALD:** And the only fly in the
11 ointment in a sense though, even though I
12 think the photographer clearly went into 776,
13 the dosimetry department really just knows
14 that these individuals were assigned to, say,
15 44 and 41 and based on that that they would
16 not monitor or would not read the badges
17 unless in fact the supervisor would call them
18 out.

19 So in a sense you don't really know if
20 these workers might have moved around even
21 though they had the same department number.
22 The supposition is that they probably worked
23 and stayed in those areas unless you have
24 information otherwise. I mean, that part of
25 it, that's the only part that can't

1 necessarily be pinned down by the job history,
2 that they moved around.

3 **DR. ULSH:** I think it would be over-
4 interpreting the job exposure history cards to
5 say that if a person was based in Building
6 123, he never went to 776. I wouldn't make
7 that assumption at all. But I think that he,
8 you can make the assumption that he spent most
9 of his time probably in 123 and periodically,
10 occasionally, maybe went into the other
11 buildings.

12 **MR. FITZGERALD:** Right, the situation you
13 see at some sites where even though they
14 carried the department number, they actually
15 did something entirely different or did other
16 work.

17 **DR. ULSH:** Joe, I don't think you would
18 expect that, but I don't necessarily want to -
19 -

20 **MR. GRIFFON:** Well, the one example, but
21 there was a pipe fitter in there, and that was
22 one I would question. I would question the
23 crafts. Sometimes they work out of a, and
24 maybe it would have been a clean pipe shop but
25 they get sent to the various buildings --

1 **MR. FITZGERALD:** We went through this at Y-
2 12. Sometimes what shows up on the job card
3 doesn't reflect what they end up doing because
4 they get, go over and help so-and-so in 776 do
5 this kind of maintenance work, and because the
6 reader's only going to see the department
7 number, they're going to say, well, this
8 person's in a low exposure area. We're not
9 going to read the badge, but yet maybe they
10 were doing other work. Now I think for a lot
11 of these that wouldn't be the problem, but for
12 the crafts, there's a few crafts people I
13 think you pointed out --

14 **DR. ULSH:** I think actually though, and
15 they're all flowing together for me now, I
16 know that I have seen pipe fitters who were,
17 who operated out of Building 776. They did
18 jobs in there and you do see monitoring data
19 for them. I don't want to overstate the case
20 though.

21 **MR. FITZGERALD:** Right.

22 **DR. ULSH:** I mean I think that would depend
23 on where they were located.

24 **MR. GRIFFON:** I guess then in summary if
25 we're wrapping this one up, and I think we

1 are, I think the biggest and most important
2 new piece of information I heard was, which
3 may make this sort of analysis more difficult,
4 is that there wasn't a clean policy in '64 to
5 monitor 100 percent. I mean, that wasn't, it
6 was in part based on their radiation exposure
7 potential.

8 **DR. ULSH:** That was a misinterpretation on
9 our part.

10 **MR. GRIFFON:** And that does make it
11 difficult. We don't have that bright line
12 anymore to say, you know, even though this
13 person's a secretary post-'64, they should
14 have been monitored. That's not the case.
15 That does make, that makes the evaluation
16 certainly there's more gray in there. But I
17 mean that's where we're at so we've got to
18 deal with it.

19 And then the '69, just for one final
20 clarification, the '69 in the memo, there's no
21 indication that that was prior, that policy of
22 not reading even when people were badged, not
23 reading some badges, it didn't extend to
24 prior, I know you looked post, but did you
25 look prior to '69? There's no indication that

1 it would have started before. I can't
2 remember how the memo read.

3 **DR. ULSH:** You're right.

4 **DR. LITTLE:** The memo was written for the
5 March report, the March progress report in
6 1969, and so it took effect for the first
7 quarter.

8 **MR. GRIFFON:** And it said we initiated this
9 policy --

10 **DR. LITTLE:** Yes.

11 **DR. ULSH:** And the other thing, recall the
12 thing that originally brought this to our
13 attention was SC&A found that there were high
14 zeros, and we didn't see that in '68. We did
15 see it in '69 and '70. So the weight of the
16 evidence, Mark, doesn't suggest that it was
17 before then.

18 **DR. MAKHIJANI:** A couple of things. One
19 sort of one thing of detail and one of a
20 bigger thing. Page ten of NIOSH's 1969
21 report, in the middle there it says Table X-4,
22 NIOSH Response Table X-4 is slightly
23 misleading with the column labeled Deep Dose
24 1969 refers only to the first quarter. It's
25 not clear what information we gleaned. I just

1 wanted to clarify that. And in our 1969
2 paper, Table X-4 is labeled, I think, Fourth
3 Quarter 1968 and First Quarter 1969. So I
4 think there should be no confusion about
5 what's in there even though the titles in the
6 individual columns --

7 **DR. LITTLE:** I understand that.

8 **DR. MAKHIJANI:** So the point of the
9 comparison was similar to what you did for the
10 full year, 1969, just if you look at case
11 number 102 and 103 -- and these are made up
12 case numbers -- the beta dose was 1240
13 millirem and 1880 millirem. That was more
14 than ten percent of the exposure potential,
15 but then they were, their badges weren't read
16 in the first quarter. Now there may be an
17 explanation for that or not, but we found that
18 if you just literally interpret the policy for
19 people with low exposure potential, their
20 badges weren't being read and here you have a
21 couple of examples at least of people over the
22 ten percent limit whose badges weren't being
23 read, and you know, all of them were non-zeros
24 above the limit of detection.

25 **DR. LITTLE:** Well, the policy doesn't say

1 ten percent. That '69 policy doesn't say ten
2 percent.

3 **DR. MAKHIJANI:** Right, I agree with that.

4 **DR. LITTLE:** That's a different memo that
5 has to do with a different issue.

6 **DR. MAKHIJANI:** The consistence or
7 implication has been the common thread is low
8 exposure potential, and the reason I say ten
9 percent --

10 **DR. LITTLE:** That part's true.

11 **DR. MAKHIJANI:** -- is that that's the one
12 quantitative guideline that has been
13 consistently on the table as to what the term
14 means other than whatever, unless you're
15 interpreting it to say whatever the supervisor
16 thinks on any given day. The only
17 quantitative analysis is that ten percent of
18 exposure potential.

19 So I'm just saying if I seem puzzled
20 why this table is there. And that's why this
21 table is there. First of all there's a bunch
22 of non-zero readings and then a couple of them
23 were over the ten percent exposure potential.
24 Then their badges weren't read in the next
25 quarter. They don't make a judgment about

1 what happened there, but it certainly raised a
2 question. All these things were put in there
3 because it raised a question.

4 **DR. LITTLE:** I guess one of the questions
5 would be is ten percent a significant number
6 or not.

7 **DR. MAKHIJANI:** Well, it seemed to be
8 significant in terms of the policy of the time
9 as NIOSH has represented it --

10 **DR. LITTLE:** But the 1969 policy that
11 doesn't say ten percent. I keep saying ten
12 percent, but that policy doesn't say ten
13 percent. It says significant.

14 **DR. MAKHIJANI:** And was there an idea then
15 of what significant might be if not ten
16 percent?

17 **DR. ULSH:** Not defined.

18 **DR. MAKHIJANI:** Oh, I think that multiplies
19 the problem.

20 **DR. ULSH:** It's not defined in and of
21 itself. The memo itself says people who were
22 in non-plutonium areas on quarterly badge
23 exchange cycles would not be, their badges
24 would not be read unless circumstances
25 warranted. That's all it says.

1 **DR. MAKHIJANI:** I have read the memo. I
2 understand that, but you know, if it is that
3 subjective as being now presented, that
4 implicitly, I understood that implicitly at
5 least the supervisor's guideline would be ten
6 percent even though the memo doesn't say that.
7 I agree with you on that. It does say
8 extraordinary circumstances or some such
9 thing. The badge will be read when warranted.

10 **DR. LITTLE:** I think it's important to keep
11 in mind these are quarterly badges, too, these
12 are not monthly badges. There are a bunch of
13 other people out there getting monitored on a
14 monthly basis, on a biweekly basis --

15 **DR. ULSH:** And the policy doesn't affect
16 them.

17 **DR. LITTLE:** Right, the policy's not, I
18 think we've got to keep that in mind that if
19 someone in a supervisory or the health physics
20 operations role was to decide who was on a
21 quarterly or who was on a monthly, you're not
22 going to put somebody with a high potential on
23 a quarterly badge.

24 **DR. MAKHIJANI:** Well, naturally you only
25 looked at quarterly badges here.

1 **DR. LITTLE:** You've got to keep that in
2 mind. This population is low exposed, period.

3 **MS. MUNN:** One of the things that seems
4 fairly obvious when you're determining policy
5 with respect to radiation exposure on a site
6 like Rocky Flats where you have such a strong,
7 well-rounded health physics department
8 overseeing these issues, it would seem logical
9 that the health physicists would have been
10 part and parcel of any policy-making with
11 respect to where exposures could or could not
12 be considered to be, to have an impact on the
13 workforce.

14 So when we talk about who makes these
15 decisions, and you're talking about a site
16 where you have professionals designated to
17 make those decisions, can't we be reasonably
18 certain that the health physics staff
19 certainly would have made surveys in all these
20 areas would be the final authority with
21 respect to what workers were likely to be
22 exposed and which ones were really low
23 exposed?

24 **DR. MAKHIJANI:** You know, I don't think it's
25 a question about whether there was a final

1 authority or not. It's a question in my mind
2 as to how you go back and know what the
3 quantitative implications of what they were
4 thinking in the context of putting a number to
5 it for somebody's dose which is the problem at
6 hand. And some of these numbers indicate that
7 some people were exposed.

8 One guideline we have from the '50s
9 that a quantitatively low exposure potential
10 is ten percent, and you use that as a
11 guideline in this context to decide whether
12 there was some consistency in that policy or
13 not. It's not clear that there was.

14 And now maybe the foundry workers were
15 all monitored and we'll take a look at that.
16 But when we found the data gaps, it wasn't
17 clear from the universe of people that may not
18 have been monitored or whose badges weren't
19 read as to what the import of those judgments
20 were for dose reconstruction.

21 So it isn't like casting aspersions on
22 what the health physics staff did. It's a
23 question, answering a different question than
24 what they were trying to answer. How do you
25 go back and put a number that says this is a

1 bounding dose for this population of people
2 which is a very different question I think
3 than those that we're trying to answer.

4 **DR. ULSH:** Well, let me perhaps address that
5 question directly. What do you do? You have
6 this policy in place that people who were
7 wearing badges but weren't monitored. By and
8 large I think we're safe in assuming that
9 these people were at low exposure potential.
10 Now I can't tell you that every single one of
11 them was. I can't tell you that. I mean,
12 this is a human institution. Mistakes happen
13 sometimes.

14 But what is the implication of having
15 these zeros that aren't really zeros.
16 They're, in fact, unmonitored. And I think
17 SC&A's correctly pointed out that in this
18 situation you can't just blindly assign missed
19 dose and assume that it bounds the exposure.
20 That is entirely correct. You have to
21 consider, if you're going to do that, you have
22 to provide some rationale for doing that.

23 And I think that we have made an
24 attempt to do that by showing when people were
25 monitored, what were they getting compared to

1 the coworker doses. And we've presented, I
2 think, a fairly strong case that in fact
3 applying missed dose here in this situation
4 would by and large be claimant favorable.

5 But even if at the end of the day the
6 working group disagrees with that, you know
7 what, we just don't find that convincing.
8 Well, we always have the option of saying
9 these zeros that we see in 1969 and '70 are
10 suspect. They're not real. We can't really
11 work with them so we throw them out. We don't
12 use the zeros when we calculate coworker
13 distributions. We use only the positive
14 recorded doses. Now that's a possibility.

15 Of course, it's going to make the
16 coworker doses go up. Of course, it will,
17 especially at the 50th percentile. I think
18 it's better to go with the missed dose
19 approach, but if at the end of the day the
20 working group doesn't concur, then that's
21 always a possibility. I don't think this is
22 an SEC issue because we have a way to address
23 it. It's just a matter of agreeing on what
24 way is appropriate to address it.

25 **MR. ELLIOTT:** Another option might be -- if

1 I can throw this on the table -- another
2 option might be that you take up the
3 assumption that those people who were badged
4 but the badges weren't read, they're
5 unmonitored, had a similar, if not the same
6 type and level of exposure potential as when
7 they were badged and the badge was read and
8 use that assumption to build your distribution
9 and take your appropriate percentile.

10 **DR. ULSH:** I think that would be claimant
11 favorable.

12 **MR. ELLIOTT:** I think it would be claimant
13 favorable.

14 **DR. ULSH:** Because you recall what happened
15 in '69 compared to, say, 1968. 'Sixty-eight,
16 Rocky Flats is operating. They're producing
17 plutonium and uranium. No, I'm sorry. The
18 enriched uranium was gone by then. They might
19 be (unintelligible) used up. But in '69 we
20 had that fire that shut down plutonium
21 operations essentially for a large chunk of
22 the year. So I think if you assumed that the
23 conditions that might have applied in, say,
24 1968 would bound 1969. That would be a safe
25 assumption because of that event if for no

1 other reason.

2 **MR. ELLIOTT:** That's just another option.

3 **DR. MAKHIJANI:** Let me lead to a bigger
4 point which is in our report, we raised two
5 issues with regard to 1969. One was the issue
6 of, you know, you've got these zeros that
7 really were gaps that are different than limit
8 of detection.

9 **MR. ELLIOTT:** It's not a missed dose.

10 **DR. MAKHIJANI:** The gaps when badges were
11 not read, you've thrown away the badges. You
12 have no way to verify what actually happened
13 because the badges were thrown away. The
14 position in our paper was that once you throw
15 away the badges and don't read it in the first
16 place, that becomes a data integrity problem
17 of some proportion. Whether the doses were
18 low or not.

19 Even if all the judgments that were
20 made were right, and the people were actually
21 exposed to very low levels of radiation
22 becomes a problem in its own right. And we
23 said would we meet the normal tests of
24 scientific integrity. And that's what, the
25 judgment that was made in the report that we

1 sent you.

2 So there's got to be some way of
3 dealing with, it was done not with the intent
4 of covering up high doses or anything, and we
5 said that, too. It wasn't done with that
6 intent. It was done with the intent of
7 following that policy. But now you've got a
8 set of data in which two kind of zeros are
9 mixed up. And moreover, one set of zeros in
10 1969 resulted from a questionable policy at
11 best.

12 And so then the question how you deal
13 with, how do you mix up the values from a
14 questionable policy with legitimate values
15 that were zeros from reading badges. And I
16 think dropping, there may be, there are
17 solutions to it that's up to the working group
18 and the Board to determine. But that is kind
19 of an issue in its right that needs to be
20 addressed.

21 But I agree on that, you know, you say
22 that they need to be disentangled, and they
23 do. These two kind of zeros need to be
24 disentangled.

25 **DR. ULSH:** Well, we could, okay, now that we

1 know that there are a certain population of
2 zeros that really can't be trusted because
3 they're actually unmonitored doses, we're
4 faced with a couple of questions. I mean, we
5 can tell by looking at the laboratory
6 worksheets which ones are the ones that
7 weren't read because there are zeros at the
8 top and a red arrow down the page. So we
9 could go through and manually pull those out.

10 Alternatively, for reasons of
11 efficiency and it's claimant favorable, we
12 just say, you know what? Forget it. Take all
13 the zeros out. There might be some legitimate
14 zeros, but it's claimant favorable to go ahead
15 and just take them out. And for terms of
16 efficiency I don't care about, I mean I don't
17 have any objection to giving workers a little
18 higher than what they got. I don't have a
19 problem with that just for a matter of --

20 **MR. GRIFFON:** I thought at one point you
21 also indicated that this was all quarterly
22 people. So you can find quarterly and drop
23 all the quarterly end zeros.

24 **DR. ULSH:** Yeah, you can do that, Mark, if
25 you go in and manually find out, find those

1 quarterlies. I might be confusing a couple of
2 issues now, but I think in HIS-20 there's a
3 lump sum prior to a certain year, and I don't
4 remember which, some time in the '70s. So it
5 might not be easy to do that.

6 **DR. LITTLE:** Yeah, that would have been '69
7 or '70.

8 **DR. ULSH:** It might not be easy to do that
9 on a systematic basis, but yeah, you could do
10 that. We could do that.

11 **MR. ELLIOTT:** Can we agree that this is a
12 site profile dose reconstruction issue and how
13 we go about handling missed dose versus
14 unmonitored dose in the sense and not an SEC
15 issue?

16 **MS. MUNN:** I certainly agree that's the
17 case.

18 **MR. GRIFFON:** I think there's still this
19 proof of process question that I have, but I
20 think it's, I think we're close to there. I'm
21 not sure I'm there yet.

22 **MR. FITZGERALD:** It's becoming more
23 tractable.

24 **MR. GRIFFON:** I mean, I think we need some,
25 there is still proof in my mind --

1 **MR. FITZGERALD:** I think this goes a long
2 way to explain the process and the fact that
3 the data can be worked which is not something
4 that was clear before. So, yeah, I think in
5 terms of just understanding how it would be
6 implemented, it's sort of the gold standard
7 with the actual, get an actual claim, but I
8 understand that's a tough proposition.

9 **DR. ULSH:** And we're not going to have a
10 claim that exists currently.

11 **MR. ELLIOTT:** I understand your intent of
12 proof of process, but only, I'd offer this
13 only for your consideration and for the
14 record. Proof of process is not going to be
15 fully established until the last claim is
16 reconstructed. As we do individual dose
17 reconstructions every claim has its set of
18 circumstances around it that could be brought
19 to question. And so, yes, I want a healthy
20 pursuit --

21 **MR. GRIFFON:** And that's what I'm saying.

22 **MR. ELLIOTT:** -- of process as best we can.

23 **MR. GRIFFON:** And that's why we identified
24 that's sort of illustrative of what we want.

25 **MR. ELLIOTT:** Just keep in mind.

1 **DR. ULSH:** Now, Mark, for this case, I mean
2 this particular case where there's not going
3 to be an existing dose reconstruction that
4 illustrates how we would handle this. I mean
5 we can set up just as an example a dose
6 reconstruction like we presented before. You
7 know, a worker who worked from 1968 to 1971,
8 and here's how we would reconstruct his
9 external dose, and he's unmonitored. That
10 would be a simple example to put together and
11 to show to the working group how we would do
12 this. Is that the kind of thing that you're
13 talking about?

14 **MR. GRIFFON:** Well, I think that you have
15 for these coworker ones I think you've
16 identified. You gave us a count at the last
17 meeting of 100 and some that used OTIB-58.

18 **DR. ULSH:** That is true, Mark, but keep in -
19 -

20 **MR. GRIFFON:** Why don't I just pick one of
21 those cases?

22 **DR. ULSH:** Well, keep in -- I can do that,
23 but keep in mind that OTIB-58 as it is
24 currently written doesn't incorporate these
25 things that we've talked about.

1 **MR. GRIFFON:** Okay.

2 **DR. ULSH:** Excluding the zeros or applying
3 missed dose or, well, it does incorporate
4 applying missed dose, but it kind of
5 determines what kind of agreement we come to.

6 **MR. GRIFFON:** I think that, I mean, it may
7 be that we see that example and we say we're
8 comfortable with this approach. We don't need
9 to drop all these -- I mean, you know, I would
10 say at least offer that and then maybe you can
11 add on an explanation that, you know, look at
12 this and consider our discussion at the last
13 work group meeting. We could possibly do this
14 for this time period or whatever.

15 **DR. ULSH:** I will take that as an action
16 item to locate the dose reconstructions
17 currently completed using external coworker
18 data in 1969 preferably, and '70. I'll try to
19 find one of those for you.

20 **MR. GRIFFON:** I don't think it has to be
21 adjudicated unless we do a full case review,
22 right? We're not --

23 **MR. SHARFI:** Most of those are more recent
24 which might not be adjudicated.

25 **DR. ULSH:** I assume that that's not an issue

1 unless we --

2 **MR. ELLIOTT:** You can do that. You just
3 can't report out.

4 **DR. MAKHIJANI:** But is there some value --
5 there is in my personal opinion, but is there
6 some value for this issue where non-reading of
7 issued badges has resulted in zeros and data
8 records, simply purge that data record, and
9 you're saying you've identified a set of data
10 that for the particular reason, bad data,
11 purge the record of the bad data. And as a
12 result, so you've gotten, there's a process
13 issue there of how you treat that data. And
14 then you can also show, obviously, the result
15 of that that the reconstructions become more
16 claimant favorable because you've removed a
17 bunch of zeros. And I don't know if that
18 solves everything, but I kind of have a
19 concern about a process that leaves data
20 that's been identified as having an integrity
21 problem. There's agreement --

22 **DR. ULSH:** There's two parts --

23 **DR. MAKHIJANI:** -- yeah, so I see this as a
24 two-part issue. How do you deal with
25 identified bad data? And I think one way to

1 deal with it is to get rid of it. I mean,
2 potentially, of course, this is new --

3 **MR. GRIFFON:** Just a possibility.

4 **DR. MAKHIJANI:** -- and I think Brant did
5 bring that up so that struck me as something
6 that, and Larry mentioned it informally
7 earlier on, and it struck me as something that
8 would be valuable to consider because it's a
9 precedence. It's the first time we're dealing
10 with a situation --

11 **MR. GRIFFON:** Yeah, Jim, go ahead, Jim.

12 **DR. NETON (by Telephone):** We keep talking
13 about integrity issues and bad data, and I
14 think that a little bit oversteps the issue.
15 I view these workers, and essentially we would
16 treat them as unmonitored workers, period, as
17 if the badge was never issued. I'm not sure
18 how that would create a data integrity issue.
19 I mean, then you have the situation of
20 deciding whether the workers who were
21 processed with their badge readings were
22 actually representative of the workforce, or
23 if not, were these the highest exposed workers
24 in the workforce. The only way that would
25 become invalid is if they selectively threw

1 away the highest badges they could find or
2 they thought would be high.

3 **MR. GRIFFON:** Right, we have all indications
4 that it was the other, the reverse.

5 **DR. NETON (by Telephone):** It's a little bit
6 overstating the issue to say that there's the
7 bad data and integrity issues here.

8 **DR. MAKHIJANI:** Well, the only way in which
9 I used that, I did not use it in the sense
10 that you were talking about. What you were
11 saying is can it be addressed technically and
12 was there some kind of malfeasance, you know,
13 they were trying to cover up high doses. And
14 I think we've said explicitly that they were
15 not, that we haven't found any evidence that
16 this was some kind of a problem or trying to
17 hide high doses. It wasn't to the best that
18 we can tell.

19 The only reason I used those terms and
20 the terms that are there in our report is when
21 you issue badges that you didn't read and
22 threw away the badges and then wrote zeros,
23 this seems to me like a problem in its own
24 right even though we know that these workers
25 were on quarterly cycles and generally judged

1 to be in lower exposure potential and all of
2 that. It may be that in some other situation
3 that that may not be a low exposure potential
4 people and you have to decide how you're going
5 to deal with that.

6 **MR. ELLIOTT:** It seems to me we ought to
7 take the zero as a zero.

8 **DR. MAKHIJANI:** Right.

9 **MR. ELLIOTT:** If the recording had been not
10 read, then I think we'd be in a different, we
11 would all be saying, hey, we won't use that
12 stuff. We misinterpreted -- as I understand
13 it -- those zeros and treated them as missed
14 dose where we should have treated them as
15 unmonitored dose.

16 **DR. MAKHIJANI:** That's right. And when that
17 is done then the data integrity problem --

18 **MR. FITZGERALD:** Yeah, I think that takes
19 care of it.

20 **DR. WADE:** We need to think a little bit
21 about lunch.

22 **MR. GRIFFON:** Yes.

23 **DR. WADE:** What time do you think we want to
24 get back so I can tell these people.

25 **MR. GRIFFON:** About 1:30.

1 **DR. WADE:** We're going to aim at 1:30 to get
2 back. We're going to break contact and aim at
3 1:30.

4 (Whereupon a lunch break was taken from
5 12:30 p.m. until 1:30 p.m.)

6 **DR. WADE:** Is Robert Presley with us?
7 Robert?

8 **MR. PRESLEY (by Telephone):** Yes, I am.

9 **DR. WADE:** And Mike Gibson? Mike, are you
10 with us?

11 (no response)

12 **DR. WADE:** Mike Gibson on mute?

13 (no response)

14 **DR. WADE:** Any other Board members on the
15 line?

16 (no response)

17 **DR. WADE:** Any other members of the Advisory
18 Board, fine people all, on the line?

19 (no response)

20 **DR. WADE:** Okay, we're ready to begin here
21 then.

22 Mark.

23 **MR. GRIFFON:** I don't know if we have
24 anything more to close out of data
25 completeness. I think we kind of wrapped it

1 up on data completeness. And if that's the
2 case, let's go to move on to the thorium
3 question, the next thing on the agenda. The
4 last report came from NIOSH, right?

5 **THORIUM ISSUE**

6 **MR. FITZGERALD:** Right, we really came down
7 to two fundamental issues for thorium. One
8 was the question, source term and some of the
9 relatively recent information that came out of
10 the Dow discussions. And the other issue, I
11 think, is the model itself, NUREG-1400. So
12 those are the two focal points. There are
13 some other issues, but those are the two key
14 ones that we've addressed, and I think we're
15 prepared to respond to both of those.

16 **DR. MAKHIJANI:** Do you want us --

17 **MR. FITZGERALD:** Yeah, you can jump in.

18 **DR. MAKHIJANI:** It's a long paper, and I
19 can't say we've gone through it all carefully
20 so it's a little bit of a preliminary
21 response. But just to get to the main issues
22 that were there in terms of NUREG-1400, NIOSH
23 has done some new analysis in terms of
24 validating NUREG-1400 with data from a couple
25 of sites. The issue really goes quite a long

1 ways. They've taken their data from the site,
2 from Simonds and one other site --

3 **DR. ULSH:** Rocky Flats.

4 **DR. MAKHIJANI:** Rocky Flats, I read it very
5 early in the morning.

6 **UNIDENTIFIED:** Can you turn up the volume a
7 little bit? We can hardly hear anyone.

8 **DR. WADE:** We're going to try. I don't know
9 whether that works for you. We're going to
10 also do some microphone readjustment
11 spatially.

12 **MS. MUNN:** And persuade Arjun to speak up.

13 **DR. WADE:** Ask Arjun to speak up and not
14 into a computer.

15 **DR. MAKHIJANI:** From Simonds the data
16 validation took a weighted average data,
17 compared it to the NUREG-1400 result, and the
18 differences in this analysis compared to the
19 October analysis that NIOSH did was that there
20 were two factors of ten that were not in this
21 comparison. The source term was not reduced
22 by a factor of ten, and the confinement factor
23 was assumed to be one since, in this case, it
24 was unventilated compared to the assumption
25 for Rocky Flats, ventilated.

1 And the comparison came out quite well
2 for, in both cases, for NUREG-1400. The
3 reservation that I have about this Simonds
4 analysis -- I don't remember the other one as
5 well -- it is that it was done on the basis of
6 a weighted average. And we have on previous
7 reviews, both in an SEC and TBD context, for
8 instance, at Mallinckrodt said that you can't
9 use weighted averages for bounding dose
10 estimates. And that's what we're after here
11 is if we're after a bounding dose estimate, a
12 weighted average can't be used to validate
13 NUREG-1400 for that. Because there are a lot
14 of variations from one day to the next, and
15 one worker to the next, and you need something
16 like a 95 percentile to validate it.

17 And very often in the early days, like
18 at Simonds, I don't know about Simonds, but
19 certainly in several other places, the number
20 of measurements that go into each location are
21 sometime one, two, three, four, typically in
22 that range. And so it raises questions about
23 how you're going to come up with a 95
24 percentile of that. We recommended it but
25 haven't seen a method for it.

1 So that's a kind of caveat, but I
2 think that said that this analysis and this
3 validation certainly you know, set any of our
4 concerns. But there was the analysis of an
5 actual operational process in NIOSH's December
6 report that showed considerably higher doses
7 than calculated for NUREG-1400. Now NIOSH has
8 dropped the source term factor, reducing the
9 source term by a factor of ten which we think
10 also resolves some of our concerns.

11 But John Mauro has been looking more
12 at the operational processes question, and
13 maybe John might summarize our finding. We
14 still have reservations about using NUREG-1400
15 even though this analysis carries things quite
16 a lot farther.

17 **UNIDENTIFIED:** Could you speak up a little
18 bit?

19 **DR. MAKHIJANI:** I said we still have
20 reservations for using NUREG-1400 versus using
21 data from the time that actually reflects dust
22 loading where you might be able to put a 95
23 percentile on similar operations. So we have
24 that reservation still, and John will inform
25 you of some of the research he's been doing

1 because I haven't done that.

2 **DR. MAURO (by Telephone):** In fact, I'd like
3 to just pass on that recently Jim Neton
4 provided a report to us at SC&A specifically
5 on thorium. And there is a very nice chapter
6 that summarizes the machining experience of
7 thorium and uranium. That's a relatively new
8 document that has a lot of information that's
9 I think very relevant to thorium machining
10 issues and the potential for airborne
11 exposures.

12 And, of course, the last time we spoke
13 I had mentioned a reference that we referred
14 to as the A-D-L-E-R, Adler report. That also
15 has a great deal of information on machining
16 uranium. My sense is that that source of
17 information which presents airborne dust
18 loadings for a whole range of those types of
19 operations, machining operations, extrusion
20 operations, is going to be a very important
21 resource not only to address thorium
22 activities, handling activities, machining
23 activities for Rocky, but I guess across the
24 complex.

25 And so I would suggest rather than

1 going to the NUREG as your default method,
2 that actually there appears to be pretty good
3 reports out there with actual measurements of
4 both uranium and to a lesser extent, much
5 lesser extent, thorium. But it's clear from
6 reading these reports that the experience of
7 machining uranium has applicability to the
8 machining of thorium also in terms of the
9 milligrams per cubic meter that might be
10 generated during various types of machining
11 operations.

12 So I guess my perspective is that we
13 have a situation where I think it's tractable.
14 It's a matter of just selecting the proper
15 dust loadings associated with the types of
16 activities that took place at Rocky with
17 regard to machining thorium. And, of course,
18 there's still a question of the extent of that
19 exposure, that is, who was exposed and how
20 often, you know, the time periods in which the
21 exposures may have occurred.

22 But certainly, that's going to be a
23 matter that needs to be looked at. I think
24 that the NUREG approach is not the best
25 approach for this particular case because I

1 think there is some good information out
2 there.

3 **MR. GRIFFON:** John, just to clarify, the
4 reference you're talking about is Albert? Is
5 that --

6 **DR. MAURO (by Telephone):** Yeah, it's the
7 Roy Albert book, and I don't know if Jim's on
8 the line. He graciously actually had the
9 whole book, about 200 pages, scanned and then
10 sent me a CD. And I sent the CD on, and
11 meanwhile, Mark, I'm trying to get a copy to
12 you. Probably, it's a large file so I don't
13 think they were able to electronically send it
14 to you, but I did ask Judy, the office
15 manager, to send you a CD Federal Express. It
16 will probably arrive at your home today.

17 **MR. GRIFFON:** That's fine. The other
18 reference you made I saw some funny
19 expressions. The Adler document, I think when
20 you said we discussed it last time we talked,
21 it was actually at the Chapman Valve meeting.
22 Not everyone in here was at that meeting.

23 **DR. MAURO (by Telephone):** My apologies,
24 that's correct.

25 **MR. GRIFFON:** Adler is the other, a similar

1 reference about uranium machining.

2 **DR. NETON (by Telephone):** Mark, Adley I
3 think is the name of that document.

4 **MR. GRIFFON:** What is it?

5 **DR. NETON (by Telephone):** Adley.

6 **MR. GRIFFON:** Adley, okay.

7 **DR. NETON (by Telephone):** John, I'd like to
8 take credit for having that reproduced, but
9 Brant bore the brunt of that responsibility.

10 **DR. MAURO (by Telephone):** Okay. With
11 regard to the Adley report, Jim, is that now
12 up on your website anywhere?

13 **DR. NETON (by Telephone):** The Adley
14 document. You know, there's so much going on,
15 I don't know. I know I committed to having it
16 up there. I think it is, but I can't swear to
17 it. I'll have to check.

18 **DR. MAURO (by Telephone):** I think both
19 documents are really very important source
20 documents that will help us deal with uranium
21 and thorium dust loading in the early years
22 and practices and experience. They're going
23 to have value for now with this particular
24 issue that we're dealing with now, but across
25 the board.

1 **DR. MAKHIJANI:** So the sum of this, there
2 are two pieces of the thorium issue. One is
3 the dose reconstruction for the source terms
4 that have been identified, and the sum of that
5 is while NUREG has been considerably
6 clarified, and we don't have the same kind of
7 really grievous reservations that in this
8 situation partly because it's the bounding
9 nature of this thing hasn't been demonstrated
10 by the use of weighted averages. There is,
11 there are data available that should be
12 examined, but since we've come to that
13 conclusion we can say that in principle it
14 should be possible to proceed for the source
15 terms that are known, calculate --

16 **MR. GRIFFON:** Calculate more of a site
17 profile --

18 **DR. MAKHIJANI:** So it seems like there
19 should, this piece of it where the source
20 terms are identified should be more of a site
21 profile issue. Then there's the question of
22 what are the source terms.

23 **DR. MAURO (by Telephone):** But I do think
24 it's a point that other folks look at these
25 documents. I think I, I mean, I've looked

1 very closely at them for various reasons, and
2 I just brought this up because I think it may
3 have applicability here, but I think it's
4 important that, you know, everyone around the
5 table feel comfortable that this strategy is,
6 in fact, reasonable.

7 **DR. MAKHIJANI:** Yeah, and just, I don't
8 think anybody else on our team has looked at
9 it. John is the only one, and he's been
10 urging us to do it, and I certainly intend to
11 do it.

12 **MR. FITZGERALD:** Right, I think we got the
13 material late last week.

14 **DR. MAKHIJANI:** So in the spirit of our
15 comments being in a preliminary way, just
16 trying to share with you what, where we are.

17 **MR. GRIFFON:** But I think at least for that
18 those two things, like you said, the source
19 term and the exposure model, and I think at
20 least we're probably at the point where we can
21 say we may not agree with them all right now,
22 but we think it can be, there are ways to
23 model and bound the dose assuming we know the
24 source term. Is that a fair synopsis?

25 **DR. MAKHIJANI:** Yes, I think that's fair.

1 **MR. GRIFFON:** And then I think the upshot of
2 that I think is that it's removed from our SEC
3 sort of deliberations, at least that aspect of
4 it. We want to still bring it to ground, but
5 it's not on that urgent, profile.

6 **DR. MAKHIJANI:** And on the thorium strikes
7 piece of it, I still don't see the logic of
8 NIOSH's argument, but if we accept 100
9 Becquerels as the alarm point of the maximum
10 that could have possibly have happened, that's
11 in the same kind of category. The one
12 Becquerel piece that comes out of NUREG-1400
13 remains unconvincing.

14 John?

15 **DR. MAURO (by Telephone):** Yes.

16 **DR. MAKHIJANI:** Right? Okay.

17 **DR. MAURO (by Telephone):** Oh, I agree. I
18 think when you've got data that is directly
19 applicable to the problem at hand, I would not
20 resort to the NUREG and reserve use of the
21 NUREG for circumstances and then use it. When
22 I look at the results, the dust loading that
23 was coming out of urinalysis were so low that
24 I didn't find them convincing at all. And
25 then when we came across these other reports

1 that dealt with this very issue, that seemed
2 to be by far the superior method of coming at
3 this problem.

4 **MR. GRIFFON:** Okay, so then where are we at
5 with the source term?

6 **DR. MAKHIJANI:** With the source term the,
7 for the thorium source term we're more or less
8 in the same place. We haven't found any
9 evidence that there were more things with
10 thorium that happened. Haven't had a chance
11 to look at the declassified material on the O
12 drive. Thank you for doing that, and I'm
13 intending to look at it.

14 The issue isn't whether NIOSH has,
15 this should never be whether NIOSH is properly
16 representing the classified data that it has
17 reviewed. The issue has been that as the
18 discussion has gone on new things have come to
19 light, not in the sense of the maximum amount
20 of material that was stored at Rocky Flats.
21 At least until 1976, we seem to have a pretty
22 good fix on that, the declassified documents
23 about it that have been in the discussion for
24 some time.

25 But it was a surprise that in

1 December, you know, after saying that we had
2 gone through the classified documents and it's
3 six kilograms ten times a year, that's the
4 main source term that a -- what was it? Three
5 times or four times six? I can't remember the
6 canning and rolling source term, but it was
7 much bigger for that 1960 year that entered
8 the discussion.

9 And new air monitoring data that were
10 not part of the discussion were part of
11 NIOSH's report. And we have no evidence that
12 there is another source term out there, but
13 and we will, I think Joe can describe maybe
14 he's going out to, you're going out to --

15 **MR. FITZGERALD:** Yeah --

16 **DR. MAKHIJANI:** Maybe that's not part of the
17 same issue.

18 **MR. FITZGERALD:** That's not really part of
19 the same issue. I think what it comes down to
20 is there's been a faithful review of available
21 documentation by both camps to the extent that
22 I don't think there's anything left to find.
23 I mean, I think the gold standard in this
24 case, and I think Brant in the response you
25 talk about shipping records.

1 I mean, that would have been the gold
2 standard to demonstrate where things had
3 actually moved and get beyond the interview
4 for anecdotal references. But I think
5 literally we pretty much have seen all the
6 documentation that we can identify and the
7 documentation isn't conclusive. We found some
8 that was suggestive, but at this point in time
9 it's not conclusive in terms of the source
10 term.

11 So I think that's where we are, and
12 we're willing to accept that. But there isn't
13 conclusive evidence to demonstrate that the
14 source term thorium is something that we
15 should be concerned about or is outside of the
16 descriptions that we have. So that's where we
17 are. I mean, I don't certainly see any
18 further actions to turn over any more rocks on
19 this one. I think we've been at it now for
20 more than several months so I think that's as
21 much as one can do on this one.

22 **MR. GRIFFON:** The only thing I would ask is
23 I don't know if is on the phone.

24 (no response)

25 **MR. GRIFFON:** I guess not.

1 **DR. MAKHIJANI:** The alloy issues are
2 separate.

3 **MR. GRIFFON:** Yeah, we did ask at the last
4 meeting if had any more information on
5 this, and he said he was going to talk to some
6 people, but we haven't heard back from him so
7 I guess...

8 **DR. MAKHIJANI:** The alloy issues we have
9 actually through the Board meeting, the last
10 Board meeting, there was somebody from Dow
11 Madison there, and I interviewed him. And he
12 was quite specific -- and I believe he had
13 been interviewed also and some of his other
14 materials are on NIOSH's O drive, but I
15 haven't looked at those. But I did interview
16 him.

17 I don't have his interview currently
18 back from him so I haven't circulated it. I
19 have sent it to him for verification to make
20 sure he agrees with what I wrote about what he
21 said. So normally I don't circulate things
22 until I hear back. But he very clearly said
23 he remembers four truckloads a month going on
24 average of magnesium-thorium alloy between
25 1962 and 1965 to Rocky Flats. I talked with

1 him in various ways. How do you know it went
2 to Rocky Flats? How do you know it wasn't a
3 partial shipment that dropped off most of its
4 stuff in St. Louis? And he also recollected
5 stamped-out parts. It was sheets, and so
6 stamped out sheets of essentially remainder
7 alloy coming back labeled Rocky Flats.

8 Now that's in direct conflict with the
9 interviews, and we've looked at the interviews
10 that NIOSH has done obviously, of senior
11 people who we have no reason to disbelieve.
12 And so I have no reason to disbelieve the
13 person I interviewed. He seemed very
14 straightforward. He seemed to have a very
15 clear memory. And we haven't taken it
16 anywhere else. I mean, we do have names of
17 shipping clerks. Now, he didn't have
18 documents. He did give me names of people who
19 would have done the paperwork at Dow. We have
20 not tracked that further.

21 **MR. GRIFFON:** He doesn't have any names on
22 the Rocky Flats side?

23 **DR. MAKHIJANI:** He didn't have names on the
24 Rocky Flats side. I have quite a bit of
25 information in terms of how it could be

1 tracked in the Dow Madison side, but we have
2 not done that. And that's where the names,
3 the thorium-tungsten alloy in terms of welding
4 we don't have SEC-type of concern so we can
5 leave that out of the discussion.

6 **MR. FITZGERALD:** But I guess I would again
7 go back that unless there is some more
8 compelling documentation that would move this
9 issue forward on the magnesium alloy, I think
10 we were going to say that this pretty much is
11 all one can do. And even though we have
12 suggestive entries like this, I think the
13 piece that NIOSH put together in the last
14 response is a fairly comprehensive treatment
15 of the subject. I think we're going to,
16 again, feel that that pretty much answers the
17 question.

18 Even though we still have these issues
19 that we haven't resolved completely, I think
20 the only thing that would resolve that would
21 be information such as shipping records or
22 something that would establish it went from A
23 to B and here it is. But even then I think
24 the inventory records and some of the other
25 information that was included in the NIOSH

1 response is pretty persuasive so that's where
2 we are.

3 I don't think we're going to get a
4 perfect answer to this, but I think it's been
5 a good faith effort on both sides to try to
6 come to some understanding of what happened on
7 this.

8 **DR. MAKHIJANI:** Mark, is there some, I mean,
9 I know that had said something about
10 looking into it. It may be, I don't know
11 whether NIOSH should do it or we should do it
12 or whether it should be done, but it would be
13 good to maybe at least --

14 **MR. FITZGERALD:** I think we should close the
15 door.

16 **MR. GRIFFON:** I think we should close the
17 door and make sure there's no more information
18 that they've got to add it to the fray. We
19 don't want to find out about that in two
20 months.

21 **MR. ELLIOTT:** So SC&A's going --

22 **MR. GRIFFON:** SC&A's going to follow up --

23 **MR. FITZGERALD:** We'll follow up with
24 and see if he has anything on it.

25 **MR. GRIFFON:** But assuming there's no more

1 information there, I think it's a pretty much
2 closed item.

3 **MR. FITZGERALD:** Yes.

4 **MR. GRIFFON:** Response?

5 **DR. ULSH:** Well, I am gratified to hear that
6 we've reached consensus that while we may not
7 agree on every point on thorium, it doesn't
8 look like it presents SEC implications at this
9 time. That's I think what I heard. So I'm
10 gratified that we've reached that point. It
11 was an arduous process coming to this point,
12 so that's very gratifying.

13 And I don't really want to rock the
14 boat since it was so hard to get to this
15 point, but with regard to NUREG-1400, perhaps
16 that discussion can happen outside of the
17 context of an SEC consideration. I hear what
18 you're saying. I hear that you're not yet
19 convinced. We did --

20 **MR. ELLIOTT:** Can I stop you just there?

21 **DR. ULSH:** Yes, maybe you should.

22 **MR. ELLIOTT:** Well, you know, SC&A's going
23 to finalize their report, and I would hope
24 that in that finalization of this point alone
25 they would refer to the consensus I think I

1 hear today and designate the issue as being
2 site profile related then we can take it up in
3 that form. If you're explicit enough in what
4 your concern is about NUREG, then we can react
5 to it from this report in a site profile
6 discussion form.

7 **DR. MAKHIJANI:** Yeah, we will do that. I
8 mean, John and I have talked about this and
9 obviously Joe and I have talked about this,
10 and I'm going to be re-drafting this for our
11 internal review this week.

12 **MR. FITZGERALD:** Yeah, I think there's going
13 to be a number of issues that will be like
14 that where we didn't agree on some of the
15 details and implementation, but clearly, it's
16 just an advise. And I think we actually are,
17 we'll get to this in a bit, but with Ron
18 Buchanan's piece on external we've been kind
19 of probing those kinds of issues now for a
20 couple months just trying to figure out what
21 the site profile-type implications are.

22 **MR. ELLIOTT:** I think to do all the work
23 that's gone, done underway here, and yet
24 contain and maintain the focus on what we need
25 to continue to discuss outside of an SEC --

1 **DR. WADE:** And remember this work group was
2 constituted to look at both SEC issues and
3 site profile issues. You set the order that
4 way, and that's reasonable. It shouldn't be
5 left unresolved.

6 **DR. MAKHIJANI:** I will try to give you
7 enough details so we can proceed.

8 **MS. MUNN:** It would really be nice if we
9 could put the SEC portion of this to bed.

10 **MR. GRIFFON:** We've got agreement on that.

11 **MS. MUNN:** And define that all other
12 outstanding items in this regard are site
13 profile issues that we'll address in that way.

14 **DR. ULSH:** I'm done.

15 **DATA INTEGRITY, SAFETY CONCERNS AND LOG BOOKS**

16 **MR. GRIFFON:** I think we're under what I'll
17 define as an update item and the first one --
18 I've lumped these three together -- is data
19 integrity, safety concerns and logbooks. I
20 think the logbook one is probably the one that
21 we have a most recent response from NIOSH on.
22 Is that correct?

23 **MR. FITZGERALD:** Yeah, well, we also got one
24 on data integrity, right, so just to back up a
25 little bit, certainly the conclusion from the

1 pieces in January that we provided that we're
2 responding to is even though there may be some
3 specific disagreements on individual cases
4 that figured in those reviews, or specific
5 logbooks that have figured in those reviews.

6 In general, we felt that there was no
7 pattern or evidence of a systemic issue.
8 Evidence where it was clear that by virtue of
9 policy or by virtue of practice that the
10 records were being altered, falsified or
11 entries were made intentionally that were not
12 in fact valid. So we did decide that it
13 didn't rise to that level where we would
14 believe an SEC issue exists of the logbooks
15 review.

16 And, of course, the genesis of that
17 was to take from the petitioners and claimants
18 concerns of whether or not this was going on
19 and to establish in some means, this was
20 typical at Rocky because there really wasn't
21 anything hard-edged that gave you a
22 substantiation of the issues so we had to sort
23 of do a secondary source to look at logbooks.

24 And these were all recommendations
25 from and from the former union to go

1 ahead and look at the logbooks, look at safety
2 concerns. And we did look at the logbooks.
3 The response certainly points out that there
4 is agreement on the SEC issue. It does give
5 us a wealth of specific comments though on
6 comparisons, that there are still some
7 differences on, and we believe there are
8 agreements.

9 Certainly, NIOSH has contested that
10 interpretation. We'll take a hard look at
11 those and certainly reflect that in the final
12 report. If there's any technical accuracies
13 or interpretations that these comments bear
14 out are problems, we will go ahead and make
15 those changes in the final draft. So that's
16 the process I see at this point.

17 **DR. WADE:** I need to make one clarification.
18 Just so everyone understands what might be on
19 the table, what might not be on the table,
20 SC&A submitted a working draft on data
21 reliability, data integrity examples analysis,
22 and in the attachment to that, Attachment 25,
23 there's a column that shows the NIOSH
24 response. That response was excerpted from
25 the NIOSH response. And what I asked John to

1 do was in any subsequent documents to include
2 the entire response, and he's agreed to do
3 that.

4 **MR. FITZGERALD:** Yeah, and thank you for
5 bringing it up. In one of the matrix tables
6 to, I think this was the data integrity
7 examples. In order to format the matrix, we,
8 in some cases, paraphrased some of the
9 position statements on certain examples. And
10 NIOSH has rightfully acknowledged certain
11 cases where that may have inappropriately
12 changed the intent or meaning.

13 So what we're going to do is go back
14 and restore the literal language. It probably
15 can't be a matrix anymore. Some of the
16 comments are six pages long, so it may end up
17 being something other than a matrix, but we
18 are going to restore the literal language and
19 positions so that there's no
20 misinterpretation.

21 **DR. WADE:** Very good, thank you.

22 **MR. FITZGERALD:** Of course, we'll take back
23 or retract that original Attachment 25 as
24 well.

25 **MR. GRIFFON:** There is a follow-up action so

1 it's the logbook.

2 **MR. FITZGERALD:** Yeah, in saying that in
3 essence that the broad conclusion is that
4 certainly we've got a request from the working
5 group in support for NIOSH to first-hand
6 sample, I think the term is the 450 boxes, but
7 it really is 450 sets of data that were the
8 total inquiry that NIOSH conducted through the
9 Records Center in Denver. And next week on
10 Monday Kathy Robertson-DeMers and myself,
11 personally, we're going to go out for five
12 days and just basically do a very defined,
13 narrow sampling.

14 **MS. MUNN:** Thank you.

15 **MR. FITZGERALD:** You're welcome.

16 That is really designed just to answer
17 some of the questions that have come up
18 relative to coverage of certain years and
19 certain facilities, and also to perhaps
20 confirm some of the questions that we've
21 raised in the course of the logbook review.
22 But again, very specific and confined to a
23 sampling process over a few days.

24 And Mountain View -- I keep saying
25 Mountain View. I guess it's changed now. But

1 working group had asked originally, was you're
2 looking for data-rich logbooks. Now we had
3 talked earlier in this process sometime last
4 year -- I don't know when -- that our position
5 has been that after a certain point in time, I
6 think --

7 **MR. ELLIOTT:** 'Seventy-one.

8 **DR. ULSH:** -- '70, '71, yeah, the logbooks
9 that contained a lot of data ceased to be
10 kept. There are still continuation control
11 logbooks, form logbooks and other types that
12 aren't as useful to us. But, Mark, I think
13 you had expressed at one time that what you
14 were really interested in was entries in these
15 logbooks compared to the hardcopy rad files.
16 So it wasn't quite, it wasn't as much of
17 interest to compare computer printouts to
18 computer printouts in the rad file. So what
19 we're looking for here or what you're looking
20 for, I guess, is data-rich logbooks that we
21 might have missed.

22 **MR. GRIFFON:** And it may be that you have,
23 there may be some computer printouts in these
24 records?

25 **MR. FITZGERALD:** We're not changing, we're

1 not changing the comparative analysis. I
2 think this is more of a scoping question as
3 you point out, Brant. That's pretty much the
4 extent of it really.

5 **MR. GRIFFON:** And I don't know, I mean
6 there's, I think what they reported that you
7 issued, that NIOSH issued, it's best, at least
8 for this, in my opinion, there's a lot of
9 specifics, responses, to SC&A's, some of the
10 individual findings where they had
11 discrepancies.

12 **DR. ULSH:** Are you thinking of the data
13 integrity examples or the logbook?

14 **MR. GRIFFON:** I was thinking of both
15 actually. I was just going to say I don't
16 know that it's worth going through these at
17 this point.

18 **MR. FITZGERALD:** But we haven't been able --

19 **MR. GRIFFON:** -- fully reviewed them.

20 **MR. FITZGERALD:** Yeah, we haven't been able
21 to go through systematically. In fact, Kathy
22 is at Pantex all this week, so in a way we'll
23 definitely go through and item-by-item
24 reconcile, or attempt to reconcile, the
25 comment with the current version. Even though

1 we are in agreement, I think, on the SEC
2 issue, for the safety of accuracy and
3 representation, we will go through that
4 process and make sure that the tables reflect
5 the comment. Now we may not necessarily agree
6 with each specific comment, but the ones that
7 certainly point out accuracy issues, we do
8 agree we want to make those changes that
9 reflect that.

10 **MR. GRIFFON:** And then you also said in your
11 final rev you'll be responsive, as much as you
12 can, to NIOSH's most recent report. And I
13 think --

14 **MR. FITZGERALD:** That's what I'm just
15 saying, right.

16 **MR. GRIFFON:** -- the only thing I would say
17 is from now until the time you write this
18 report out maybe the lines can be open, too.
19 That if SC&A had a follow-up question on your
20 response, you know, they can call you --

21 **DR. ULSH:** As always or e-mail.

22 **MR. GRIFFON:** -- and there can be a
23 clarification or whatever.

24 **MR. FITZGERALD:** Yeah, the iterative process
25 will be important because of the amount of

1 ground we have to cover on this issue.

2 That covers kind of two things, too.
3 I think on data integrity likewise we have the
4 same context of, you know, we're in agreement
5 overall from SEC's standpoint in terms of data
6 reliability, but it's just specific cases that
7 we're going to be talking through. Anyway,
8 that's data reliability.

9 I think you had a Super-S, do you want
10 to go to a Super-S?

11 **MR. GRIFFON:** I didn't know if you were done
12 with data reliability.

13 **MR. FITZGERALD:** No, I think the key issue
14 there is --

15 **MR. GRIFFON:** The only other thing I did
16 want to bring up about the logbook thing, and
17 maybe this is a mistake. On the next to the
18 last page the question of the logbooks and
19 your comparison with this claim versus SC&A's,
20 and I'll preface this by saying I don't think
21 that SC&A spent a lot of time to compare your
22 numbers with their numbers. But I did notice
23 that there's, you know, you end up with quite
24 a different percentage of positive matches. I
25 thought, well, I think we need to understand

1 that.

2 I think we need to know why, and SC&A
3 hasn't had a chance to go through this line by
4 line. Brant did provide, there was a
5 spreadsheet that you posted that has the back
6 up. So I think as a follow-up action you need
7 to at least respond to that specifically in
8 your write up.

9 I just wanted to clarify my
10 understanding of your table. It's on page 15.
11 There's no number or anything, but in this you
12 say 115 out of 124. I think that might have
13 been, supposed to have been 125, but anyway,
14 yielding 92 percent match. I notice that the
15 second line down it says entries with no
16 reference in HIS-20 for ten employees. And
17 those were excluded from your denominator in
18 this compilation.

19 **DR. ULSH:** That's correct.

20 **MR. GRIFFON:** And I think they might have
21 been included in SC&A's, so part if it --

22 **DR. ULSH:** That could be part of it.

23 **MR. GRIFFON:** And if was, you know, well, I
24 guess the question, and I skimmed this, too,
25 but I think the rationale for excluding those

1 was basically that they were people that had
2 retired before this 1977 or '76, whatever data
3 that is, and therefore, were pulled from the
4 HIS-20 database.

5 **DR. ULSH:** Well, they never made it into the
6 HIS-20 database.

7 **MR. GRIFFON:** And then some people would
8 have been added back in though that retired
9 before that date but not all.

10 **DR. ULSH:** Correct.

11 **MR. GRIFFON:** So they were people apparently
12 not added back in.

13 **DR. ULSH:** If they were a part, they would
14 have been added back in if they were part of
15 the medical recall program in the late '90s.
16 And these people are not part of the medical
17 recall program.

18 **MR. GRIFFON:** So I guess I just want people
19 to understand that in my opinion that's not
20 really, I thought that it should have stayed
21 in the denominator, but because it does
22 reflect on the overall, you know, what's in
23 the database versus what's not in the
24 database. These people aren't in there. Why
25 they were dropped, you've explained very well

1 why they were not in there because they
2 weren't in there. So they have radiation
3 hardcopy records, but they're not in the HIS-
4 20 database.

5 **DR. ULSH:** If they were, if we did it the
6 way that you suggested, Mark, if we included
7 those in the denominator, it would drop the
8 percentage agreement by a couple of percent.

9 **MR. GRIFFON:** I'm not disputing that. I was
10 just trying to understand how you did the
11 analysis versus how --

12 **MR. FITZGERALD:** Right, and I think that's
13 probably the action that we're going to have
14 to work with on that.

15 **MR. GRIFFON:** Another spreadsheet with all
16 that detail.

17 **MR. FITZGERALD:** Understand the difference
18 in the numbers and try to reconcile it if
19 possible.

20 **MR. GRIFFON:** I don't think we need to go on
21 that any further. Just to be aware of it.

22 **MS. MUNN:** What difference, is it pretty
23 small at this point?

24 **MR. FITZGERALD:** I think except for one
25 instance where the percentage differences were

1 a little higher, 20 percent difference.

2 **MS. MUNN:** Was it? Did I miss that reading
3 too fast?

4 **MR. FITZGERALD:** I'll have to go back and
5 check, but I think it was one parameter that
6 was a little divergent.

7 **MR. GRIFFON:** The only other factor in this
8 table that I'm not sure was defined the same
9 way in both reports was this term, close
10 match. So that might be another thing that
11 accounts for it.

12 **MR. FITZGERALD:** It could.

13 **MR. GRIFFON:** And you describe close match
14 as, there's 21 of these, and you, generally,
15 you're saying that these are background
16 readings, and the database had a value.
17 That's why I wanted to look at the data that
18 you have to be clear on that.

19 **DR. ULSH:** And you will find that in the
20 spreadsheet. There were a couple of
21 situations where I think we would categorize
22 as a close match like you said, Mark. In the
23 logbook perhaps it might have been recorded as
24 background, whereas, there was a value in the
25 HIS-20 or vice -- well, you wouldn't see

1 background in HIS-20.

2 Also, keep in mind that there are a
3 number of different dates that are associated
4 with particular samples. The date that it was
5 collected. The date that it was analyzed.
6 The date that it was reported. And so if it
7 was pretty close in time, you know, a couple
8 of days, we would call that a close match. So
9 that's the kind of thing that we're talking
10 about.

11 **MR. GRIFFON:** All right, I guess for
12 anything else on those three items then?

13 **DR. ULSH:** No, not from me.

14 **MR. GRIFFON:** I think we're okay.

15 **MR. FITZGERALD:** I guess the only thing I
16 would ask on the safety concerns, that was a
17 very early piece that, I can't recall. We got
18 a similar response from you from back when.
19 Are you planning to review that in the same
20 level of detail because that's going to
21 somehow be melded in.

22 **DR. ULSH:** The reason that we didn't issue a
23 report on safety concerns as requested by the
24 working group, I mean, you can pretty much
25 tell which issues are the most important from

1 an SEC standpoint, and we ranked safety
2 concerns as last because, quite frankly, we're
3 in a similar situation where SC&A and NIOSH
4 agreed, were in concurrence, that there may
5 not be SEC issues. There were particular
6 instances where we may not agree on every
7 single safety concern, but it doesn't rise to
8 the level of SEC.

9 **MR. FITZGERALD:** Okay, so you're not as
10 concerned on the individual case differences.
11 I'm just trying to --

12 **DR. ULSH:** I understand. It's a good
13 question. Like I said we put that last on the
14 list, and we just didn't have time to issue a
15 report, and I didn't feel that it was terribly
16 important to do so.

17 **MR. GRIFFON:** We certainly didn't ask you to
18 look at, respond to those individual cases.

19 **DR. ULSH:** Right. I think we're in
20 concurrence.

21 **SUPER S, TIB 0049**

22 **MR. GRIFFON:** I think we're on to Super-S.

23 **MR. FITZGERALD:** Super-S, and I'm going to
24 let Joyce get into this, but in general, this
25 goes way back. The June 5 Board meeting,

1 Joyce Lipsztein gave a pretty detailed report
2 to the Board in the public session about our
3 review of OTIB-49 at that time. And certainly
4 our conclusion was that we were in accord with
5 a conceptual approach of the model and found
6 it was claimant favorable and felt it
7 addressed certainly the potential SEC issue
8 that was raised by a petitioner relative to
9 being able to do dose reconstruction for
10 plutonium oxide, the Super-S mode. That was
11 back last summer.

12 And beyond that I think the concern
13 was whether or not the model cases upon which
14 the OTIB was based were, in fact, conservative
15 from the standpoint of being able to envelope
16 workers that were exposed to the '65 fire.
17 And Joyce, with the assistance of the files
18 that were provided for 25 workers, she's
19 reviewed those.

20 And I think the conclusion that we've
21 reached -- and you haven't seen this report
22 yet -- but that they, in fact, are
23 conservative. They do envelope. So we don't
24 see a validity question relative to those
25 model cases. And that's taken a little bit

1 longer just because of getting the records and
2 going through a lot of process. So I think
3 certainly it doesn't appear to be a validation
4 problem.

5 And finally the third item which gets
6 a little bit toward what Mark's been talking
7 about to some extent which is how does the
8 OTIB-49, in this case the model, work or apply
9 to sort of real life situations or scenarios
10 or circumstances at the plant. We wanted to
11 look at that relative to some cases of workers
12 that might have been exposed to Super-S before
13 in vivo counting at Rocky. You know, sort of
14 test the outer bounds of whether it would, in
15 fact, the model would be inclusive.

16 And actually, we'll provide that
17 material to you in written form. We felt it
18 did, in fact, envelope even these cases. So
19 in general, I think on the Super-S, and
20 certainly on those three facets, three main
21 facets, we felt the analysis underscores that
22 OTIB-49 certainly addresses the Super-S issue.
23 And there's not a, certainly no SEC issue that
24 we can see.

25 Joyce, are you still on the line?

1 **DR. LIPSZTEIN (by Telephone):** Yes.

2 **MR. FITZGERALD:** Do you want to add any
3 particulars to that?

4 **DR. LIPSZTEIN (by Telephone):** I think you
5 summarized everything. I could not -- I
6 analyzed all the 25, the data from all 25
7 workers that were involved in the '65 fire to
8 see the (unintelligible) that were chosen to
9 be the design cases, the design model, and to
10 see if there were others that could be chosen.
11 And from the other 19 workers, only two could
12 qualify and even one of them had prior
13 exposures to plutonium, but he had so much
14 exposure during the '65 fire that maybe he
15 would qualify also.

16 So I analyzed those two cases in
17 detail, and I saw that the real model design
18 was based on two cases, one from Hanford, one
19 from Rocky Flats. And the -- I developed
20 those two. So I think that we have concluded
21 that cases were well chosen by NIOSH, that
22 they are significantly conservative for those
23 years.

24 Do you want anymore details?

25 **MR. FITZGERALD:** No, I think that's helpful.

1 Don't go away though. We haven't gotten to 38
2 yet.

3 **DR. LIPSTEIN (by Telephone):** They -- you
4 were talking about the implication of 49 and
5 other documents for some cases. We had
6 someone that worked in Rocky Flats and was
7 given examples of people that could be, could
8 not have been, actually worked at Rocky Flats
9 before in vivo counting. And there was where
10 he did (unintelligible) these people would not
11 have (unintelligible) calculation of the dose.

12 So we, based on the values it was
13 suggested (unintelligible) Rocky Flats of
14 exposures for each of them we would use either
15 OTIB-49. We had to use OTIB-38. We used it
16 with the multiplications that were agreed to
17 be done by NIOSH which is the use of the 95th
18 percentile. And also, we had to use for the
19 people that had results below detection limits
20 we had to calculate the missed dose based on
21 the MDA.

22 And we saw that most of the time the
23 application of these three documents would
24 calculate the dose in a fair way to the
25 workers. I think we should -- and I'm not --

1 it's not related to NIOSH. This is the way
2 that they really would treat those cases and
3 especially should discuss the application of
4 the MDA with NIOSH. But in general, I think
5 that the worker would be fairly treated using
6 the documents.

7 **MR. FITZGERALD:** Just as a post script we
8 had a number of conversations about this
9 question of applying the coworker model and
10 this 95th percentile. And I think the issue
11 there is the conservatism in terms of fitting
12 a dose distribution. And we appreciate, I
13 think Jim has reminded us that certainly the
14 95th percentile in these circumstances is
15 available to NIOSH, but again, that's NIOSH's
16 discretion to apply that as needed. So I
17 think there's a, maybe it's more of a site
18 profile question on that one. But there's a
19 question and I think Joyce has articulated it
20 which is under what circumstance and specific
21 case would the 95th percentile in fact be
22 applied.

23 **MR. GRIFFON:** It may be useful to kind of
24 one, know Joyce's scenarios that she ran and
25 ask NIOSH to run a Super-S pre-in vivo with

1 the coworker model as one of the examples
2 because I think there is this proof of process
3 question. And I'm not sure, at least in the
4 ones I've looked at they ever used the 95th,
5 but maybe they would consider it, and maybe
6 it's a plausible up or down, but I don't think
7 it's being used currently. I think it goes
8 back to that proof of process question.

9 **DR. ULSH:** I don't know. You kind of caught
10 me off guard with that question about internal
11 coworker and when we'd apply the 95th. I can
12 tell you that in general our rule, you know,
13 the methods that we operate under. If you
14 have an unmonitored worker for internal, what
15 we're going to do is if there is indication
16 that this person was a significant exposure
17 potential, and that's defined by working in a
18 radiation area, for instance, then we would
19 apply the 95th percentile.

20 If a person -- and I'm going to look
21 at my ORAU colleagues here. If a person --
22 just to make sure that I'm saying this right.
23 If a person only periodically visited
24 radiation areas, had very intermittent
25 potential exposure, then we would apply the

1 50th percentile. If a person never really went
2 into radiation areas, then we would apply
3 ambient environmental.

4 Now, have I misspoken? I don't think
5 I have.

6 **MR. SHARFI:** It's more of an external issue.
7 The 95th, 50th is more an explanation of the
8 external issue. In the internal we have a 50th
9 percentile, then calculate the GSD which would
10 give you the distribution assigned, and that
11 normally would assign, I don't know of a time
12 that we've assigned to internal is 95th
13 percentile, the max, the maximum bound. So
14 like the numbers, I know in one of the
15 previous calls we had talked about possibly
16 using for Rocky the 95th and that was a
17 discussion at the time --

18 **MR. GRIFFON:** Well, it was only offered as -
19 -

20 **MR. SHARFI:** Yes, it was offered as a
21 possible solution for this particular site,
22 but it's not a common practice for internal
23 coworker.

24 **DR. ULSH:** With regard to Super-S and when
25 it would be applied, I didn't catch whether

1 there was still some outstanding questions
2 about that or --

3 **MR. FITZGERALD:** No, no, this was more of
4 this context. I'm not going to get back into
5 the conversation we spent a couple meetings
6 and conference calls talking about this issue.
7 But I think that was where we left it. But
8 the fact that it was available, certainly, it
9 might be an option. But the distribution, the
10 question of conservatism and fitting the
11 distribution which is where Joyce had all the
12 concerns I think was something that would be
13 addressed by this. But again, we're the first
14 to admit that's not an SEC issue.

15 **MR. GRIFFON:** This is more of a coworker
16 model side.

17 **MR. FITZGERALD:** Yeah, exactly.

18 Is that right, Joyce?

19 **DR. LIPSZTEIN (by Telephone):** Yes, this is
20 the coworker model. (Unintelligible) I think
21 it's not an SEC issue, but I think it's very
22 important. I understood that NIOSH would
23 apply the 95th percentile, but that's another
24 discussion not really applied here. I think
25 I'm (unintelligible) I think that OTIB-49 is

1 (unintelligible) was fairly treated by NIOSH
2 in relation to the workers.

3 **MR. GRIFFON:** Let's save that other piece
4 for later on, yeah.

5 **MR. FITZGERALD:** Sure.

6 Thank you, Joyce.

7 **MR. GRIFFON:** So then we're on, I think
8 we're on sounds like Super-S, I mean the
9 outstanding issue really was the, checking
10 those other 19 cases to see if original
11 assigned cases were bounding, and Joyce has
12 looked at that and is happy with that. So I
13 think we've been happy with the model for
14 awhile. We were just doing that final piece
15 and I think it's closed.

16 **MR. FITZGERALD:** And that final piece is in
17 written form, and likewise, as soon as we have
18 a chance in the next four or five business
19 days, we'll send that over so you will have
20 that.

21 **NEUTRON DOSE QUESTIONS**

22 **MR. GRIFFON:** Next issue is the neutron dose
23 questions. I think you've already sort of
24 spoke to us, Joe, but this is Ron's.

25 **MR. FITZGERALD:** Yeah, I'm always concerned

1 about wading into those waters because it's
2 actually been a very intense dialogue that's
3 been going on between ORAU and Ron Buchanan on
4 some remaining issues. I think fundamentally
5 probably the latter part of last year the
6 conclusion was this didn't appear to be an SEC
7 issue. There were some questions on tables
8 that were included in the OTIB-58 coworker
9 model that we had some questions and problems
10 about. I think we've been working with NIOSH
11 and ORAU to try to resolve those issues. And
12 I think we're closer.

13 Ron?

14 **MR. BUCHANAN (by Telephone):** Yeah.

15 **MR. FITZGERALD:** How close are we?

16 **MR. BUCHANAN (by Telephone):** Yeah, this is
17 Ron of SC&A. Yes, we have been working on
18 this now, and what the conclusion I've reached
19 at this point is that the model seems
20 reasonable, doesn't present an SEC issue. I
21 still have some questions on the application
22 of the NDRP that I need to clear up, how the
23 '59 values are used for '52 to '58.

24 From what I know at this point, I
25 don't see that there's SEC issues

1 (unintelligible) site profile issues if the
2 data's there to support the model. On OTIB-
3 58, the coworker model, I think that that's
4 going to have another revision from the one
5 put out in January if I understand Brant
6 correctly. I do have some questions on the
7 non-penetrating there. Again, that could be
8 site profile rather than SEC issues.

9 **MR. FITZGERALD:** Ron, could you illuminate a
10 little bit because I think a central question
11 was the back extrapolation on the neutron
12 doses for that 1950, was it '52 to '59?

13 **MR. GRIFFON:** 'Fifty-two to '59.

14 **MR. FITZGERALD:** That was one of the central
15 issues raised early on. Can you illuminate a
16 little bit more on where that stands?

17 **MR. BUCHANAN (by Telephone):** Okay, that's
18 '52 to '58. Our understanding is that in the
19 NDRP report they stated that there was not
20 enough neutron data to create year-by-year
21 neutron-photon ratios. During this period
22 there was very little neutron monitoring took
23 place in '52 to '58. It didn't really begin
24 in earnest until '59 and '60.

25 So what NDRP recommends is to using

1 the N-over-P ratios from '59 to determine the
2 neutron dose in '52 to '58. And then it's
3 broken down by buildings, mainly the plutonium
4 buildings. That's where most of the
5 monitoring took place. And if you weren't in
6 one of those then it falls in an all other
7 building category.

8 And the way it stands now is that the
9 NDRP report, of course, went back and
10 calculated those doses, those neutron doses
11 for the workers during the '52 to '58 period
12 and other periods, but this is the period
13 we're interested in right now, using the
14 photon dose in most cases the '52 to '58.
15 Then we can calculate their neutron dose, add
16 those together and get their total penetrating
17 dose. And this is an acceptable method if the
18 N-over-P values remain the same or
19 approximately the same for '52 to '58 as they
20 were in '59.

21 Now, there's one thing that I had
22 requested that we have not had was, there were
23 two items actually. Number one is we have
24 some of the data for the neutron monitoring
25 that was done '52 to '58, but we don't have ID

1 numbers with it, and so I can't see what dose
2 belongs to what worker.

3 You go back and calculate the average
4 N-over-P values which I'd like to compare to
5 that published in the Table 11.1 of NDRP to
6 see if, indeed, we need some benchmarks to
7 show that the N-over-P ratio in those early
8 years were approximately the same as those in
9 '59 that we're going to use, and they were
10 used in NDRP. And so I really still need
11 those ID numbers to go with those earlier
12 neutron measurements to set down some
13 benchmarks.

14 And the second item of concern was I'm
15 still not clear on how many of the NDRP doses
16 in '52 to '58 were notational doses which were
17 calculated from N-over-P ratios, or they were
18 average dose as compared to the actual neutron
19 film measurement. And we have a conflict
20 there because Roger Falk, his letter to Brant
21 the other day said that only 1958 did they use
22 50 of those data. All the rest of it is
23 occasional doses. However, Brant sent me some
24 files that shows there's neutron data for '52
25 to '58 scattered in some of the workers, the

1 ones I need IDs for.

2 And so that is an area that needs to
3 be clarified. So to summarize what I need is
4 to be able to do some benchmarks from '52 to
5 '58 to see if they fall in the range of '59 N-
6 over-P ratios were. And in my final write up
7 on this Section 4, I went back and looked at
8 some N-over-Ps that I could find from the
9 scattered data that I got together. And it
10 looks like the Table 11.1 NDRP, the values for
11 N-over-P there are average values. They are
12 not bounding values that I could find in
13 earlier years, and I'd like to verify that.

14 So saying that, if that can be
15 verified, then it looks like we can do the
16 dose reconstruction because we have the
17 recorded photon dose for the workers that were
18 probably exposed to neutrons. And so that's
19 where it stands at this point.

20 **MR. GRIFFON:** You asked for the long
21 explanation. That's good. That's good.

22 Joe.

23 **MR. FITZGERALD:** I was just going to say I
24 know you've been in contact with your
25 counterparts. Is that requesting that

1 information?

2 (no response)

3 **MR. FITZGERALD:** Have you made the request
4 or is that, is this something new?

5 **MR. BUCHANAN (by Telephone):** Me? Joe?

6 **MR. FITZGERALD:** Yes.

7 **MR. BUCHANAN (by Telephone):** No, I made
8 that request for going on a year now. I still
9 need some ID numbers tested with data we do
10 have for the actual neutron films that were
11 read and re-read and from '52 to '58 so that I
12 can go back and do some benchmarking.

13 **MR. GRIFFON:** I'm going to include that
14 action again. If it was already given, we
15 don't know, but either way it's an action now.
16 And if Brant needs clarification on that, Ron,
17 I'll ask that he contact you directly maybe
18 and make sure we get the right stuff to --

19 **MR. BUCHANAN (by Telephone):** Yeah, that was
20 in the August 14th phone conference we had on
21 this subject. Now they did send me the data I
22 requested. The ID numbers were left off, and
23 the problem with that is a list of, a table of
24 film badge results, but I don't know who they
25 belong to so I can't pair them up. And that

1 item and then the non-penetrating in Table 7-1
2 of OTIB-58 are the two remaining, major
3 remaining items in that area.

4 **MR. GRIFFON:** What's the issue on the non-
5 penetrating?

6 **MR. BUCHANAN (by Telephone):** The non-
7 penetrating in Table 7-1 of OTIB-58 is that
8 the non-penetrating to penetrating has a ratio
9 of about 1.1 to 1. And the information that
10 I've got in some of the other data that Brant
11 has sent me shows that the ratio is more
12 around 1 to 5, the penetrating is one. The
13 non-penetrating is five. And they haven't re-
14 issued that, but I think it's going be re-
15 issued with the same values in Table 7-1.

16 And so I haven't brought this up to
17 Brant yet because I just got this information
18 in recently. But that's another area that I
19 would like to look at. I feel that the non-
20 penetrating in the Table 7-1 are okay for the
21 plutonium workers but might not bound the dose
22 for non-penetrating for uranium workers.

23 **MR. GRIFFON:** This is TIB-58, Table 7-1?

24 **MR. BUCHANAN (by Telephone):** Yeah.

25 **MR. FITZGERALD:** Ron, just to sort of help

1 everybody, if you can kind of lay that out
2 very clearly in a maybe e-mail to Brant, copy
3 to Mark and I or the usual suspects that will,
4 I think, help Brant out as well as --

5 **MR. BUCHANAN (by Telephone):** Yeah, right,
6 I'll spell it out there, those two items of
7 concern and reiterate them. Now the non-
8 penetrating to penetrating, I just did a
9 recent item and I haven't requested, I haven't
10 brought that up before because we just decided
11 what to do with Table 7-1 and OTIB-58, I mean,
12 what Brant has recently informed us. So that
13 is a recent item that just came up because of
14 that.

15 **MR. FITZGERALD:** And I want to again
16 underscore, there's been a lot of give and
17 take on this issue over the last couple of
18 months trying to come to closure on this. But
19 again at this point it's not an SEC issue as
20 much as making sure it's representative of how
21 OTIB-58 is going to be used.

22 **MR. GRIFFON:** Yeah, I guess the one thing I
23 would say maybe is if we can, that first item,
24 getting the identifiers to Ron. Before we, it
25 would be nice in the next month or so if Ron

1 can at least say to us he's got the data. And
2 even if there's disagreement on what N-P ratio
3 should be used, we can always debate that in
4 the site profile.

5 **MS. MUNN:** We can deal with that --

6 **MR. GRIFFON:** Yeah, we can deal with that
7 later as long as he's got information there
8 that you can calculate N-P ratios with. I'm
9 assuming you have the N-P identifiers. If we
10 can get that far, that would be a plus. Then
11 we know we can do it, and we can debate what
12 the right number is later.

13 Is that it on neutron questions? I
14 think it is.

15 **MR. FITZGERALD:** Any more Ron?

16 (no response)

17 **MR. FITZGERALD:** I think that's pretty much
18 it.

19 **MR. BUCHANAN (by Telephone):** That's the
20 major issues that (unintelligible) site
21 profile.

22 **MR. GRIFFON:** All right, thank you.

23 **COWORKER MODEL**

24 Then the coworker model is the next
25 thing I have, coworker model or models.

1 broached as much, both Ron and Joyce, and they
2 can dive in when they want, this question of
3 whether the data that populates the site
4 profiles I think is the question that we've
5 sort of gotten into on the completeness
6 question. But that's kind of where we're at
7 right now in terms of the final aspects of the
8 coworker models that we feel needs to be dealt
9 with. Again, we've looked at parts of that,
10 but I don't think we're completely finished
11 with it in terms of validating.

12 **MR. GRIFFON:** And I know there's several
13 papers out there especially related to the
14 internal coworker model. I keep calling it
15 Donna Cragle piece. I'm not sure it was not
16 only Donna Cragle that wrote that comparison
17 of HIS-20 and CER.

18 **DR. ULSH:** It was the ORAU team. I think
19 the first author might have been Joe Lockamy.
20 I'm not sure.

21 **MR. GRIFFON:** And then there's another one
22 that looks at the calculating intakes using
23 HIS-20 versus using the CER data. That's
24 Lockamy I think. And there may be a third.

25 **DR. ULSH:** I think there were two Lockamys.

1 There was the first one that he wrote, and
2 there was a follow up.

3 **MR. GRIFFON:** So I guess we, I think we need
4 to address that certainly in your final
5 evaluation.

6 **MR. FITZGERALD:** Right.

7 **MR. GRIFFON:** You need to address that in, I
8 think in some of the discussions we've had,
9 and this is how it gets back to the 95th
10 percentile, some of the discussions we've had
11 I think have turned on the fact that, well,
12 the upper limits of these things, these
13 databases look similar, and they yield similar
14 intakes. And I asked, I think the prior
15 action was for SC&A to look at these and make
16 sure you were comfortable with that Lockamy
17 analysis. Make sure --

18 **MR. FITZGERALD:** Right, and we spent, and
19 certainly Joyce has spent time looking at
20 those analyses and certainly one concern is
21 this very issue that the dose distribution
22 that she had looked at for OTIB-38, the
23 concern was that the 50th percentile would not
24 necessarily envelope some of the higher end
25 doses as would the 95th. And that's where I

1 think this question of conservatism in terms
2 of applying the coworker model came into play.

3 Is that right, Joyce?

4 **DR. LIPSZTEIN (by Telephone):** Yes, that's
5 right, I think. The first question whether to
6 use one distribution or the other. I looked
7 at the two papers by Lockamy, and actually one
8 of the things that I noticed that both CER and
9 HIS-20 they have enough data to elaborate a
10 model because I think that OTIB-38 is a model.
11 It's a model based on real data, and as long
12 as they have enough data, either of the
13 distributions are good for application of the
14 relation of a model. Because one of the
15 things that has to be understood is that OTIB-
16 38 is just a model.

17 The best way to draw a model from this
18 data that's what is important in discussion.
19 One example that I can give is, for example,
20 on the Lockamy table some values, for example,
21 '64 and '65 are different from the 50th
22 percentile. And the number of data that was
23 used is different from the ones that are on
24 OTIB-38. That's because on OTIB-38, some of -
25 - I think, but I'm not sure, but I think it is

1 because some of the data were taken off
2 because they are said to be related to an
3 extraordinary incident. I think that's the
4 explanation that I got.

5 But what I mean is that more important
6 than the use of CER or HIS-20 is the criteria
7 for taking off some of the samples. Because,
8 for example, on the Lockamy table in '64, for
9 example, Lockamy says that there were 4,761
10 samples. No, I'm sorry, 4,976. And then the
11 OTIB-38 is 4,761. So I have less, around 200
12 samples less. And the maximum on the Lockamy
13 is 1,000,800 DPM, and the maximum at OTIB-38
14 is 2,290.

15 So obviously, this sample with
16 1,000,800 DPM per 25 (unintelligible) either a
17 huge accident or an error. So it was taken
18 out from OTIB-38. So the discussion of which
19 data stays and which one is taken out is more
20 important than if it's using CER or HIS-20
21 because both databases have a lot of data.

22 The second thing is that when you
23 analyze the data, and you made, NIOSH made a
24 model from it, if the rise in intake for
25 various subsequent years. So although for

1 most of the years the urine, you know, you got
2 the urine for each quarter at the 50th
3 percentile, urine for each quarter, and some
4 of the years were for the year, but when you
5 make the IMBA run and make the intake, then
6 the intake is made for values years in a row
7 because this is a model.

8 And so when you speak of the 50th
9 percentile, it's not the value that 50 percent
10 of the workers are below that, and 50 percent
11 of the workers are above that. It's just the
12 intake that was derived (unintelligible) to
13 value years of data. So even if you look at
14 OTIB-38, you'll see the (unintelligible) is
15 corresponding to the real urine and you'll see
16 that there are value points that are above
17 that line.

18 So when we discuss 50th percentile or
19 95 percentile, we're not talking about real
20 data or all the workers being below 95th
21 percent or 50th percent. We are talking about
22 a model that will reproduce urine data, but we
23 should be aware the manuals, the data will be
24 many of the 50 percentile urine data, will not
25 be above that line.

1 estimation SEC issues or more TBD-type issues.

2 **DR. LIPSZTEIN (by Telephone):** Oh, no, they
3 are not, no, no. I think there is a model. I
4 think there is a way to reconstruct
5 unmonitored, you know, to apply this model to
6 unmonitored workers. I agree with it, and
7 then we just have to agree on the numbers.

8 **DR. ULSH:** Okay, well then I'll hold off on
9 my second question.

10 **MR. GRIFFON:** Joyce, did you review this
11 other paper we're talking about? Because you
12 mentioned the two Lockamy papers, but the
13 Donna Cragle --

14 **DR. LIPSZTEIN (by Telephone):** Yeah, but
15 then it's just the number of sample, and good
16 thing to do with the Lockamy tables is that
17 they have the percentiles from it. So it's --

18 **MR. GRIFFON:** So no concerns that the
19 numbers are a little different?

20 **DR. LIPSZTEIN (by Telephone):** I think NIOSH
21 explained it to us, that they have taken out
22 some numbers because they are either related
23 to incidents. Isn't this true?

24 **MR. GRIFFON:** No, I'm not talking about the
25 Lockamy stuff compared to OTIB-38 as much as I

1 am about the year-by-year CEDR versus HIS-20.
2 Those are larger discrepancies. They're just
3 not removing incidents. There's differences
4 in data, and they did explain that as well
5 because people were never in, people pre-1977,
6 as we've discussed, were not in the HIS-20.
7 But there's some large differences in it. I
8 guess we'll leave that alone for now, but I
9 don't know where that --

10 **MR. FITZGERALD:** No, I think this point is
11 sufficiently complex. I wouldn't propose we
12 continue on the phone, but the write up will
13 be circulated and it's not in our view an SEC
14 issue, but nonetheless it's a TBD question
15 that we ought to give you, certainly have an
16 opportunity to close on.

17 Okay? Thank you, Joyce.

18 **MR. GRIFFON:** I thought you had some
19 coworker models?

20 **MR. FITZGERALD:** No, again, I think much of
21 what Ron is dealing with is OTIB-58 and its
22 application and some of the loose ends that
23 we're trying to resolve, but none of which
24 appear to be SEC issues. And the same thing
25 with Joyce. I think in general, without

1 getting into the population of these models
2 with data, which is other issues, we're
3 certainly okay on the models.

4 **MR. GRIFFON:** And I think from that
5 standpoint I will say because that's still one
6 of my concerns, but I think we've got so many
7 pieces out there speaking to that, I think now
8 we've got to just evaluate the, sort of the
9 weight of the evidence.

10 **MR. FITZGERALD:** We've been coming at it
11 from different says.

12 **MR. GRIFFON:** We've got, and I admit I
13 haven't even looked at this yet, but NIOSH has
14 looked at the correlator reports as I
15 requested and compared those to the database.
16 And we've got internal comparisons between the
17 databases, and explanations. We've got this
18 last logbook comparison which looks at some
19 raw data compared to the database. So I think
20 we've just got to, we've got all these pieces
21 now, and we've just got to weigh this.

22 **DR. ULSH:** Did you mention the progress
23 reports?

24 **MR. GRIFFON:** Quarterly reports, progress
25 reports, whatever you want to call them.

1 **MS. MUNN:** This is post-April. This issue,
2 these pieces we can put together.

3 **MR. GRIFFON:** Yes, I mean I guess what I'm
4 saying is I don't think we need any more
5 pieces from anybody. I think we've got it on
6 the table, and we just have to --

7 **MR. FITZGERALD:** Yeah, it has to be
8 developed into a report, and made into
9 analysis and conclusion. And we do have
10 various pieces that have to be woven together,
11 but I think we've got the basics.

12 **MR. GRIFFON:** Nothing else on coworker
13 models I take it?

14 **MR. FITZGERALD:** No, I want to reaffirm that
15 we have spent a great deal of time on
16 different facets of this. We can only go back
17 to the OTIB-38 debates on 95th percentile. We
18 have spent a lot of time. I think there's a
19 question of data completeness, but other than
20 I think we've done a lot of review on this.

21 **MR. GRIFFON:** Well, the only last item I
22 have on here is proof of process and maybe
23 picking some cases that we're interested in.

24 **WOUNDS ISSUE**

25 But the wound scenario question, and I --

1 Jim, are you still on with us?

2 **DR. NETON (by Telephone):** Yeah, I'm here.

3 **MR. GRIFFON:** Okay, I mean I guess the very
4 specific question that -- I think I raised
5 this. Actually, I'm sure I raised it -- there
6 were some early write ups, early reports. I
7 think it was in part what put me onto this was
8 reading some of the early progress reports,
9 and noticing that oftentimes the incidents
10 were attributed to wounds, wound scenarios,
11 and then there was a paper, and I must admit I
12 forget who the health physicist was at the
13 time, but he had a write up saying that now
14 that they have wound monitoring, they thought
15 they had a good handle on this. But he -- and
16 this was kind of an historical piece so the
17 first decade, I think, at Rocky, he did have a
18 concern that in the earlier years that this
19 would probably, could have been the most
20 significant internal doses. And they may have
21 been missed since they didn't have this one
22 monitoring technique. And so I was just, it
23 just raised a question in my mind as to
24 whether our model would effectively bound sort
25 of any scenario we could come up with related

1 to wounds where you could have somebody intake
2 via a wound but didn't know they were wounded
3 and on their routine urinalysis you wouldn't
4 necessarily have indication that they were
5 wounded so you treated inhalation.

6 **DR. NETON (by Telephone):** I'm in agreement.
7 I think that we can, the wound dose, the dose
8 that's delivered from a wound is directly
9 related to the amount that becomes systemic,
10 and we've talked about this before. In a
11 sense, you got a release from the wound into
12 the system and that would show up in the urine
13 sample. So it seems like we would model this
14 as a lung count and a lung intake.

15 And then if the projected bioassay
16 results were overlaid on top of the urinalysis
17 results, you essentially have the same thing.
18 You've got the systemic dose then calculated,
19 and it doesn't really matter whether the
20 material's ingested from the lung or from the
21 wound as long as you, the injection profile
22 shows the same amount of systemic urine for
23 the urine which the systemic burden, you
24 should get the same answer.

25 But we can do this. We can go back.

1 We have a wound model in the OTIB on that, I
2 believe.

3 **DR. ULSH:** It's in 22.

4 **DR. NETON (by Telephone):** So we could go
5 back, and we haven't done this, Mark, which is
6 do a broad comparison of what the TIB-49
7 calculations would do versus the model might
8 show based on possible urine profiles. So
9 it's systemic, and that's going to deliver a
10 certain dose to the organ. As long as your
11 projected urine excretion curve is the same,
12 you should get the same number.

13 **DR. LIPSZTEIN (by Telephone):** Jim, I think
14 that you're right. The only worry I have is
15 for unmonitored workers because OTIB-38 is
16 applied to unmonitored workers and inhalation.

17 **DR. NETON (by Telephone):** Right, but then
18 unmonitored workers, oh, I see, the urine
19 sample as the result of unmonitored workers is
20 not necessarily the appropriate one.

21 **MR. GRIFFON:** That was sort of the question
22 that you were going to follow up on.

23 **DR. LIPSZTEIN (by Telephone):** Yeah.

24 **DR. NETON (by Telephone):** We need to go
25 back and re-think this then, because I was

1 thinking from the other perspective where we
2 have the monitored data.

3 **MR. GRIFFON:** Now we don't have a lot of
4 these I think is what Brant's going to say.

5 **DR. ULSH:** You're reading my mind, Mark.

6 **DR. LIPSZTEIN (by Telephone):** Actually,
7 what you say on the OTIB on monitoring is you
8 have a general (unintelligible) and if it
9 doesn't fit your data you go on and fit it
10 yourself for the TIB. Nothing to discuss on
11 that. I think the issue is unmonitored
12 worker.

13 **DR. ULSH:** Yeah, just to elaborate on, Mark,
14 I mean just my comment, keep in mind what
15 we've --

16 **DR. NETON (by Telephone):** Yeah, we need to
17 think about this a little more. We'll get
18 back and re-huddle and see what our position
19 is on that.

20 **DR. ULSH:** All right, but keep in mind
21 though that in the data completeness review,
22 the 52 cases, we found no gaps.

23 **DR. MAKHIJANI:** Oh, correction.

24 **DR. ULSH:** In internal.

25 **DR. MAKHIJANI:** There were no gaps in the 20

1 highly exposed cases if I remember correctly.
2 I will check.

3 **DR. ULSH:** All right, Arjun, perhaps I
4 should specify what I'm saying. I should be
5 more careful with my words. NIOSH found no
6 suspect gaps. Now I'm not saying that SC&A
7 necessarily agrees with that, but that's what
8 we found.

9 **DR. MAKHIJANI:** Okay.

10 **DR. ULSH:** And also keep in mind the number
11 of dose reconstructions that we have done and
12 that have required internal coworker data. I
13 think there were a total of around 110 or
14 something, but of those most of those were
15 external. There were only ten or so internal.
16 And I don't even know how many of those were
17 before, you know, in the '50s before the wound
18 counter, the lung counter. So just keep that,
19 it's important to keep the scope of the issue
20 in mind.

21 **DR. MAKHIJANI:** We agree there were gaps in
22 the, in our count, that is, one year or more
23 with no monitoring data in the random sample
24 including 73 percent in the 1964 to 1992 with
25 at least, 73 percent of workers with one year

1 or more of gap. Now when we looked at the 20
2 cases of high cumulative doses, we concluded
3 that because those records were complete in
4 this definition that you should have no
5 problem in terms of coworker model in
6 principle looking to job types and, you know,
7 some caveats, but there wasn't like an SEC
8 issue there. But on the random sample there
9 were many cases of workers who didn't have any
10 monitoring record for one year or more.

11 **MR. GRIFFON:** I do understand the scope, but
12 I just think --

13 **DR. ULSH:** I'm not trying to say we should -
14 -

15 **DR. MAKHIJANI:** And we did not consider this
16 wound question. It was just from the
17 completeness question.

18 **MR. GRIFFON:** I think we're, it's a NIOSH
19 action that's agreeable and it leaves it at
20 that.

21 **MS. MUNN:** SEC or non-SEC?

22 **MR. GRIFFON:** Well, assuming they can
23 demonstrate that it's bounding, I think it's
24 non-SEC.

25 **DR. NETON (by Telephone):** Yeah, I think

1 that you're right, Mark.

2 **MR. GRIFFON:** Also, it's very apparent that
3 most people have monitoring data so there's
4 not been many unmonitored for internal. So my
5 sense is it's going to end up non-SEC, but I
6 would like to have an answer.

7 **DR. ULSH:** Mark, for clarity could you just
8 restate the action item as you see it because
9 I just want to make sure that we understand --

10 **MR. GRIFFON:** I think we want to see a
11 comparison of the, how, whether the coworker
12 model is bounding for wounds for unmonitored,
13 you know, a person who was exposed by a wound
14 but wasn't on a monitoring program.

15 **DR. NETON (by Telephone):** And the
16 difficulty of non-monitoring's going to be,
17 essentially, you almost need a coworker wound
18 model which I don't think we have. But then
19 you're going to have to assume at some point
20 that the people with wounds are also included
21 in the urinalysis database and therefore,
22 would be covered by, say if we picked the 95th
23 percentile -- I don't know. I have to think
24 about this, but there are ways around this
25 issue.

1 **MR. GRIFFON:** Give us some response. My
2 sense is it's not an SEC, my sense is it's not
3 going to be an SEC issue.

4 **DR. NETON (by Telephone):** We'll put it
5 together thoughtfully.

6 **MR. SHARFI:** Are you looking for a
7 comparison using coworker as a wound versus
8 coworker as an inhalation?

9 **DR. NETON (by Telephone):** Oh, no.

10 **MR. GRIFFON:** No.

11 **DR. NETON (by Telephone):** I think what
12 we're looking for here is to show that we can
13 handle our current approach with sufficiently,
14 with people who could have had wounds that
15 were unmonitored, and that the coworker data
16 that we're using, the urinalysis data, would
17 be sufficiently bounding.

18 And we have data, data, and we can
19 handle it. We have a TIB on that. We've got
20 urinalysis data, and if we apply the
21 urinalysis data using the lung model, I'm
22 pretty confident we're okay. But you've got a
23 person that was never monitored, and you apply
24 the coworker lung model, does that bound his
25 potential wound if he's got one?

1 **MR. GRIFFON:** I think that's the reason I'm
2 getting a funny look from Mutty probably is if
3 you're unmonitored, how do you know what the,
4 how big the wound was or --

5 **DR. NETON (by Telephone):** I think we need
6 to go back and look at the urinalysis coworker
7 model which was not necessarily a urinalysis
8 of everything, wounds, ingestion --

9 **MR. GRIFFON:** I even looked at some
10 scenarios of, and I must admit it wasn't
11 wound. I just assumed injection just because
12 it was easier. And I did some scenarios with
13 less than MDA values, and I thought -- these
14 are real rough calculations, but I thought I
15 had some circumstances where the doses
16 wouldn't have been bounding with the
17 inhalation approach.

18 **DR. NETON (by Telephone):** That's hard to
19 believe though.

20 **MR. GRIFFON:** I know. It doesn't make sense
21 of what we discussed, but --

22 **DR. NETON (by Telephone):** If it's not
23 coming out in the urine, we're going to come
24 up with a dose less than MDA values.

25 **MS. MUNN:** Is this a scenario we have

1 encountered in any claimant?

2 **DR. NETON (by Telephone):** I guess this
3 followed the scenario, you know, of proving a
4 negative almost, but --

5 **MR. SHARFI:** That's where I'm getting
6 confused.

7 **MS. MUNN:** Do we have claimants --

8 **DR. NETON (by Telephone):** I would agree
9 that there are potentially people out there
10 that could have had a wound that went unkept.

11 **MR. GRIFFON:** Well, it's not only this
12 hypothetical thing. It's in the paper, this
13 guy presents in, you know, I wouldn't just say
14 --

15 **DR. NETON (by Telephone):** Okay, well that
16 might be a paper that might be helpful.

17 **MR. GRIFFON:** When we first talked about
18 wounds, I mean, I thought no big deal because
19 in most cases I could think of if somebody got
20 a wound health physics would know that it was
21 a wound and model it that way and it would be
22 in the rad file. But then this paper
23 describes actually in the early years it
24 probably would not have been necessarily
25 documented or monitored that way. So that's

1 the context I brought it up in.

2 **MR. FITZGERALD:** I think he hasn't seen it,
3 the paper.

4 **MR. GRIFFON:** And I'll have to, I can
5 certainly forward the, it's on your O drive.
6 I'll point it to you.

7 **DR. MAKHIJANI:** It's a paper that describes
8 the particular process inside the plutonium
9 processing area that have a sharp band that
10 workers cut their fingers on.

11 **MR. GRIFFON:** And it even goes on to say, it
12 suggests certain design changes in the glove
13 box.

14 **DR. NETON (by Telephone):** It makes you kind
15 of wonder how these people would have been
16 totally unmonitored, but I suppose --

17 **MR. GRIFFON:** Right, these people may have
18 been monitored if they were in that area. So
19 therefore, we're going to be able to assign
20 less than MDA.

21 **DR. NETON (by Telephone):** Okay, we'll take
22 a look at it and get something on paper.

23 **MR. GRIFFON:** Okay, that's all we're asking.
24 And I don't think it's going to be an SEC
25 issue.

1 **PROOF OF PROCESS**

2 I guess the last item, the last item
3 is this question of proof of process and some
4 example or sample cases. And I've tried to, I
5 mean, well, just to go in my parenthetical
6 there, the examples of coworker models I think
7 would be useful. And if the ones that are
8 available, I think it would be good to look at
9 real cases.

10 And if you need to add an explanation
11 that, you know, as we discussed in the work
12 group we could just, for the time period or
13 whatever, if the current model doesn't display
14 the, we certainly could, you know, that would
15 be certainly appropriate I think. I don't
16 know. The ones we heard this morning I
17 thought we talked about an example foundry
18 worker, but we also said that that may not be
19 possible to find, right?

20 **DR. ULSH:** Yeah I do have some --

21 **MR. GRIFFON:** I know it's not very good --

22 **DR. ULSH:** I do have some thoughts on this,
23 Mark. I do like your suggestion that we
24 actually look at real cases, just point out
25 the numbers to you and let you guys take a

1 look at them. I think that's a really good
2 idea. And I think that will certainly be
3 possible for internal coworker model, external
4 coworker model, and we are starting to get
5 some in now with Super-S. So I think we can
6 do that. We can provide some examples of you,
7 examples for you in those categories.

8 Now in terms of uranium foundry --
9 I'll get to thorium, but in terms of uranium
10 foundry workers in the '50s, what we have
11 shown, at least this morning, and I know that
12 this is all new information to you, is that
13 those folks were indeed monitored. So dose
14 reconstructions for uranium foundry workers in
15 the '50s aren't going to look any different
16 than other monitored workers in the '50s.
17 It's going to look the same because they were
18 monitored. So I don't know if there's still a
19 need to do that or not. I guess I'd like to
20 get your pulse on that.

21 **MR. GRIFFON:** Right, I'm not sure either.
22 That was new information this morning.

23 **MS. MUNN:** It seems to me you've got
24 monitored, when you have monitoring data, you
25 use the data you have.

1 **DR. ULSH:** I think our action item on that
2 was to point out to SC&A or to SC&A and the
3 working group with the monitoring data for the
4 foundry workers.

5 **MS. MUNN:** To show that they were in fact
6 monitored.

7 **DR. ULSH:** Show that they were monitored,
8 right.

9 **MR. GRIFFON:** I think we have that as
10 another action item.

11 **DR. ULSH:** And if we do that, and let's just
12 assume for the sake of discussion that we do
13 that and you're satisfied that, yes, they were
14 monitored, then my question is do we still
15 have a need for an example for uranium foundry
16 worker in particular?

17 **MR. GRIFFON:** I wouldn't think so.

18 What do you think, Arjun and Joe?

19 **MR. FITZGERALD:** Examples of foundry workers
20 at the factory would establish that there is
21 data, that they were monitored.

22 **DR. MAKHIJANI:** Well, if you're limited you
23 might not find as many examples always. It
24 would seal the question. If you have the data
25 and you can apply it in an example, but I

1 think if we can look at the data and look at
2 the practice in terms of weekly, biweekly,
3 monthly badging, the kinds of data that you
4 circulated for 1953. If we can see that is
5 more pervasive than the data were actually
6 there maybe it might be equivalent. I just
7 have to think about that a little.

8 **MR. FITZGERALD:** Yeah, I think -- I don't
9 want to conflate the question of completeness
10 versus proof of process either because if we
11 demonstrate completeness, I'm not sure that's
12 different than what we're trying, I think, to
13 do here. So I don't know. If you can provide
14 the data, I'm not sure that doesn't answer the
15 question we have.

16 **DR. MAKHIJANI:** It would answer the question
17 we had on the --

18 **MR. FITZGERALD:** Foundry workers.

19 **DR. MAKHIJANI:** -- the completeness thing.
20 You know, we identified gaps among the non-
21 plutonium workers, and identified foundry
22 workers as ones having potential for higher
23 exposure, and if they have data, then that
24 completeness, if they were systematically
25 monitored, and they have been identified

1 internally as having potential high exposures
2 then that piece of it would go away.

3 **MR. FITZGERALD:** Yeah, I think we're talking
4 about really the models themselves. Whether
5 it's 49, 38, 58, we're looking at how they
6 would be applied in practice, and I think
7 that's the -- am I right? That's kind of --

8 **MR. GRIFFON:** Well, those three we agreed --

9 **MR. FITZGERALD:** Beyond those three, I
10 guess, is the question we've got.

11 **MR. GRIFFON:** Well, I mean, it may not be
12 the uranium foundry workers. It may be these
13 other uranium workers --

14 **DR. MAKHIJANI:** It may be.

15 **MR. GRIFFON:** -- in 881. We know they're
16 not monitored.

17 **DR. MAKHIJANI:** Well, the 1950s.

18 **MR. GRIFFON:** Is that right?

19 **DR. ULSH:** That's going to look like the
20 external coworker model.

21 **MR. FITZGERALD:** Yeah, that's what I'm kind
22 of getting at, that when you get to that
23 issue, it's really going to be the same
24 modeling.

25 **MS. MUNN:** Right, and when you have the data

1 for the '50s folks, you know, you're going to
2 use the data. You know how you're going to
3 get that.

4 **MR. GRIFFON:** I was thinking there was a
5 separate uranium and plutonium external, but
6 it's all rolled into one external for the
7 coworker model.

8 **DR. MAKHIJANI:** I think the more outstanding
9 question is the one we raised actually about
10 Building 881, understanding that it's not the
11 same kind of issues as the foundry. It's the
12 back extrapolation from 1960 and '61. We've
13 said that the coworker model looks okay when
14 you look at the 1960 and '61 application and
15 covers the situation adequately, but the back
16 extrapolation didn't seem as convincing. And
17 so how that back extrapolation is going to be
18 done is still a question.

19 **MR. GRIFFON:** Now what you're telling me if
20 you give us a real case it's going to be the
21 regular model. We're not going to see
22 anything --

23 **DR. MAKHIJANI:** We're not going to see that
24 because the back extrapolation, the questions
25 that we raised in relation to that are (a)

1 that typical production was lower, doses would
2 be lower, and (b) above the infrastructure in
3 its relationship to dose. I don't know how
4 we're going to get there. I haven't thought
5 about it enough. We haven't discussed it.

6 **MR. FITZGERALD:** Not that aspect, but I
7 think that's --

8 **DR. ULSH:** Yeah, really, I mean, to be fair
9 we just gave you our report last week, and you
10 might need a little more time to digest that.
11 And if you have more comments then --

12 **DR. MAKHIJANI:** You know, when I present
13 things, of course, they've been vetted
14 internally, and just as the principle author,
15 I'm just saying things that we've vetted. So
16 this thing we have not vetted internally.

17 **MR. GRIFFON:** What about the question of the
18 thorium example?

19 **DR. ULSH:** Yeah, I wanted to get to that.
20 All along it has been our position that there
21 were not significant, there wasn't the
22 potential for significant thorium exposures.
23 Now, I know that I don't want to assume that
24 we have concurrence with SC&A on that
25 particular piece. And I don't want to upset

1 the apple cart here on the agreement that we
2 hatched out with thorium.

3 But what we would do for a situation
4 where there was someone with thorium is, I
5 mean, if they had bioassay, we would use it.
6 And if they don't, I mean, we would have to
7 have some kind of an indication that they had
8 a potential for intake, say, for instance,
9 maybe they were involved in the thorium
10 strike. And we've laid out our approach on
11 that. I mean, it relies on NUREG-1400, which
12 I know that SC&A has some reservations about
13 still.

14 In terms of in this context though,
15 Mark, where you're asking for proof of process
16 for thorium workers, I mean for people who
17 might have had thorium, I'm not going to be
18 able to present you with a real case on that
19 because the numbers were so low, you know,
20 number of workers were so low, I mean, I
21 haven't seen a case with --

22 **MR. GRIFFON:** To date you haven't had a
23 claim that you'd use that modeling?

24 **DR. ULSH:** That's correct. We were using
25 NUREG-1400 to show a bounding approach and

1 show that even under these boundings, what we
2 consider bounding scenarios, there's not a
3 single potential so we don't really have to
4 deal with it.

5 **MR. GRIFFON:** You haven't found anybody that
6 worked in operations or in those areas? I'm
7 not sure how you're defining who. I guess
8 that was part of the proof of process. How do
9 you define if someone was a thorium,
10 potentially exposed with thorium.

11 **DR. ULSH:** I won't tell you that we know
12 every single name of every single person who
13 was involved in it, but we do know quite a
14 number of them. The thorium ingot operation
15 in 1960, there was a list in Kittinger's
16 logbook that covered that time period that
17 said these people were involved in the
18 operation. I don't recall that any of those
19 were claimants, but don't hold me to that.

20 In terms of the thorium strikes, we
21 know a couple of people who were involved, and
22 we know that the numbers were small. But I
23 can't think of an example of a completed dose
24 reconstruction that we have done on a person
25 who was involved in, off the top of my head,

1 so I don't know if I can present you with a
2 real --

3 **MR. GRIFFON:** I think thorium, you know, if
4 you presented today it would be a hypothetical
5 example with NUREG-1400.

6 **DR. ULSH:** That's what we had today.

7 **MR. GRIFFON:** And I think you already posted
8 one of those. I don't know.

9 It doesn't help anyway.

10 **MR. FITZGERALD:** I really think the three we
11 just talked about, the ones that we're looking
12 to validate so to speak on this.

13 **MR. GRIFFON:** That might be the only one. I
14 was just exploring.

15 **DR. ULSH:** Internal coworker, external
16 coworker, Super-S. Okay, we can do that. We
17 can do that. We can give you lists of
18 claimants that fall into those categories.

19 **MR. SHARFI:** Any or partials? I mean
20 partial assessment.

21 **DR. ULSH:** We'll work out the details.

22 **MR. SHARFI:** Partial.

23 **DR. ULSH:** Yes.

24 **MR. GRIFFON:** The Super-S or partials.

25 **MR. SHARFI:** Probably the ones that we've

1 done. The ones we'll probably shoot for will
2 be the easier one.

3 **DR. ULSH:** Yeah, that's true. I mean, the
4 Super-S is --

5 **MR. ELLIOTT:** Partial meaning they're an
6 underestimate?

7 **DR. ULSH:** Yes.

8 **MR. SHARFI:** Most likely. First one we
9 should (unintelligible) the lung cancers, and
10 work our way to the harder ones.

11 **DR. ULSH:** We'll give you what we've got.
12 Keep in mind though that Super-S is, we're
13 just working those in the claimed process so
14 we'll give you what we have.

15 **MR. GRIFFON:** And I think that's probably
16 the third in importance really.

17 **MR. FITZGERALD:** Right, and we're sampling
18 from this rather small, I think somebody said
19 ten internal coworkers or is there something
20 different?

21 **DR. ULSH:** Something like that.

22 **MR. GRIFFON:** Now the only other, I guess
23 the other sort of example I was thinking of is
24 one of these, I mean, you mentioned that you
25 use other, well, I'm not sure we need that,

1 but I'm just thinking out loud here. The
2 other types of dose reconstructions that are
3 out there are these ones that you used, other
4 techniques to fill in, for lack of a better
5 word, gaps. You know, you used your other
6 approaches, your LOD over two or LODs or
7 whatever. Rather than a coworker model you
8 used other techniques to fill in the gaps, but
9 I'm not sure that's going to shed much light
10 on what we reviewed here.

11 **MR. FITZGERALD:** I think that would be
12 rather conventional, I mean in terms of
13 missing data, bridging missing data in terms
14 of using LOD over two. I think that's pretty
15 much the same process we're seeing elsewhere,
16 right?

17 **MR. GRIFFON:** Yeah, yeah.

18 **DR. ULSH:** So do you want to stick with
19 these three?

20 **MR. GRIFFON:** Yeah.

21 **DR. MAKHIJANI:** Yeah, I think so.

22 **MR. ELLIOTT:** At the risk of upsetting this
23 tentative agreement, I thought I heard earlier
24 that you wanted us to try to show where we
25 attended to unmonitored situations by using

1 missed dose, that the missed dose actually,
2 did it cover, did it address, did it envelope,
3 did it include the unmonitored?

4 **MR. GRIFFON:** Yes.

5 **DR. ULSH:** Are you talking about in the
6 wound model discussion?

7 **MR. ELLIOTT:** No.

8 **DR. MAKHIJANI:** These are the gaps.

9 **MR. ELLIOTT:** Where we took the unmonitored
10 to zero and narrowed down through the
11 unmonitored. The badge went unrecorded, you
12 know. Didn't we agree that we would provide
13 you an example showing you that either the
14 missed dose approach, LOD over two or LODU
15 whatever did include, did bound, did cover,
16 envelope, to use a Joe term here, envelope the
17 effect.

18 **DR. MAKHIJANI:** You're right. I think we
19 authorized that.

20 **DR. ULSH:** Okay, some of those that we
21 provide in internal coworker and external
22 coworker --

23 **MR. ELLIOTT:** And have us to come back later
24 and say we didn't do something.

25 **MS. MUNN:** So they'll be covered by --

1 **MR. GRIFFON:** Make sure, yeah.

2 **DR. MAKHIJANI:** We actually agreed on that.

3 **MR. ELLIOTT:** This is for the '69 timeframe
4 with the unmonitored zeros, that are truly not
5 zeros. We all agree that they're probably not
6 zeros. But that our missed dose approach
7 either addresses that properly, or if it
8 doesn't, what are we going to do about it, I
9 guess.

10 **DR. MAKHIJANI:** Yeah, and is there a, this
11 is not a sort of principle thing. It's in
12 relation to an action on the question of the
13 zeros when there was no monitoring, what
14 action would be taken because I think that
15 might be an important issue in its own right.
16 I don't know whether you think it's an
17 important issue in its own right that that
18 should be settled in this context of whether
19 it can be bumped to some other context.

20 **DR. ULSH:** Well, I think what Mark suggested
21 was we'll present you with an example of, say,
22 external coworker model in OTIB-58 as it is
23 now. And then we'll make a note if we were to
24 exclude zeros, here's the values that would be
25 applied in those years.

1 Right? Is that what you said, Mark?

2 **DR. MAKHIJANI:** Yeah, I'm not talking about
3 that in the context of proof of principle
4 which I think is fine. I'm talking about that
5 as an issue in its own right independent of
6 dose reconstructability is that when you come
7 to making a decision there's a question of
8 having a database and would it be legitimate
9 to use that database. If you could
10 demonstrate we're technically okay, for
11 instance, if we legitimately use that database
12 knowing that it had this kind of information.
13 I think that's an important thing because it's
14 the first time you're going to confront that
15 issue. There is a kind of a resolution that's
16 possible about it just on its own merits. And
17 I think --

18 **MR. GRIFFON:** That probably is something to
19 consider because we're acknowledging that at
20 least some of those zeros and certainly see
21 from the --

22 **MR. ELLIOTT:** They're not true zeros. As we
23 said before, they're not true zeros.

24 **MS. MUNN:** So the real question is --

25 **MR. GRIFFON:** Someone could say you're using

1 a database that you know, you've acknowledged
2 on the record, is, you know --

3 **MR. ELLIOTT:** Essentially, we're creating a
4 database, a distribution of dose including a
5 zero which is not a zero.

6 **MR. GRIFFON:** Right.

7 **MR. ELLIOTT:** We shouldn't do that.

8 **DR. MAKHIJANI:** And I think we've said that
9 in the record here. I think there's been real
10 progress, that we have agreement about that.
11 We're clear here about all the terms and what
12 we mean by them. I, you know, having been on
13 the outside on this very same question in a
14 different context where I came across this
15 non-monitoring data for an air release data
16 radionuclides through stacks. I'm on the
17 record as having taken a very dim view of
18 using this kind of information. And so I just
19 think that this is an issue in its right, and
20 there is a solution to it, and --

21 **MR. ELLIOTT:** But I don't know where you're
22 going with this. I think we agree with you
23 that it's, we need to do something right here.

24 **DR. MAKHIJANI:** No, no, I agree. I think we
25 have an agreement. All I'm saying is that

1 agreement going to be a formal part of this
2 process of completing and closing out the SEC
3 process or is it going to be a proof of
4 principle that we could do it one way or we
5 could do it another way and it doesn't really
6 matter.

7 **MR. GRIFFON:** In other words, he's saying
8 are you going to leave the zeros in but
9 demonstrate that it wouldn't matter or are you
10 going to actually just say, you know, we've
11 identified this or do you think it's best to
12 just remove them all.

13 **DR. WADE:** Systemically solve the problem.

14 **MR. ELLIOTT:** I think we need to
15 systemically solve the problem.

16 **MS. MUNN:** What's the global policy?

17 **DR. ULSH:** We won't use that data.

18 **MR. ELLIOTT:** We should never use bad data.
19 I think we're in agreement on that, but I
20 still think you've asked us to show, we made a
21 statement earlier today that we were operating
22 under a belief that the missed dose concept
23 bounded the unmonitored piece. Now to come
24 back to you and say we still believe that, we
25 need to show that in proof of principle here,

1 proof of process. If we come back to you, and
2 we say we don't think that that's right, and
3 I'm not saying we don't, I think we agree we
4 don't, do we still have to do that? Do we
5 still have to show you an example or should we
6 just go forward and change it. I think we
7 should just go forward and change it.

8 **DR. WADE:** Solve the problem.

9 **MR. ELLIOTT:** Yes.

10 **MR. GRIFFON:** I agree.

11 **MR. ELLIOTT:** Stop this wrangling back and
12 forth. Let's just accept it and move, make
13 the change.

14 **DR. MAKHIJANI:** Agreed.

15 **MR. ELLIOTT:** Thank you.

16 **MS. MUNN:** My only concern with that is that
17 if by doing so it appears that we over-inflate
18 the calculated dose, then again, we're
19 misleading everybody if we do that. So I
20 guess seeing what the difference would be --

21 **MR. ELLIOTT:** Would be informative for you.

22 **MS. MUNN:** Would be informative.

23 **DR. ULSH:** I had it earlier. I can tell you
24 qualitatively, Wanda, in here somewhere in
25 this box file.

1 **MR. ELLIOTT:** I think we can do that, too.
2 I think we can be informative and --

3 **MR. GRIFFON:** I don't think it's going to
4 make a huge difference.

5 **DR. ULSH:** Not at the 95th percentile.

6 **MR. ELLIOTT:** Let me ask this question. Do
7 you have any knowledge of any other site
8 situations where we encountered unmonitored or
9 people who were badged but the badges were
10 never read and we've included that data, those
11 zeros? Do you have any idea that we had that
12 anywhere else?

13 **MR. GRIFFON:** Outside of Rocky Flats?

14 **MR. ELLIOTT:** Outside of Rocky Flats.

15 **DR. MAKHIJANI:** No, I know this issue has
16 come up in Fernald in relation to the stack
17 monitoring data, and it is an SEC petition.

18 **MR. ELLIOTT:** I think the message here is we
19 better take a good hard look and make sure
20 that we're not using bad data to create
21 distribution.

22 **RECAP OF ACTION ITEMS**

23 **MR. GRIFFON:** Can I just go back over a few
24 actions just to make sure. Going back to this
25 morning I have NIOSH will post lab worksheets

1 -- am I getting that right? And do you have a
2 timeframe on that?

3 **DR. LITTLE:** 'Sixty-eight, '69 for the
4 foundry workers.

5 **MR. ELLIOTT:** How soon will you post I think
6 is where he's going.

7 **MR. GRIFFON:** I was asking for what he
8 answered, but I'm assuming as soon as
9 possible.

10 **MR. ELLIOTT:** As soon as possible.

11 **MR. GRIFFON:** Can I ask just to answer to
12 that question '68, '69 for foundry workers.
13 Are there any of these other lab worksheets
14 that could be -- they stop in '70, right? I'm
15 going over old ground here I think, but --

16 **DR. LITTLE:** Yeah, well --

17 **DR. ULSH:** The zeros with the arrow down the
18 page.

19 **DR. LITTLE:** Oh, yes, the zero, that stops.
20 Well, we haven't actually --

21 **DR. ULSH:** Those are film worksheets.
22 They're going to stop in '70.

23 **DR. LITTLE:** Absolutely, they'll stop in
24 '70. The question was do they continue into
25 '70, and I can't answer that question.

1 **MR. GRIFFON:** And is there anything prior to
2 just for this, if you're doing foundry
3 workers, do we want some in the early years
4 also?

5 **DR. ULSH:** Well, we've got the '50s that
6 we're going to provide, the monitoring data
7 like the example.

8 **MR. GRIFFON:** Yeah, right.

9 **DR. LITTLE:** Well, what do you want to see?
10 That's the question. Do you want to see
11 actual data?

12 **MR. GRIFFON:** We have these lab worksheets
13 for foundry workers for different times for
14 those.

15 **DR. LITTLE:** Well, you know, as you saw in
16 that table for three-quarters of 1969 you're
17 going to have a zero with a line down it. For
18 '70, I think for fourth quarter '69 you're
19 going to see actual numbers.

20 **MS. MUNN:** Now wait, you've lost me again.
21 I thought we were talking about '50s and all
22 of a sudden we're back in '69.

23 **DR. LITTLE:** I confuse myself.

24 **DR. ULSH:** Let's make it clear we're talking
25 about foundry workers. What we're going to

1 provide as I understand it is these lab
2 worksheets that show the zeros with the arrows
3 down it in '69 and '70 for the foundry
4 workers. In addition, we're going to provide
5 what Arjun's holding up right now, which is
6 like the example that I passed around this
7 morning, the Building 44 that includes the
8 foundry workers, their dosimetry results just
9 like the example.

10 **MR. GRIFFON:** I understand. If you can do a
11 couple of those --

12 **MR. ELLIOTT:** Different years.

13 **MR. GRIFFON:** Between '50, what is that,
14 '54?

15 **MS. MUNN:** That's '53.

16 **MR. GRIFFON:** 'Fifty-three, between '53 and
17 '69.

18 **DR. ULSH:** We'll provide you with more of
19 this.

20 **MR. GRIFFON:** Not the whole set, a few
21 examples.

22 **MS. MUNN:** Very few.

23 **MS. JESSEN:** That's two action items so far.

24 **DR. ULSH:** Two action items so far?

25 **MS. JESSEN:** I've written down on this

1 clarification.

2 **MR. GRIFFON:** Well, I thought it was all
3 part of one, but it might be two.

4 **MS. JESSEN:** Well, break it down into dates.

5 **MR. GRIFFON:** The second one is SC&A will
6 contact the petitioner regarding thorium
7 question just to see if he has anymore
8 information on source term.

9 The third one, and I may have missed
10 something so we'll go to you all at the end.
11 Third is NIOSH to provide identifiers for
12 neutron data needed by Ron.

13 And then the fourth one I have is
14 there's this question in TIB-58, table 07-1 of
15 the non-penetrating versus penetrating.
16 That's an ongoing correspondence between --

17 **DR. ULSH:** Yeah, I just sent something to
18 Ron this week, so it's probably --

19 **MS. MUNN:** And not an SEC issue.

20 **DR. ULSH:** No, well, no.

21 **MR. GRIFFON:** That's non-penetrating, I
22 don't think that one is.

23 And then the only other one I have I
24 think is the three examples, and I may have
25 missed some.

1 **DR. ULSH:** Examples of the three different
2 types, proof of principle, but we missed wound
3 modeling.

4 **DR. WADE:** Jim was going to think --

5 **MR. ELLIOTT:** And Joyce was going to provide
6 something in explanation of the --

7 **MS. MUNN:** She was going to provide graphs
8 and things.

9 **MR. ELLIOTT:** Right, further elucidation of
10 what the issue is.

11 **MR. FITZGERALD:** Well, actually we have her
12 write up, and what I'm going to try to do is
13 get that to you.

14 **MR. GRIFFON:** Joyce's write up modified
15 maybe.

16 **MR. FITZGERALD:** Right, right.

17 **MR. GRIFFON:** Is there anything else?

18 **MS. MUNN:** I had SC&A's response to NIOSH on
19 the logbooks and reconciling the differences.

20 **MR. GRIFFON:** Oh, yeah.

21 **DR. ULSH:** Your visit next week.

22 **MR. FITZGERALD:** Oh, the review next week.
23 That's right. That's a deliverable. There's
24 going to be a number of written deliverables
25 that we'll provide as we have the others, and

1 that will be part of the extension of logbook
2 review. There will be one on internal that
3 will include Joyce's, and you just got Ron's
4 which is in Privacy Act review. You might
5 also see D&D which, again, is not an SEC
6 issue, but just so you have that section.

7 **MR. GRIFFON:** Yeah, these are from old --

8 **MR. FITZGERALD:** These are just individual
9 sections that we'll make available as soon as
10 we can and go through this process and make
11 sure it's all PA cleared. And it will help us
12 put the report together so we don't have to do
13 that 500 pages at once.

14 **DR. MAKHIJANI:** It's not a proof of
15 principle. It lists an EU back extrapolation
16 method. Is there going to be some
17 clarification on the part of NIOSH or do we
18 just write it up or how do you want to proceed
19 on that?

20 **MR. GRIFFON:** I think they've given us a
21 report on that, right?

22 **DR. ULSH:** We've given our position.

23 **MR. GRIFFON:** My sense is that NIOSH has
24 provided, so I would say include your analysis
25 of that in your final write up under EU.

1 That's part of your final write up. Your old
2 reaction is your final write up.

3 **MR. FITZGERALD:** No, right, there's a number
4 of things we didn't even touch on today that
5 were included in NIOSH responses that and God
6 knows what else, but we'll certainly address
7 that in the report.

8 **MR. GRIFFON:** Any other actions from today?

9 **MR. BUCHANAN (by Telephone):** This is Ron
10 Buchanan. I just wanted to clarify with Brant
11 that hold off on those ID numbers. I'm going
12 to send you an e-mail to clarify exactly I
13 need so you don't go through a lot of work on
14 material I don't need. So I'll send you a
15 clarification e-mail on that.

16 **DR. ULSH:** Okay, thank you, Ron, I
17 appreciate it.

18 **MR. ELLIOTT:** We certainly made a lot of
19 progress today. Would you be kind enough,
20 Mark, to, if you could, summarize what issues
21 remain as SEC-related issues? I think we've
22 moved several into the site profile dose
23 reconstruction category, but I'm not clear
24 what remains as an SEC-related issue that
25 we're still tracking here.

1 **MR. GRIFFON:** I think this question of the
2 completeness and data reliability still is
3 hanging out there. We certainly got some much
4 more information today including the, a little
5 more knowledge on the monitoring practices of
6 the early time period. But I still think we
7 haven't completely closed that issue.

8 All indications are that the thorium
9 issue is closed as far as an SEC issue. We
10 are going to give the opportunity to
11 petitioners, since we did offer it before, but
12 if we don't see anymore in the way of source
13 term information, I think it's definitely
14 closed.

15 The data integrity, logbook, safety
16 concerns are all closed as far as SC&A agrees
17 that there are no systemic problems
18 identified. The only thing hanging in the one
19 report is the logbook HIS-20 comparison, I
20 think, and resolving the sort of differences
21 in numbers there. I don't think there's
22 really a difference, reconcile those.

23 **MR. ELLIOTT:** But do you see that as an SEC-
24 related issue or?

25 **MR. GRIFFON:** Well, only in the sense that

1 gets at the question of the data used in the
2 coworker models.

3 Super-S is resolved. Neutron dose
4 questions appear to be, I mean, I'm convinced
5 that they're site profile issues. I would
6 like to hear back, you know, if Ron got those
7 identifiers and can calculate N/P ratios for
8 this time period, then I think it's definitely
9 a site profile issue.

10 And then the coworker models, the
11 models themselves I think we agree on. I
12 think the only question is the data populating
13 the models so that's that final question.

14 So really it's data completeness and
15 then this data reliability which are woven
16 together a little bit. We've come a long way
17 on that even I think. And the one scenario
18 that I don't think that's an SEC thing, but I
19 think it's easy enough to put to bed. I think
20 that we should do it.

21 Do you agree with me, Joe and Arjun?

22 **MR. FITZGERALD:** Yeah, I think that covers
23 the ground.

24 **DR. MAKHIJANI:** Yes.

25 **DR. ULSH:** A couple of remaining questions,

1 you might be getting to this, Mark. I think
2 in general the big action item is, you know,
3 or the next thing that's going to happen is
4 SC&A's going to issue a final report. When
5 might that happen?

6 **MR. GRIFFON:** Right, when did we, we talked
7 about --

8 **MR. FITZGERALD:** We're talking about
9 certainly trying to do that by no later than
10 early April, meaning that we're assuming we
11 will need to finish up PA review. Certainly
12 incorporate the results of next week's
13 sampling at Rocky in Denver, and also to do
14 the reconciliation, the reflection that we
15 want to do on the specific comments you just
16 gave us this week. And I don't want to
17 underestimate the amount of work entailed in
18 that because we're talking many, many specific
19 comments. So we're already starting to do
20 that, and we've given you a lion's share of
21 the write ups. But those write ups will have
22 to be reworked, I think, to reflect a lot of
23 what we've done this past week. So we're
24 aiming for sometime between three-to-four
25 weeks from now to not only have it written,

1 but also hopefully have it Privacy Act
2 reviewed and available which, I think, will
3 meet the objective that we discussed before to
4 give the public and the petitioners at least a
5 month, four weeks, with the document when it's
6 available.

7 **MR. GRIFFON:** So the first week in April
8 we're saying.

9 **MR. FITZGERALD:** Yeah, I think that's going
10 to entail some iterative -- I'm glad you
11 offered to do that. We're going to have to
12 have the ability, I think, to do things in
13 real-time just because of the tightness of
14 time and trying to make sure if we need to run
15 something through, we'll try to do that
16 directly rather than try to send you a
17 document that goes back and forth. We don't
18 have time to do that.

19 **MS. HOMOKI-TITUS:** It would be really
20 helpful when you send documents to us if you
21 give us either a drop-dead deadline of when
22 you want them back or a priority list.

23 **MR. FITZGERALD:** Right, and I think what's
24 mitigating this is the fact that the most
25 significant parts of this document you've

1 seen. So really we're refining those
2 important parts of the document. Now you're
3 not going to see them for the first time.
4 You'll see the refinements. In other words,
5 what we've just discussed so the rest of it,
6 the D&Ds and the internals, Ron's piece, those
7 are pieces I think we're in agreement so I
8 don't think there's going to be as much
9 controversy in terms of putting those into
10 final form.

11 **DR. MAKHIJANI:** Yeah, and there's going to
12 be no new cases and things so that since
13 you've already reviewed, you've already
14 reviewed all the tables, I mean, unless
15 there's some stuff that comes up on foundry
16 and what we get from NIOSH, they're not going
17 to be, the data completeness, I don't know
18 what's going to happen in the foundry
19 discussion.

20 **DR. WADE:** Let's talk as a work group, let's
21 talk a little bit about how this will likely
22 play out. I think it's worth spending a
23 little bit of time.

24 So we're likely to see an SC&A report
25 at the beginning of April. It's entirely

1 possible that the next thing that will happen
2 will be the Board meeting in May where the
3 work group will report out. Now, following
4 our normal procedure, the work group isn't
5 going to give a recommendation to the Board.
6 The work group is going to report out its
7 findings, and there'll be an opportunity for
8 Mark and other members to speak. And then the
9 Board will take up and vote on the SEC
10 petition that's in front of it.

11 Now, again, you could follow a
12 different path which would be the Board, the
13 work group to make a recommendation to the
14 Board, but that's not how this body has done
15 its business. So again, in May, the first day
16 of the meeting will set it up. There'll be a
17 detailed work group report made, an
18 opportunity for questioning, interaction,
19 comment by petitioners, presentation by NIOSH,
20 and then the Board will take up a vote
21 sometime during those three days.

22 **MS. MUNN:** We have not had quite such an
23 extensive, long-term series of issues in other
24 work groups that we've had in this one. This
25 one has certainly been the granddaddy of all

1 work groups in terms of how many boulders get
2 climbed and how many sentences get parsed. I
3 would hope that the work group would have an
4 opportunity to meet once after SC&A's report
5 is out just to make sure that we really don't
6 have any unresolved issues when we go to the
7 Board.

8 **MR. GRIFFON:** Yeah, we may want to do even a
9 phone meeting.

10 **DR. WADE:** Phone call, it would be
11 appropriate.

12 **MR. PRESLEY (by Telephone):** Hey, this is
13 Bob Presley. I agree with that 100 percent.

14 **MR. GIBSON (by Telephone):** This is Mike.
15 This has been an exhausting process that just
16 in taking the role of the Savannah River site
17 and some other things, it looks like it just
18 may be typical of what's coming down the road.
19 So I just think we all need to get prepared
20 for that.

21 **DR. WADE:** Wise counsel.

22 **MS. MUNN:** The SC&A report is going to be
23 out by the first week of April, then, Mark,
24 you're going to have a subcommittee meeting on
25 the 11th.

1 **MR. GRIFFON:** Yeah, but we've got like three
2 meetings that week.

3 **MS. MUNN:** We do.

4 **DR. WADE:** It's too early, too. How about
5 the middle of the next week, the 18th?

6 **MR. GRIFFON:** The 17th or 18th have a phone
7 call?

8 **DR. ULSH:** Now is this a call involving SC&A
9 and NIOSH or just the working group?

10 **MS. MUNN:** I think it's the cast of
11 thousands just to make sure --

12 **MR. GRIFFON:** We probably need everyone
13 there.

14 **MS. MUNN:** Yeah, without everybody there if
15 there are any nits to be picked then we'll --

16 **MR. GRIFFON:** Let's set it up as a phone
17 call the 17th, 18th.

18 **UNIDENTIFIED:** The 17th is tax day so you may
19 not want to deal with that.

20 **MR. GRIFFON:** Why don't we say the 19th.

21 **DR. WADE:** Ten a.m.?

22 **MR. GRIFFON:** The 19th at ten a.m.

23 **DR. WADE:** A telephone call?

24 **MR. GRIFFON:** Let's plan it as a conference
25 call, but if, depending on a change, if we see

1 the report and we think we need a face-to-
2 face, we can maybe work around.

3 **MS. MUNN:** That's way too early. Can I
4 persuade you to do it at 11 a.m., please?

5 **MR. GRIFFON:** What's that?

6 **MS. MUNN:** Could I persuade you to do it at
7 11 a.m. your time?

8 **MR. GRIFFON:** Yeah, 11 a.m.

9 **DR. WADE:** Mike?

10 **MR. GRIFFON:** Yeah, Mike.

11 **MR. PRESLEY (by Telephone):** No, this is Bob
12 Presley. What day is the 19th on?

13 **DR. WADE:** Thursday.

14 **MR. GRIFFON:** Thursday.

15 **MR. PRESLEY (by Telephone):** Thursday? I
16 have a problem with that. Is it going to be a
17 phone call?

18 **DR. WADE:** Yes.

19 **MR. GRIFFON:** Yeah, most likely.

20 **MR. PRESLEY (by Telephone):** Okay, I can
21 make a phone call. That's no problem.

22 **DR. WADE:** Tentatively a phone call, 11 a.m.
23 eastern time on Rocky Flats. Mark, as
24 chairman, will reserve the right when SC&A's
25 report is out to poll the group about the

1 possibility of getting together face to face,
2 but right now it looks like a phone call.

3 **MR. GRIFFON:** Any other old business?

4 **MS. MUNN:** The only other request is may I
5 also have a copy of that CD, the 200 page
6 document we discussed this morning?

7 **DR. ULSH:** I'll get it to you, Wanda.

8 **MR. GRIFFON:** And can we get it on the O
9 drive?

10 **DR. ULSH:** Yes.

11 **MS. MUNN:** I need a CD.

12 **MR. GRIFFON:** Wanda, wants a CD.

13 **DR. ULSH:** Okay.

14 **MR. GRIFFON:** I think we'll close now on
15 that note. Thank you.

16 **DR. WADE:** Thank you all very much. We're
17 going to go away.

18 (Whereupon, the working group meeting
19 concluded at 5:00 p.m.)
20
21
22

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of March 7, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of April, 2007.

STEVEN RAY GREEN, CCR
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102