

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON

RADIATION AND WORKER HEALTH

ROCKY FLATS

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Hebron, Kentucky on April
19, 2007.

C O N T E N T S

April 19, 2007

| | |
|---|-----|
| WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO | 6 |
| WORKING GROUP UPDATE | 12 |
| SC&A REPORT | 17 |
| NEUTRON ISSUES | 33 |
| THORIUM | 152 |
| DATA COMPLETENESS | 202 |
| '69 - '70 | 252 |
| COWORKER MODELS | 258 |
| WOUND ISSUE | 268 |
| PROOF OF PRINCIPLE | 270 |
| COURT REPORTER'S CERTIFICATE | 280 |

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" / (inaudible) / (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S
(By Group, in Alphabetical Order)

BOARD MEMBERS

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

IDENTIFIED PARTICIPANTS

ALBERG, JEANETTE, SEN. ALLARD
BARKER, KAY, ANWAG
BARRIE, TERRIE, ANWAG
BOLLER, CAROLYN, CONG. UDALL
BRACKETT, LIZ, ORAU
BROEHM, JASON, CDC WASHINGTON
BUCHANAN, RON, SC&A
CHANG, CHIA-CHIA, NIOSH
ELLIOTT, LARRY, NIOSH
FALK, ROGER, ORAU
FITZGERALD, JOE, SC&A
HILLER, DAVID, SEN. SALAZAR
HOFF, JENNIFER, ORAU
HOLEN, BILL, CONG. PERLMUTTER
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
JESSEN, KARIN, ORAUT
LABONE, TOM, ORAU
LANGSTED, JIM, ORAU
LITTLE, CRAIG, ORAU
LOPEZ, TERESA, ORAU
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCFEE, MATT, ORAU
MINKS, ERIN, SEN. SALAZAR
NETON, JIM, NIOSH
PHELEN, CHRIS, CONG. LAMBORN
RAFKY, MICHAEL, HHS
SEWELL, JESSICA, CONG. DEGETTE
SHARFI, MUTTY, ORAU
SMITH, MATTHEW, ORAU
ULSH, BRANT, NIOSH

P R O C E E D I N G S

(9:30 a.m.)

1
2 (Court Reporter's Note: The following transcript
3 contains intermittent drops of speech due to
4 telephonic transmission problems. This is indicated
5 by the "^" symbol.)

WELCOME AND OPENING COMMENTS**DR. LEWIS WADE, DFO**

6 **DR. WADE:** This is Lew Wade and I have the
7 privilege of serving as the designated named
8 federal official for the Advisory Board. And
9 this is a meeting of a work group of the
10 Advisory Board. This work group is looking at
11 issues related to the Rocky Flats site profile
12 and the Rocky Flats SEC petition. The work
13 group is chaired by Mark Griffon, members
14 Gibson, Presley, Munn. Griffon, Presley and
15 Munn are here in the room.

16 Mike Gibson, are you with us on the
17 telephone?

18 **MR. GIBSON (by Telephone):** Yeah, Lew, I'm
19 here.

20 **DR. WADE:** Ray, you're ready to begin?

21 Let me begin by asking if there are

1 any other Board members who are not members of
2 this work group who are participating in this
3 call? Any other Board members participating
4 in this call?

5 (no response)

6 **DR. WADE:** That's important because we don't
7 have a quorum of the Board which we cannot
8 have and conduct this meeting.

9 What I'd like to do is go through
10 introductions, and I'll do that by just going
11 around the table here. Then I'll ask for
12 other members on the telephone of the NIOSH
13 and ORAU team. I'll ask for other SC&A
14 participants. I'll ask for other workers,
15 worker reps, members of Congress or their
16 staffs, other federal officials who are on the
17 call by virtue of their employment, and then
18 anyone else who would like to be identified.

19 For the members of the Board and the
20 NIOSH/ORAU team and SC&A I would ask you also
21 to identify whether or not you have conflicts
22 relative to the Rocky Flats site.

23 So I'll begin. This is Lew Wade. I
24 serve the Advisory Board. I also work for
25 NIOSH.

1 **MR. ELLIOTT:** Larry Elliott, NIOSH, I have
2 no conflicts.

3 **DR. NETON:** Jim Neton, NIOSH, no conflicts.

4 **MR. PRESLEY:** Robert Presley, Board member,
5 no conflict.

6 **MR. SHARFI:** Mutty Sharfi, ORAU team, no
7 conflicts.

8 **DR. LITTLE:** Craig Little, ORAU team, no
9 conflicts.

10 **DR. ULSH:** Brant Ulsh with NIOSH, no
11 conflicts.

12 **MS. JESSEN:** Karin Jessen with ORAU team, no
13 personal conflicts.

14 **MS. HOFF:** Jennifer Hoff with the ORAU team,
15 no personal conflicts.

16 **MR. BUCHANAN:** Ron Buchanan, SC&A, no
17 conflicts.

18 **MS. HOWELL:** Emily Howell, HHS.

19 **MR. GRIFFON:** And Mark Griffon with the
20 Board, no conflicts.

21 **MR. FITZGERALD:** Joe Fitzgerald with SC&A,
22 no conflicts.

23 **MS. MUNN:** Wanda Munn, Board member, no
24 conflicts.

25 **DR. WADE:** Those are the people in the room

1 at the moment. Let me go out now and ask for
2 other members of the NIOSH/ORAU team to
3 identify themselves and to identify if they
4 have any conflicts on this site.

5 **MR. RAFKY (by Telephone):** Michael Rafky,
6 HHS, no conflicts.

7 **MR. FALK (by Telephone):** This is Roger
8 Falk. I'm with ORAU, and, yes, I have
9 conflicts.

10 **MS. BRACKETT (by Telephone):** Liz Brackett
11 with the ORAU team, no conflicts.

12 **MR. SMITH (by Telephone):** Matthew Smith
13 with the ORAU team, no conflicts.

14 **MR. LANGSTED (by Telephone):** Jim Langsted
15 ^, I have conflicts.

16 **MR. McFEE (by Telephone):** Matt McFee with
17 the ORAU team. I have no conflicts.

18 **MS. LOPEZ (by Telephone):** Teresa Lopez with
19 the ORAU team. I have no conflicts.

20 **DR. WADE:** Other members of the NIOSH/ORAU
21 team?

22 **MR. LaBONE (by Telephone):** This is Tom
23 LaBone. I have no conflicts.

24 **DR. WADE:** Other members of the NIOSH/ORAU
25 team?

1 (no response)

2 **DR. WADE:** Members of the SC&A team?

3 **DR. MAURO (by Telephone):** John ^ with SC&A,
4 I have no ^.

5 **DR. WADE:** John, you cut out on us. Could
6 you please repeat?

7 **DR. MAURO:** John Mauro with SC&A, no
8 conflicts.

9 **DR. MAKHIJANI (by Telephone):** This is Arjun
10 Makhijani, no conflicts.

11 **DR. WADE:** Any other members of the SC&A
12 team?

13 (no response)

14 **DR. WADE:** Other federal employees who are
15 on the call by virtue of their employment?

16 **MR. BROEHM (by Telephone):** Jason Broehm,
17 CDC.

18 **DR. WADE:** Other federal employees?

19 **MS. CHANG (by Telephone):** Chia-Chia Chang,
20 NIOSH.

21 **DR. WADE:** Other federal employees?

22 (no response)

23 **DR. WADE:** Workers, worker reps, members of
24 Congress or their staffs?

25 **MS. BOLLER (by Telephone):** Carolyn Boller

1 with Congressman Udall's office.

2 **MR. HILLER (by Telephone):** David Hiller
3 from Senator Salazar's office.

4 **MS. MINKS (by Telephone):** Erin Minks from
5 Senator Salazar's office.

6 **MR. HOLEN (by Telephone):** Bill Holen from
7 Congressman Perlmutter's office.

8 **MS. SEWELL (by Telephone):** Jessica Sewell
9 with Congresswoman DeGette's office.

10 **MR. PHELEN (by Telephone):** Chris Phelen
11 with Congressman Lamborn's office.

12 **MS. ALBERG (by Telephone):** Jeanette Alberg
13 with Senator Allard's office.

14 **MS. BARRIE (by Telephone):** Terrie Barrie
15 with ANWAG.

16 **MS. BARKER (by Telephone):** Kay Barker with
17 ANWAG.

18 **DR. WADE:** Other workers, worker reps,
19 members of Congress or their staffs?

20 (no response)

21 **DR. WADE:** Is there anyone else on the call
22 who would like to be identified for the
23 record?

24 (no response)

25 **DR. WADE:** Anyone else who would like to be

1 identified for the record?

2 (no response)

3 **DR. WADE:** Okay, let me just do a little bit
4 of a discussion of phone etiquette. Again,
5 we're getting better at this. Please, if you
6 are going to speak, speak into a handset and
7 not a speaker phone. If you're not speaking,
8 and you have the ability to mute the
9 instrument then please do that. Be mindful of
10 background noises, babies crying, dogs
11 barking. If you put the phone on hold, and
12 you know that there's background music played
13 when you do that, don't do that. Again, it's
14 important that we observe those simple rules
15 so these meetings that are terribly important
16 can be as inclusive as can be of people who
17 want to participate and hear the
18 deliberations.

19 Mark, it's all yours.

20 **WORKING GROUP UPDATE**

21 **MR. GRIFFON:** I did put out a short agenda.
22 I hope everyone received that. And basically
23 as an introduction I think our main goal here,
24 and we're coming down to the wire, is to be in
25 a place where we can have a vote in the May

1 meeting, the May Advisory Board meeting. And
2 at this point we have, we have received SC&A's
3 report although there's going to be a
4 supplement to that report.

5 But I think I'd like SC&A to kind of
6 go over their report, just the executive
7 summary. I think there's five primary points
8 in the executive summary, just to give us a
9 perspective on sort of what's left out there,
10 what the main conclusions of SC&A's report
11 are.

12 And I think as Joe probably is going
13 to say, a lot of the primary points lead into
14 the subsequent agenda items that I have down
15 here. So maybe we won't get into the full
16 discussion of those, but this is kind of an
17 overview of where we're going.

18 I think today the most detailed
19 discussion is probably going to be around the
20 neutron questions and obviously that's been
21 the, or maybe not so obviously, but since the
22 last work group meeting I think there's been a
23 lot of activity around the questions on the
24 neutron dose data, the NDRP report. And just
25 as an introduction here, I mean, I think

1 everybody was working diligently on these
2 action items.

3 And part of the reason this comes up
4 now as a big issue is because we received some
5 actions, some outstanding actions from NIOSH,
6 SC&A received those. And as they did analysis
7 on some of those, you know, it raised
8 questions and it raised questions about the
9 NDRP report itself. So we had some
10 spreadsheets that were sort of provided as
11 actions, outstanding action items that we had
12 for the neutron questions and that led into
13 some further discussion on the NDRP report
14 itself.

15 And for those that don't know, in
16 between the last work group meeting I think we
17 had two technical calls, was it? Right?

18 **MR. FITZGERALD:** Two calls.

19 **MR. GRIFFON:** We had two technical calls
20 between SC&A and NIOSH. I was on both those
21 calls on and off actually, but I was on part
22 of both those calls. And a lot of that had to
23 do with, we had Roger Falk on the phone
24 basically asking about the NDRP report itself,
25 how the NDRP research was conducted, some

1 background on that just to get a sense of what
2 in this database we were looking at.

3 Also since the last meeting, and this
4 all came out of that last action, too, I had
5 asked, since there were questions on the
6 spreadsheet I asked Brant can we just have the
7 NDRP database posted itself. The action items
8 before that were actually based on claimant
9 data, NDRP data but claimant data only. And I
10 said can you just provide the entire NDRP
11 database on the O drive so we can have a look
12 at all the data instead of just claimant data.
13 And Brant did post that.

14 So then when we started looking at
15 that full database that raised some questions.
16 And we thought we don't want to delay this.
17 We want to keep things moving. Let's see if
18 we can get Roger on the phone and ask some
19 questions about what these different data
20 columns mean, how they came to the conclusions
21 they did in that report. And so Brant was
22 nice enough to set up these two technical
23 calls, and we had that happen in between the
24 work groups.

25 And also just last point of

1 introduction and then we'll get into the
2 meeting, both these calls we did have full
3 minutes taken. They're pretty in-depth
4 minutes of the calls, and they will be
5 available as attachments to SC&A's supplement
6 report on this neutron question. I don't know
7 if they --

8 Lew, have they been, they haven't been
9 reviewed yet or circulated at this point. So
10 for those on the phone you haven't seen these,
11 but there are detailed minutes of these calls,
12 not transcripts, but detailed minutes. So we
13 want to make sure that everyone knows exactly
14 what was discussed on these calls. Really, we
15 did these in a non-work group setting just to
16 keep, to expedite, to keep this moving on
17 course for the May meeting. And that was the
18 driver behind that.

19 Anyway, I think I'll go into the items
20 and let Joe Fitzgerald from SC&A give us an
21 overview on their report, and then we'll go
22 into the in-depth questions, the neutron-
23 thorium, data completeness, et cetera, on the
24 agenda, if that's okay.

25 Questions?

1 (no response)

2 **MR. GRIFFON:** Joe?

3 **SC&A REPORT**

4 **MR. FITZGERALD:** Good morning, this is Joe
5 Fitzgerald. I am the SC&A lead for Rocky
6 Flats. You now have the draft report that
7 we've been working on for some time, and Mark
8 mentioned, I guess, five issues which we
9 summarize in the executive summary to that
10 draft report. That certainly isn't
11 necessarily the scope of the issues that we
12 addressed in the report as you will see by
13 reading the executive summary and going
14 through it.

15 We have done quite a considerable
16 amount of effort to try to resolve and
17 converge on a number of these concerns. And
18 certainly issues like high fired plutonium
19 oxide. We're concerned about measuring that,
20 the concern about the reliability and the
21 integrity of the data itself. These are all
22 issues that we treat in quite a bit of detail
23 and have spent a considerable amount of time
24 with NIOSH in this working group to reach
25 resolution.

1 So what we're focused on at this
2 particular meeting, and what we've been
3 focused on for the past several weeks and will
4 be focused on until the meeting, is addressing
5 the issues which we feel, frankly, are either
6 not resolved yet, meaning that even through
7 all these efforts, we still have some
8 remaining concerns, or we feel there is
9 legitimate concern about the adequacy of the
10 data or its completeness. So we're certainly
11 beyond issuing this draft also addressing with
12 this work group and NIOSH these remaining
13 issues.

14 And the five issues, and these are
15 outlined I think in the draft that you have.
16 Certainly, Mark mentions the neutron question.
17 This has been a longstanding question that
18 we've been concerned about since the middle of
19 last year because of the uncertainties
20 incumbent on, and this is not just Rocky
21 Flats, this is really across the Department of
22 Energy complex in the 1950s.

23 Certainly, the technology was
24 evolving, and the ability to, in fact, measure
25 neutrons was evolving as well. So our concern

1 with the so-called early years, the 1950s into
2 the early '60s, was the ability to estimate
3 those neutron doses where, in fact, you might
4 have gaps in the data or the technology had
5 not caught up yet.

6 And for Rocky Flats the concern that
7 we were focused on was the so-called back
8 extrapolation which is a fancy way of saying
9 if you don't really have all of the neutron
10 exposure data for, say, the 1950s in this
11 case, specifically 1952 to '58, the approach
12 that's evinced in the NIOSH model -- this is
13 in their coworker model -- was to back
14 extrapolate to apply the values, in this case
15 the neutron/photon ratio values of 1959
16 backwards to those years and to apply them.

17 And we certainly had questions about
18 their concerns and wanted to see that data,
19 the individual information for the workers
20 involved and to understand the basis for that
21 approach. And that basis, of course, as Mark
22 mentioned, is rooted in the NDRP, the Neutron
23 Dose Reconstruction Database, which Rocky
24 applied.

25 And we did get this data finally in

1 March and have spent, I think, considerable
2 effort to understand how that's used and to
3 understand whether there would be any issues
4 in terms of using that for this specific
5 purpose, understanding that that time was used
6 certainly to come up with a better means of
7 measuring neutrons. We're looking at it in
8 the context of how effectively and adequately
9 it could be used for the purpose of dose
10 reconstruction. And we'll get into that in
11 greater detail since that's certainly a
12 foundation concern for us at this point.

13 **MR. GRIFFON:** I'm not sure, just one
14 clarification. We'll get into details later,
15 but I think that back extrapolation from '59
16 was actually in the NDRP project, not in
17 NIOSH's coworker model.

18 **DR. ULSH:** Well, it was in the NDRP. That
19 was the original source, but it was adopted.

20 **MR. FITZGERALD:** And certainly in looking at
21 the NDRP, as we indicated in the draft report,
22 we're also looking forward into the 1960s as
23 well just trying to understand how that
24 circumstance may change and whether there
25 would be any similar concerns getting into the

1 early '60s, understanding this wasn't a
2 stepped function, that certainly the situation
3 evolved over time at Rocky Flats. So we'll
4 get into that in more detail.

5 Certainly, the other issues that are
6 identified in the report are probably ones
7 that are familiar with certainly others on
8 this phone call. We looked at the
9 completeness of the database. I think we made
10 a lot of headway, frankly, in terms of
11 establishing what, in fact, was complete but
12 where there may have been gaps in a couple of
13 instances for in particular 1969 and '70.

14 The work group asked SC&A to focus on
15 the observed prevalence of zeros that were
16 assigned to a number of workers at the site,
17 and we did so, and I think with NIOSH
18 established that there was, in fact, a badging
19 policy where some of these badges were not
20 read and zeros were assigned. I don't think
21 there's any, frankly, disagreement there.
22 NIOSH has, and we can certainly touch on this
23 later after we get through neutrons, but has
24 offered a revision of this coworker model,
25 OTIB-58 which is the external coworker model

1 which has removed in a sense those zeros as a
2 way of addressing, at least in a preliminary
3 way, addressing this question of assigning
4 zeros in the absence of badges being turned
5 in. So certainly, that's been progress.

6 Now the other issue that we're looking
7 at that's identified in the report is non-
8 plutonium workers, in this case specific to
9 Building 441, where --

10 **DR. MAKHIJANI (by Telephone):** Four-four-
11 four.

12 **MR. FITZGERALD:** I'm sorry, 444, Arjun,
13 where we believe that there are still some
14 concerns as to whether there is a valid
15 coworker model which would address those
16 specific workers at that facility. And we can
17 touch on that in a bit as well.

18 The remaining two issues are ones
19 where I think we did spend a considerable
20 amount of time trying to establish whether the
21 analyses and the model provided in the NIOSH
22 report were, in fact, bounding of the doses
23 that might be presented. One was the case of
24 thorium use at Rocky Flats, and there I don't
25 think there's any disagreement that there may

1 be sufficient data to, in fact, use it to
2 bound historic thorium doses.

3 I think the issue that remains is
4 whether the model approach that NIOSH has
5 adapted which is provided in NUREG-1400,
6 whether that has been demonstrated to be
7 necessarily bounding. And I think we feel
8 there's some concerns left there that bear
9 further resolution with NIOSH. And we do
10 think that there is, in fact, some data,
11 available data, that could be applied, but we
12 have not seen evidence that the current model
13 is necessarily bounding.

14 The final issue is one that also we've
15 addressed from the standpoint of being able to
16 demonstrate whether, in fact, the approach is
17 conservative which is in OTIB-38 which is the
18 internal coworker model. We still have
19 concerns about whether anything but a 95th
20 percentile or higher, which is a statistical
21 approach where conservative fit for
22 distribution is applied, would be sufficient
23 to address the uncertainty that is incumbent
24 in that database. And so we're concerned
25 there whether, in fact, in practice the more

1 conservative approach would be applied in
2 those cases.

3 And we're also aware that, of course,
4 for Rocky Flats the number of unmonitored
5 workers for which a coworker approach for
6 internal dose will be applied is relatively
7 small. But nonetheless we feel it's important
8 to go ahead and raise that issue.

9 Given the spectrum of information and
10 issues that we did include in the report I
11 think just the fact that we're at this point
12 where we have these specific questions left I
13 think is still a considerable amount of
14 progress, but we feel that these aren't quite
15 resolved, and we need to address them before
16 we can actually have closure on the
17 evaluation.

18 So with that do you want to --

19 **MR. GRIFFON:** No, I think, I mean, that's a
20 good overview. I don't think we want to go
21 into any of those points in depth right here.
22 If we could hold questions until we get to the
23 specific items, I think that would be a better
24 process.

25 **MS. MUNN:** Mark, I have one question. This

1 is Wanda. Joe, do I understand that there is
2 going to be a supplement to this report before
3 May, but that that supplement will not, will
4 it or will not, address any of these issues to
5 the extent that they can be considered
6 resolved?

7 **MR. FITZGERALD:** Well, I think the
8 supplement is just that, that if we can reach
9 closure on any of these other issues, we
10 certainly would want to acknowledge that in
11 the supplement. I think the supplement will
12 be a snapshot by next week of where we stand
13 on the remaining issues, these remaining five
14 issues. Now clearly, I think the thrust,
15 major thrust, of the supplement is going to be
16 the neutron issue just because that probably
17 had the most to be addressed in the way of
18 actual data analysis.

19 But certainly, for example, the
20 issuance of OTIB-58 with the recognition of
21 the '69-'70 issue is certainly one
22 possibility, and we would want to certainly
23 look at that and acknowledge whether that
24 satisfies that particular issue. That would
25 be an example that we would put that in the

1 supplement as well. So the supplement I think
2 will be sort of the, if you may, the final
3 written word in addition to the draft report
4 as to what we were able to achieve in the last
5 four or five weeks.

6 **MS. MUNN:** And hopefully here today.

7 **MR. FITZGERALD:** And hopefully here today.
8 In fact, that's the timing of the supplement
9 which is to reflect everything up to and
10 including today and have it available by next
11 week to enhance the meeting.

12 **DR. WADE:** Can you be more specific, Joe,
13 about when actually, I'm just trying to make
14 sure that the Privacy Act --

15 **MR. GRIFFON:** I know. The timing is
16 critical.

17 **DR. WADE:** Especially right now.

18 **MR. FITZGERALD:** I guess in terms of
19 pinpointing a day, Arjun, are we able to plus
20 or minus a day or two?

21 **DR. MAKHIJANI (by Telephone):** Well, what
22 we've done almost all of the digging on the
23 neutron question in terms of the data. As you
24 know, Joe, some of the writing is done, but it
25 hasn't been checked or QA'd or anything, and

1 there's a lot there. I think, oh, goodness,
2 Thursday of next week? Wednesday --

3 **MR. FITZGERALD:** The concern is the Privacy
4 Act review on the --

5 **DR. MAKHIJANI (by Telephone):** Yes, what I'm
6 thinking, what I'm thinking is if we can
7 prepare a report that has no names in it or a
8 summary at least that has no names in it, but
9 that can be maybe with your permission and
10 with Emily and Liz agreeing, circulate it.

11 And then whatever pieces that may have
12 names in it or individuals mentioned other
13 than, you know, we've had these conversations,
14 of course, with Roger that are expert
15 conversations that are essentially public
16 conversations, what he's going to say today
17 or, you know, what he said on those calls as
18 experts which I understand are allowed.

19 Except for those, I think we can keep
20 names out of the report. Maybe just publish
21 the report, send the rest of it for Privacy
22 Act review like the spreadsheets. And I
23 don't, that might be a way to proceed that
24 might put things in the hands of the Board and
25 the petitioner rapidly.

1 **MS. HOWELL:** Arjun, this is Emily.
2 Obviously, as usual, if you have something put
3 together the Board members and members of the
4 OCAS and ORAU teams can see that, but we
5 cannot make anything public on the OCAS web
6 page or available to the Congressional
7 staffers, who I'm sure are very interested in
8 this, until a full privacy review has had time
9 to take place. Can anybody give me a rough
10 estimate of how large you anticipate this
11 document being?

12 **DR. MAKHIJANI (by Telephone):** I had a
13 question about that. Privacy Act review is
14 necessary even if it has no names in it? Or
15 no individual, no names and no -- I just want
16 some clarity because this is something that we
17 revisit quite a bit, and that's not my
18 understanding of my instructions from our
19 project manager at SC&A. Is that if there's
20 no individual data and no individual names and
21 no workers that --

22 **MS. HOWELL:** Arjun, we can discuss that
23 offline perhaps. I think that it doesn't
24 necessarily sound like this supplement will
25 have no Privacy Act information in it. I

1 mean, like I said, it can go to the Board
2 members who obviously need the most time to
3 spend with it prior to the meeting, but --

4 **MR. FITZGERALD:** I guess from the standpoint
5 of back engineering this, and we'll talk this
6 through in offline work with the work group,
7 but it sounds like we should aim to enable
8 NIOSH to post this for the Congressional
9 delegations and petitioners by a week from
10 this Friday I would think as at the minimal,
11 which would mean that back engineering from
12 that we would need to get it to General
13 Counsel by --

14 **MS. MUNN:** Tomorrow.

15 **MS. HOWELL:** Well, how, do you have any, can
16 you estimate a page number for me? I need to
17 know how much.

18 **MR. FITZGERALD:** I think that certainly it's
19 going to be about 25, 30 --

20 **DR. MAKHIJANI (by Telephone):** Fifty pages.

21 **MR. FITZGERALD:** Fifty pages.

22 **MS. HOWELL:** That'll be fine. If you could
23 give us 48 hours from the time that, 48 hours
24 not including a weekend, 48 hours on week
25 days.

1 **MR. FITZGERALD:** Okay, it does look like
2 we're talking middle of next week at the very
3 outside. So we'll have to talk about that and
4 make it happen. I mean I certainly with a
5 meeting coming up there's no ground to make it
6 later than that. So I think we're talking
7 about no later than Wednesday to get it --

8 **MS. HOWELL:** Close of business Wednesday.

9 **MR. FITZGERALD:** -- to get it to General
10 Counsel to enable it to go out on Friday.

11 **DR. ULSH:** Can I make a request? When you
12 send it to General Counsel, also send it to
13 me.

14 **MR. FITZGERALD:** We've been trying to do
15 that.

16 **DR. MAKHIJANI (by Telephone):** But some
17 pieces of paper you already have that are the
18 two -- I don't know if you have them, Emily --
19 but certainly NIOSH, OCAS and the working
20 group has them because Brant and I sent them
21 out, two pieces of the minutes of the
22 conference calls with Roger. And also, I
23 understand three spreadsheets, Joe?

24 **MR. FITZGERALD:** Yeah, listen, we don't have
25 to solve all this.

1 **DR. MAKHIJANI (by Telephone):** Okay.

2 **MR. FITZGERALD:** But certainly, as we did
3 with the main draft of the report, we will
4 make available as many pieces of that report
5 in advance to General Counsel and to Brant as
6 possible. And that way you'll have a head
7 start on this. By the time you get the
8 finished product on Wednesday next week, you
9 would have seen maybe half of it hopefully.

10 **DR. WADE:** It's a plan.

11 **MR. GRIFFON:** Yes.

12 **MR. ELLIOTT:** Could I ask a question for
13 clarification? Joe, in your delivery of the
14 executive summary what I did not hear were
15 specific words that go to whether one of these
16 five issues or all five of these issues are
17 SEC related or are they a mix of site profile
18 issues and SEC issues? Can you clarify that
19 for me?

20 **MR. FITZGERALD:** Yes, these would have what
21 we believe would be SEC implications from the
22 standpoint in some cases of, not so much
23 whether the approach seems to have sufficient
24 data, which is normally one test of something
25 with SEC significance, but it comes down to

1 demonstrating that, in fact, an upper bound
2 can be established with the data.

3 And that's a little bit of a variant
4 in that we think the approach seems to be
5 adequate. We do believe that in general
6 there's enough data, but we have not crossed
7 the T to actually see a demonstration. Or if
8 there was a demonstration, and this gets to
9 the NUREG-1400, we feel the results were
10 equivocal, meaning that there were some sense
11 that some of the calculations were not
12 bounding.

13 So we wanted to be very complete in
14 terms of the issues where even though we felt
15 the approach was adequate, we felt that in
16 general there was enough data, we were still
17 concerned that we never got to the point of
18 seeing an upper bound demonstrated which would
19 be, I think, the final step in that.

20 And there's two or three instances in
21 that where we felt that was the case. And I
22 think we've kind of indicated that in that
23 language in the report that certainly that was
24 the context of providing that.

25 **MR. ELLIOTT:** Thank you.

1 **DR. WADE:** For the record the judgment
2 relative to the Board's vote will be the
3 Board's judgment as to whether these are
4 issues, and then it will go on to the NIOSH
5 Director and the Secretary. Those are where
6 the judgments really need to be made.

7 **NEUTRON ISSUES**

8 **MR. GRIFFON:** Okay, and I think we also
9 prioritized these items as we often do,
10 frontloaded the, at least what I think have
11 higher SEC implications on the front of this
12 agenda, so with that in mind I think we should
13 go right into the neutron question. Again,
14 I'm not sure if I can describe in enough
15 detail, I didn't write enough detailed notes
16 out here, but we had, as Joe indicated, we had
17 some outstanding neutron questions that were
18 action items for several months, probably six
19 or seven months out. And NIOSH had been
20 working on these issues, and I think it was
21 probably what, three weeks ago, four weeks
22 ago?

23 **MR. FITZGERALD:** About four weeks ago.

24 **MR. GRIFFON:** Four weeks ago when the data
25 was provided on some of this. One thing I'm

1 remembering is an Excel spreadsheet which
2 examined the question on the N/P ratios that's
3 some paired data that Ron had requested to do
4 some of his follow up, and that was provided.
5 And that led to some further questions of, on
6 the N/P ratios themselves but also then we
7 realized that the data provided was on
8 claimant data.

9 So we asked, and Brant provided the
10 NDRP database on the O drive, and we actually,
11 it raised more questions on the actual NDRP
12 database. And I guess that's what led to
13 these two technical calls. So just with that
14 backdrop I think I'd ask Joe to sort of frame,
15 there's a lot of, for those of us who were on
16 the technical calls, there's a lot of details
17 and twists and turns in understanding the NDRP
18 project. Just when I think I have a handle on
19 it I found out something new that I didn't
20 necessarily know what was going on.

21 So the background was very useful for
22 us to understand, but for the sake of this
23 work group though I think it would be useful
24 if, Joe, you can sort of frame preliminary
25 conclusions and sort of a brief description of

1 your basis for those. Of if you want to do it
2 or if Arjun's going to do it, but rather than
3 get into, we got into very specific
4 discussions on everything from quality control
5 of the NDRP Project to some very specific
6 issues within the NDRP Project, I'd rather at
7 least give us sort of the big picture right
8 now. And then as we need to go into some of
9 the details we can, but I think that'd be a
10 way to start this off.

11 **MR. FITZGERALD:** Yeah, and Arjun Makhijani
12 and Ron Buchanan, Ron's here and Arjun's on
13 the phone, I think have been the two
14 principals in revealing the NDRP database,
15 looking at this particular issue. And I'd
16 like to defer to, I guess, Arjun.

17 If you can just give that overview,
18 Arjun, as opposed, at first, as opposed to
19 maybe getting into maybe the nitty gritty at
20 this point, but certainly just to put things
21 in perspective.

22 **DR. MAKHIJANI (by Telephone):** Sure.
23 Basically, in looking at the NDRP, we're
24 looking at -- and Roger, you can jump in and,
25 you know, put a nuance on the facts if I'm not

1 getting them exactly right. We're looking at
2 the workers who are considered at risk of
3 plutonium exposure in the '52 to '70 period.

4 And because not everyone was monitored
5 or those who were monitored had some gaps in
6 the different periods, those gaps were filled
7 by certain methods that were developed in the
8 NDRP and then an estimate of neutron dose was
9 made for each individual worker which is in
10 their dose file. And when there's a claim,
11 then that's the thing that is used.

12 In understanding the NDRP we've split
13 it up into three broad periods: '52 to '58,
14 '59 to '66, and '67 to '70. There are some
15 kind of sub-periods within those, but '52 to
16 '58 is when there wasn't very much monitoring.
17 Up to '56, Los Alamos was doing it. They had
18 these glass track badges. They issued 20 per
19 badge cycle, and all of them were issued in
20 one building, Building 91. And Building 71
21 where there was also plutonium work was not
22 monitored at the time. So everybody has kind
23 of a calculated dose in Building 71 in the '52
24 to '58 period.

25 'Fifty-seven and '58 Los Alamos was no

1 longer doing the issuance of the badges and
2 the reading. There was a private contractor
3 and those badges were not mostly recovered for
4 re-reading. Part of what the NDRP did was to
5 re-read, recover as many of the original
6 dosimetry records' badges and re-read them.
7 And up to '66 at least the original readings
8 were generally found to be systematic
9 underestimates. And then those underestimates
10 were sought to be corrected in the NDRP.

11 And unfortunately, for '52 to '58
12 there's not very much data so the vast
13 majority of workers who were at risk of
14 plutonium exposure have assigned doses, and
15 they are not assigned basically from the data
16 of the time because the data of the time are
17 very sparse. They're back extrapolated from
18 neutron/photon ratios from 1959. And so there
19 are a number of problems with that.

20 First of all there's an assumption
21 that you can multiply a gamma dose by a
22 neutron to photon ratio from a ^ back
23 extrapolate that. There are two assumptions
24 in that. One is that neutron dose is
25 proportional to gamma dose, relating to a

1 building. And the second assumption is that
2 essentially the working conditions in relation
3 to both the neutrons and photons were about
4 the same for workers in buildings even though
5 you're back extrapolating.

6 And when we looked at it, we found
7 that in the period when you looked at the
8 doses -- and also we were told by NIOSH that
9 the highest, the workers at highest risk of
10 exposure or judged to be at highest risk of
11 exposure were badged in the '52 to '58 period.

12 So we did a check of various of these
13 conclude ^ NIOSH, and we couldn't verify many
14 of them. For instance, the assigned doses
15 which are the calculated doses, not the
16 measured doses, were often bigger than the
17 measured doses which were supposed to be of
18 workers most at risk in some years. And in
19 some years it was not the case. So in '53 the
20 top ten exposed workers to neutrons were all,
21 all had measured doses so the NIOSH assertion
22 is clearly correct for that year.

23 But in '55 and '56 the top ten, and
24 more than ten actually, workers were in
25 Building 71 where there were no measurements,

1 and also they were all assigned doses of
2 calculated doses which were calculated as best
3 estimates with some claimant favorable
4 factors. So it's not at all clear that the
5 most exposed people were badged. So there's
6 no way to actually validate what is being done
7 with these assigned doses and back
8 extrapolation.

9 Also, it appears that when you do
10 scatter plots of either the original doses or
11 re-read doses, it doesn't appear that in the
12 measured doses there is a very good
13 correlation between gamma and neutron doses in
14 the period. We haven't yet finished the
15 statistical work. This is just a kind of
16 visual inspection.

17 So there are actually a number of
18 issues with respect to that. ^ that arose is,
19 can the calculated doses be validated in some
20 way to be bounding or to relate to the working
21 conditions by, say, area measurements of
22 neutron dose or similar data from the period.

23 And we were informed by Roger that the
24 NDRP tried to find such data to validate the
25 calculations for the '52 to '58 period but

1 could not. And we also have not been able to
2 find it. So we have a lot of concerns about
3 this. There's also finally some indications
4 that job types to affect neutron/photon
5 ratios, ^ some locations where gamma doses are
6 high and neutrons are low and vice versa, you
7 can have neutron doses but zero gamma dose.

8 And there is not really a serious
9 analysis of job types and neutron to photon
10 ratios. Although in a pilot study that was
11 analyzed in a master's thesis, so the only
12 real reference on neutron to photon ratios in
13 the NDRP, such an analysis was recommended.
14 So for the '52 to '58 period it seems
15 difficult to characterize the calculated NDRP
16 doses as bounding doses based on the available
17 information.

18 **MR. GRIFFON:** Arjun, do you want to go
19 through each time period and then we'll take
20 questions or do you want to stop at this
21 point?

22 **DR. MAKHIJANI (by Telephone):** Whatever your
23 preference is.

24 And finally then also, you know, the
25 NDRP report does say that the notional doses,

1 these doses that are calculated, they're
2 calculated in two ways, but the doses that are
3 calculated from neutron to photon ratios are,
4 quote, somewhat speculative. And we discussed
5 this with Roger on one of the calls, the call
6 actually day before yesterday, and you have
7 his statement in there, and I might just read
8 it so I'm not mischaracterizing it in any way.

9 He said that "I agree that notional
10 doses are basically estimates and they are a
11 best shot at calculating the dose. They are
12 more speculative the farther back we
13 extrapolate. So the 1952 to '58 period would
14 be the more speculative part. As you get more
15 and more film in the 1960s, the second method
16 of using the average neutron dose as the
17 estimator of the notional dose becomes more
18 important. Then notional dose becomes less
19 and less speculative.

20 And we would agree with that, and so
21 the '52 to '58 period really has, relatively
22 speaking at least, the most speculative
23 components in it and also not well
24 characterized by the data. I could go on to
25 the other periods if you like, Mark.

1 **MR. GRIFFON:** Just briefly, describe the
2 other periods and then let's go back. I think
3 '52 through '58 will be a long discussion, but
4 I think just the sense that the issues change
5 in the different time periods.

6 **DR. MAKHIJANI (by Telephone):** Yeah, they do
7 change.

8 **MR. BUCHANAN:** Could I make a clarification
9 before we go on? Arjun stated that you could
10 have neutron dose and no gamma dose, and I
11 want to clarify that. That means that the
12 gamma dose could be low, below the detectable
13 limit, and in the database that we looked at,
14 here is zero. That was changed to one so you
15 wouldn't have to divide by zero, and then your
16 neutron dose would be some value. And so what
17 to clarify that the gamma would be below the
18 detectable limit and the neutron would be
19 above.

20 **DR. MAKHIJANI (by Telephone):** Thank you,
21 Ron, yes. Zero means below the LOD.

22 **MR. GRIFFON:** Okay, go ahead on to the next
23 time period, Arjun.

24 **DR. MAKHIJANI (by Telephone):** The next time
25 period is useful to consider also in two sub-

1 periods: '59 to '64 and '65-'66. And it's
2 clear in looking at the data that more and
3 more people were badged. And starting from
4 '59 there were people who were badged, well,
5 in this period there were people who were
6 badged basically by the end of the period in
7 all the plutonium buildings.

8 ^ and by the end of the period it's
9 very clear to see that the workers at highest
10 risk were the ones who were being badged so
11 you can see this very clearly in 1966 if I
12 remember right. ^ look at whether the
13 assigned doses are higher or the measured
14 doses are higher. The measured doses are
15 consistently higher.

16 That's not the case in the early
17 period. Also, in the early period not all the
18 badges were recovered for re-reading, and so
19 you have a kind of peculiar problem wherein
20 this period you had considerable systematic
21 underestimates of dose in the original
22 readings. I'll give you an idea. These are
23 not QA'd so they may change, but the re-read
24 dose could be several times or an order of
25 magnitude bigger than the original dose.

1 Roger, am I characterizing that
2 correctly?

3 **MR. FALK (by Telephone):** Arjun, based on
4 the conflict of the interest rules, I'm not
5 able to really participate in this --

6 **MR. ELLIOTT:** No, you can answer the
7 question. He's asking you a direct question,
8 and you can answer it.

9 **DR. MAKHIJANI (by Telephone):** Yeah, just
10 asking for your recollections so I'm not
11 mischaracterizing it for the working group
12 here. The re-read doses in the '59 to '66
13 period were often many times greater than the
14 original readings.

15 **MR. FALK (by Telephone):** Yes.

16 **DR. MAKHIJANI (by Telephone):** Up to an
17 order of, maybe an order of magnitude bigger?

18 **MR. FALK (by Telephone):** That is a
19 possibility.

20 **DR. MAKHIJANI (by Telephone):** So in this
21 period when we looked at the data, we found
22 that quite a lot of more systematic
23 underestimates, say, than in the Los Alamos
24 period where there were also underestimates,
25 or in the later 1960s period where they had

1 gone back and tried to read the badges more
2 carefully.

3 One of the problems here is that not
4 all of the badges were recovered. And so
5 there was a portion of the original readings
6 that could not be re-read. And the NDRP
7 decided that they could not correct a badge
8 reading that they could not find. So they
9 simply added the original badge reading which
10 is very likely to be a systematic
11 underestimate to the re-read dose.

12 So now you have a final neutron dose
13 that has three components: an assigned dose
14 which is calculated to fill the gaps when
15 there was no monitoring, a re-read dose from
16 the badges that were recovered and re-read,
17 and then a component from the badges that were
18 not recovered and not re-read which is as best
19 as we know known to be in substantial error
20 and statistically speaking very, very likely
21 to be in substantial error and an
22 underestimate.

23 So now we've got a final dose estimate
24 that is very difficult to characterize as a
25 bounding dose in those cases where the badges

1 of the workers were not all recovered. Now
2 that particular problem varies from year to
3 year. In some years almost all the badges
4 seem to have been recovered. In other years
5 there were maybe 15 percent of the original
6 reading could not be re-read because for some
7 reason the badges were not available. And so
8 there are significant numbers of workers whose
9 badges could not be recovered.

10 Also, not everyone was monitored, and
11 we did check in this period whether the most
12 at risk were monitored, and we found that up
13 to 1964 many of the workers who had completely
14 assigned doses from neutron to photon ratios,
15 a hundred percent, were among those who had
16 the highest doses. So we could not verify
17 that the highest risk workers were actually
18 being monitored in this period, and in fact,
19 there's evidence to the contrary.

20 That's not the case for '65 and '66 if
21 I remember correctly. And all of this
22 analysis is in process, and I'm giving you the
23 best status based on what we've done so far.
24 We also tried to check whether the NDRP
25 notional dose is a best estimate compared to

1 the measured dose.

2 So we took one randomly selected
3 worker from Building 71 and one from Building
4 91 for each year from '59 to '64, and we
5 pretended that they didn't have a neutron
6 measurement even though they did, and
7 calculated it by the NDRP method and then
8 compared it to the measured dose. And for
9 Building 71 it was less than the measured dose
10 in all cases. In two cases it was in the 90
11 percent. But in four out of six cases it was
12 substantially less than the measured dose.
13 And Building 91 --

14 **MR. GRIFFON:** Arjun, one second, is this
15 Table 2 that you --

16 **DR. MAKHIJANI (by Telephone):** No, it's in
17 the --

18 **MR. GRIFFON:** In Ron's spreadsheets though,
19 didn't --

20 **DR. MAKHIJANI (by Telephone):** In Ron's
21 spreadsheets I don't know.

22 Ron, will you say which spreadsheet it
23 is from because I'm not looking from your
24 spreadsheet.

25 **MR. BUCHANAN:** I think it's four, but that'

1 not --

2 **DR. MAKHIJANI (by Telephone):** Yeah, it
3 hasn't been published yet because this hasn't
4 been QA'd yet. This thing needs a check, and
5 so I'm giving you the numbers that we have so
6 far that could be changed, but I'm giving you
7 the best judgment.

8 **MR. GRIFFON:** Just to step back from that
9 for a second, Arjun, because there was some
10 shaking heads on this one so I just want to
11 clarify. I think you took one individual from
12 each year from each building, 71 and 91,
13 right?

14 **DR. MAKHIJANI (by Telephone):** That's right,
15 so there are ten individuals.

16 **MR. GRIFFON:** And where they had a period of
17 measured dose, they summed the measured dose,
18 and then they basically said, okay, let's
19 pretend that this person wasn't monitored.
20 And according to Table 11.1, is it?

21 **DR. MAKHIJANI (by Telephone):** Yes.

22 **MR. GRIFFON:** You would use the photon dose
23 to use that factor to calculate a notional
24 dose and said, okay, how does a notional dose
25 compare for that time period to the actual

1 measured dose for that individual and see if
2 it was, in fact, a bounding approach, right?
3 Is that --

4 **DR. MAKHIJANI (by Telephone):** Right, and/or
5 a best estimate approach. In a best estimate
6 approach it should be comparable because the
7 bounding can then be calculated by applying a
8 variant.

9 The question that is being asked here
10 in this analysis, is there some factor that
11 could be creating systematic underestimates of
12 neutron dose in the NDRP notional dose
13 assignments as they are calculated from
14 neutron to photon ratios? And the answer
15 indicated in this analysis, and we chose
16 workers who had at least six months of neutron
17 monitoring, who had six months and 12 months
18 of neutron monitoring, for this.

19 And we found that in 10 of 12 cases
20 that the answer was less than the measured
21 dose. The notional dose was less than the
22 measured dose -- four, five, six, seven cases
23 it was considerably less than the measured
24 dose. That is more than ten percent less than
25 the measured dose. The lowest value was 22

1 percent of the measured dose. So this test of
2 the adequacy of the neutron notional dose to
3 be comparable to the measured dose was not
4 successful.

5 The other problem that we found was in
6 the validation of the re-reading which becomes
7 more important in this period. It was found
8 that the people who were re-reading the badges
9 were tending to under read the actual dose and
10 correction factors were developed for each
11 individual reader because it tended to vary by
12 reader and their experience.

13 And the correction factors were
14 essentially developed assuming that one
15 reader, who was Roger Falk, had, as he
16 described, was the gold standard, that he had
17 the perfect reading. Now his reading was
18 never independently that statistically very
19 difficult, as independent of the quality of
20 his reading. Everybody's going to have some
21 error, and those errors were not
22 characterized.

23 And so all of the re-reading was
24 calibrated against one person's, a one person
25 reading. Now there was some validation

1 exercise which was done against badges that
2 Roger, himself, has had calibrated.

3 **MR. HOLEN (by Telephone):** This is Bill
4 Holen with Congressman Perlmutter's office. ^
5 any comparative analysis of the difference
6 between the contractor's badge reading and
7 those that were done by the government in the
8 early '50s?

9 **DR. MAKHIJANI (by Telephone):** Well, the
10 NDRP, itself, was that comparative analysis.
11 The government's readings, especially up to
12 1966 were found to be systematic
13 underestimations. And the NDRP, and that was
14 found to be so at the time as you'll see from
15 the minutes of the 17th April conference call
16 is one or 12th April.

17 One of the reasons that a review of
18 neutron doses was undertaken in 1967 was that
19 -- by Roger -- was that the earlier dose
20 readings were found to be not of adequate
21 quality, and so they decided to read the ones
22 of those considered at highest risk more
23 carefully so that they would cut down on the
24 errors. And they did succeed in that.

25 They didn't eliminate all the errors,

1 but the percentage error markedly decreased in
2 1967 to '70. And so that goal was mostly but
3 not fully accomplished. So the government's
4 readings were not sound from the time so
5 that's why the badges were re-read.

6 **MR. HOLEN (by Telephone):** Thank you.

7 **MR. GRIFFON:** Arjun, can you give us a brief
8 description of that last time period then?
9 Are you --

10 **DR. MAKHIJANI (by Telephone):** Yeah, the
11 last time period we actually haven't finished
12 all of our looking at all the data so there's
13 quite a bit of work remaining to do. And part
14 of the reason that there is so much work
15 remaining to do is that we did not understand
16 until the last few days that there had been
17 significant changes in the neutron dosimetry
18 program in 1967 in that fewer badges were
19 read, but they were read more carefully. And
20 so we have to take a look at that period.

21 We did verify that they were read more
22 carefully. Almost all the badges were
23 recovered in '67, but in '69 and '70 the
24 badges were not archived, or at least some of
25 the badges were not archived, and so not

1 available for re-reading. And so there's
2 quite a few gaps in terms of the re-reading.
3 At least in 1970 only 48 percent of the
4 original dose was re-read. And in 1969 82
5 percent was re-read.

6 Now the significance of those gaps may
7 be less in this period because of the quality,
8 improved quality, of the readings since the
9 indicated errors in the cumulative dose are
10 lower. And also in this period, I think from
11 the mid-'60s -- and we haven't been able to
12 pin down the date yet -- but from the mid-'60s
13 most of the gaps are not filled by the neutron
14 to photon ratio method, but they're filled
15 from looking at the worker's own nearby dose
16 in the badged periods which is a more reliable
17 method of filling gap and more normal
18 certainly corresponding to other practices of
19 filling gaps in a worker's dose.

20 **MS. MUNN:** Arjun, this is Wanda. I
21 apologize for not having been able to be on
22 that phone call on the 19th. Perhaps this
23 would be more -- I mean on the 17th -- I might
24 be more clear. But I'm a little confused
25 about a couple of things that are being said,

1 and I'd appreciate some help clarifying it for
2 me.

3 For one thing the question was asked,
4 and I'd like to define terms a little bit
5 here. The question was asked about the
6 differences between badge readings from the
7 contractor as opposed to by the government.
8 Now to me the government incorporates all
9 kinds of agencies and I guess I'd like to be
10 very clear about what we're talking about when
11 we say government readings as opposed to
12 contractor readings.

13 And the other question that I have for
14 you, which I think is a simple one, you talk
15 about variances of as much as an order of
16 magnitude difference. When we talk about an
17 order of magnitude difference, are we talking
18 about the difference between 20 millirem and
19 200 millirem or are we talking about the
20 difference between 200 millirem and 2,000
21 millirem?

22 **DR. MAKHIJANI (by Telephone):** Well, let me
23 take the second question first because it is
24 simpler. The cases would vary by worker and
25 by reading. So sometimes you'd be in the 40

1 to 400 millirem or 40 to 100 millirem, and
2 sometimes you would be from a couple of
3 hundred millirem into two millirem.

4 The doses do go up into the several
5 rem range. The doses are in the thousands of
6 millirem for the most exposed workers. So the
7 re-readings do materially change the doses for
8 many workers. For the most exposed workers
9 certainly they put them into significant dose
10 levels.

11 **MS. MUNN:** And since I have not seen the
12 tables with which you've been working, the
13 spreadsheets that I guess Ron put together,
14 how many actual individuals, how many doses
15 are we talking about per year? You spoke in
16 terms of having looked at various years, but
17 I'm trying to identify how many actual
18 individuals were incorporated in those two, so
19 far you've talked about two separate time
20 periods.

21 **DR. MAKHIJANI (by Telephone):** Three
22 actually.

23 **MS. MUNN:** Well, yeah, but primarily you
24 talked about pretty much the '50s and pretty
25 much the '60s.

1 **DR. MAKHIJANI (by Telephone):** Right.

2 **MR. BUCHANAN:** Hey, Arjun, do you want me to
3 address that?

4 **DR. MAKHIJANI (by Telephone):** Sure, please.

5 **MR. BUCHANAN:** In the '59 to '64 era, we're
6 talking one to 2,000 annual doses. And in the
7 '65 to '69 era we're looking at just about
8 2,000 doses per year, workers. And if you
9 looked at how much the original dose that was
10 not re-read compares to the, if you was to
11 adjust it, say, you went in and adjusted it
12 like you did the other re-reads, some years it
13 would not have much effect on the overall dose
14 and some years it would increase it by 50 or
15 100 percent.

16 It would increase it some years,
17 double it, if you went in and made the same
18 adjustment to the non-re-read as you did to
19 the re-read. So that gives you an order of
20 magnitude of how many workers would be
21 affected, a thousand to two thousand workers
22 each year and the order of magnitude is from
23 zero percent to about increasing it by 100
24 percent.

25 **MS. MUNN:** Do you have a rationale for why

1 there would be that much variance from one
2 year to the next?

3 **MR. BUCHANAN:** It would depend on how many
4 of them were found and re-read, and if those
5 constituted the high dose workers or the low
6 dose workers. If most of the high dose
7 workers' badges were recovered and re-read,
8 then you would have very little impact on the
9 overall dose.

10 **MS. MUNN:** So you're talking about only
11 reworks here in this particular discussion
12 right now?

13 **MR. BUCHANAN:** We're comparing those that
14 were re-read and those that could not be re-
15 read and how the ones that couldn't be re-read
16 would influence your overall dose assignments.

17 **MS. MUNN:** Right, got it. Thank you.

18 **DR. MAKHIJANI (by Telephone):** So in regard
19 to the first question, you know, as I
20 understand it all the dose readings were done
21 by contractors, or essentially all, and part I
22 was answering was comparing the original
23 readings done by the government's contractors
24 basically was running Rocky Flats in the '50s
25 and '60s compared to the re-reading of the

1 same badges done in the neutron dose --

2 **MS. MUNN:** NDRP, okay.

3 **DR. MAKHIJANI (by Telephone):** --

4 reconstruction project which was also done by
5 a contractor, which was done by ORAU actually.

6 **MR. GRIFFON:** I think for me looking at this
7 data, and I was on these technical calls so I
8 have a little advantage having looked at some
9 of this data, but I'm still, you know, there
10 are some details that are, we're all sort of
11 sorting out. But I noticed, and Roger said
12 this on one of the calls -- I forget if it was
13 the 17th or last week -- but the year's not
14 perfectly defined but '64, '65, somewhere in
15 that time period, they started phasing in and
16 more of the highly exposed workers were
17 monitored in full.

18 And just looking at some of the data
19 from the NDRP database, it does support that
20 argument. But you can see instead of, I just
21 did some plots on my own that look at the
22 percentage of notional, which is basically an
23 estimated dose. And when you have 100 percent
24 notional, it was basically all, as Arjun's
25 pointed out, assigned dose versus the final

1 neutron dose. And as you get out to like '65,
2 it's clear that, like in '55 I have up on the
3 screen the top 40 or so final neutron doses as
4 far as magnitude, the top 40 are all 100
5 percent notional dose, so they had no, either
6 they didn't find the film badges or they had
7 no film badges, all 100 percent notional.

8 When you go out to '65, it's reversed.
9 It's all the higher end final doses actually
10 have their own film data. And Roger indicated
11 that this is kind of phased in. They took the
12 highest risk workers, which makes sense, they
13 took the highest risk workers and badged them,
14 but it wasn't all done in one year.

15 It was kind of phased in over a couple
16 of years from '64 so that their time is
17 unclear. But the data does support that. And
18 I would say in those cases you're on more
19 solid grounds with regard to being able to
20 bound that dose because you have the actual
21 film data from the high-end people, for the
22 highest exposed. That was an important take
23 away for me and the data sort of shows what
24 Roger said was happening in the field, and
25 they support each other.

1 But maybe, Arjun, is it a good point
2 to maybe then go back to the first timeframe
3 and --

4 **DR. MAKHIJANI (by Telephone):** Sure.

5 **MR. GRIFFON:** -- I'm sure there are several
6 questions, so let's maybe turn it over to
7 Brant and have some discussion.

8 **DR. ULSH:** Good morning everybody. Mark, I
9 do have a lot to say about this. I don't know
10 in terms of logistics when you want to take a
11 break, but what I'm going to do is since
12 certainly the people on the call and the
13 people who were not involved directly in the
14 conference calls may not have as good a
15 picture of the NDRP as those of us who were,
16 I'm going to start with an overview of NDRP,
17 and that's going to take a little while.

18 **MR. GRIFFON:** I'm getting a nod from our
19 court reporter that we should have a break.
20 So let's take a ten-minute break and then
21 reconvene.

22 **DR. WADE:** We're going to take a ten-minute
23 break. We'll mute the phone, and we'll turn
24 it back on in approximately ten minutes.

25 (Whereupon a break was taken from 10:47 a.m.)

1 until 11:00 a.m.)

2 **MR. GRIFFON:** Okay, for everyone on the
3 phone we're ready to start up again, and I
4 think Brant's going to give us a little
5 background on NDRP and get into some questions
6 for Arjun I'm sure.

7 **DR. WADE:** Can we just verify for the
8 record, Mike, are you still with us?

9 **MR. GIBSON (by Telephone):** Yeah, I'm still
10 here, Lew.

11 **DR. WADE:** Thank you.

12 **MR. GRIFFON:** And everyone on the phone just
13 let us know if you're not picking up Brant
14 well or any of us. We'll make sure we adjust.

15 **DR. ULSH:** All right, thanks, Mark.

16 As I mentioned before the break I
17 think it's worthwhile to take a step back here
18 for those of you out there on the phone and
19 for the people around the table here who were
20 not directly involved in the conference calls
21 that we had over the past couple of weeks and
22 the process that we've been involved with for
23 months now.

24 I'd like to start with just a little
25 bit of background on how SC&A and NIOSH have

1 approached these neutron questions. And
2 please don't read anything into what I'm about
3 to say. I just think it's important to
4 understand how we've been interacting and what
5 data has been provided and when and what that
6 all might mean.

7 We provided the neutron dose
8 reconstruction protocol. It was months ago.
9 I don't know the exact date, a long time ago
10 though, and we also provided de-identified
11 data months ago. So that stuff has been
12 available to the working group and to SC&A for
13 some months.

14 We had a process initiated where we've
15 had several exchanges of questions and
16 responses primarily with Ron Buchanan of SC&A
17 and some folks on the ORAU side and that has
18 been a very productive process I think. I
19 hope, at least, that everyone involved with it
20 would agree with that. And there've been
21 numerous iterations, and questions would be
22 presented; we'd answer them and then follow up
23 questions would be presented.

24 We've also had numerous conference
25 calls over the past several months. At least,

1 well, two within the past week I think, and at
2 least two before that, and that's just going
3 from my memory. It is true that we provided
4 matched neutron gamma readings on the 14th of
5 March to SC&A. And those were de-identified I
6 believe. Yeah, I believe so, yeah.

7 Once SC&A had some time to spend with
8 that it became clear that they still wanted to
9 see the NDRP database, and I discussed that
10 with Mark. And as Mark mentioned, we provided
11 the complete NDRP database on the 23rd of
12 March. So there's been an ongoing
13 interaction. I mean, it hasn't all just
14 happened in the past couple of weeks. It's
15 not like these action items have been, there's
16 not been a lot of items hanging out. There
17 have been a couple that have been provided
18 within the last few weeks.

19 Now for some background on the NDRP
20 itself. This is a very big, a very complex
21 study that was done so I think it's worthwhile
22 to point out a couple of things. And some of
23 this is going to be some tough hoeing, so I
24 hope that you can just bear with me.

25 The NDRP was undertaken by the

1 Department of Energy because it was recognized
2 that there were some problems with the neutron
3 dosimetry as it was done at the time. And
4 we're talking about the early '90s. And the
5 impetus, at least as I understand it, was an
6 epidemiological study that was done by Dr.
7 Ruttenber from the Colorado Department of
8 Health. And at that time it was recognized
9 with input from former workers, I'm thinking
10 of you know, the NDRP staff, that the weakest
11 link in the dosimetry as it stood in the early
12 '90s was the neutron dosimetry.

13 And so as Arjun mentioned, there was a
14 study by a researcher at Colorado State, kind
15 of an original study, and then a pilot study
16 quickly followed just to demonstrate that,
17 yes, this was a worthwhile thing to do. And
18 then the NDRP was launched following on those.

19 Now the NDRP itself, and when I say
20 NDRP, I'm talking about Neutron Dose
21 Reconstruction Project, was conducted by ORAU.
22 The scientists involved were former workers.
23 Roger Falk was a primary scientist. Joe
24 Aldrich was the project director. It did have
25 oversight by not only by DOE individuals, Bob

1 Bistline and Bruce Wallen, but it also was
2 overseen by an advisory board similar to the
3 way our program is overseen by an advisory
4 board. And the advisory board for the NDRP
5 included a number of very knowledgeable, pre-
6 eminent scientists in the field.

7 Now in terms of the history of neutron
8 monitoring at Rocky, you've heard some of this
9 from Arjun's description, but I'm going to
10 cover it in maybe a little more detail. From
11 1952, that was the start of operations,
12 through 1956, workers who were judged at the
13 time by the health physicists to be at highest
14 risk of neutron exposure were issued neutron
15 track plates. And those were supplied by Los
16 Alamos. A total of 757 neutron track plates
17 were retrieved from Los Alamos for the NDRP.
18 So that covers the '52 to '56 time period.

19 Now you might be a little confused
20 because I said -- and I very carefully worded
21 what I said -- the health physicists at the
22 time judged that the people who were issued
23 plates were the ones at highest risk. In
24 retrospect it became obvious that not all of
25 the people at significant risk of neutron

1 exposure were monitored.

2 So I think it's fair to say that their
3 judgment at the time, while it was based on
4 understandable reasons which we can't really
5 discuss at the moment, it was in error.

6 There were people in Building 71 who were
7 getting significant neutron exposures and who
8 were not issued plates. It is a fact that
9 those people in Building 71 were not monitored
10 from '52 to '56.

11 The people who were monitored were in
12 primarily Building 91. That is where
13 plutonium was received from offsite and where
14 final assembly was performed I believe. And
15 during that time like I said, Building 76, we
16 have to be careful when we say that they were
17 not monitored. People in Building 76 were
18 monitored for beta and gamma. They were not
19 monitored for neutron. So it's not really
20 accurate to say that they were not monitored.

21 Now from 1957 to '70, through 1970,
22 workers were monitored with NTA film. And
23 this is where I think a little perspective is
24 in order about the magnitude of the NDRP. The
25 NDRP retrieved almost 90,000 films for the

1 NDRP. And of those 87,000, a little over
2 87,000, were matched to workers.

3 So that's the population of films that
4 was looked at. So I think that's a little bit
5 of perspective on the magnitude. We're not
6 talking 20 films or 100 films. We're talking
7 almost 90,000 films involved.

8 Now for in terms of the NDRP
9 methodology and hang with me on this. This is
10 a very complicated topic, that I'm still
11 grappling with, too. The NDRP re-evaluated
12 over 76,000 matched neutron-gamma pairs. Now
13 let me tell you what I mean by that. A
14 matched pair consists of a gamma measurement
15 and a corresponding neutron measurement. So
16 that is a matched pair, and there were 76,000
17 approximately of that.

18 And now the NDRP re-evaluated all the
19 films that were available, and here's an
20 important fact. They didn't just go back and
21 re-read the films. They went back and re-read
22 the films in most cases several times because
23 from a statistical standpoint that will give
24 you a better estimate. If I take a film and I
25 read it once, well, that's a number, but if I

1 read it four or five times, then I have a much
2 more statistically robust estimate from that
3 film.

4 So you multiply that 90,000 by I don't
5 know. I would just be guessing what the
6 average number of re-reads is. Let's say
7 three, you get an even larger scale project.
8 So this was not a trivial project. It was
9 very rigorous in terms of the QA that was
10 applied.

11 Arjun mentioned, and I'll get to it at
12 some point here, there were individual
13 specific calibration factors. So each
14 individual person who was re-reading films had
15 a calibration factor that was specific to that
16 person, and that was to eliminate errors from
17 differences between readers. Looking around
18 the table I might read a film differently than
19 Wanda would read a film. And the NDRP didn't
20 want that to have an impact on the estimate of
21 the dose.

22 So they were, and the individual
23 specific calibration factor's applied to each
24 reader. And it's a little, I think the
25 description that was given before was a little

1 bit incomplete. They were compared against
2 the senior scientist readings, Roger Falk, but
3 they were also compared to two sets of
4 calibration films with known doses, and
5 Roger's readings were also compared to
6 calibration films with known doses.

7 So Roger was simply the normalizer.
8 It wasn't as if these, if there was a
9 disagreement, Roger was right and the reader
10 was wrong. They were both compared to these
11 calibration films.

12 And there were two sets. One set was
13 exposed to a bare, unmoderated plutonium
14 fluoride source. That's the source of the
15 plutonium at Rocky Flats. That's the source
16 term there. So it's the same type of
17 plutonium. That was one set of films, and
18 there were, I think, maybe four different
19 doses that were evaluated.

20 And then a second configuration was
21 used. That was completely moderated plutonium
22 fluoride source. So you had plutonium
23 fluoride source encased in seven centimeters
24 of polyethylene, completely moderated to
25 spectrum, and a second set of calibration

1 films was used. And these are the films that
2 the readers' calibration factors were
3 calculated against.

4 **MR. GRIFFON:** These were Rocky Flats
5 calibration films or --

6 **DR. ULSH:** Yes, they were.

7 **MR. GRIFFON:** -- were they developed outside
8 of --

9 **DR. ULSH:** I'm trying to recall, Mark.
10 These were films that Roger Falk did in the
11 1960s. I don't know the exact year. I'm not
12 sure if this was the Los Alamos source. I
13 think it was. Yes, I think it was the Los
14 Alamos --

15 **MR. GRIFFON:** So Roger Falk set up the films
16 and exposed them and made these calibrations?

17 **DR. ULSH:** Yes, exactly. I think 1960-
18 something. I don't know the exact year.

19 Now prior to the, now this really
20 astounded me, prior to reading any films on
21 any given day, readers were required to read
22 films and pass an initial qualification test
23 every day that they were reading, every day.
24 And a separate Quality Control Program was
25 implemented where they had to re-read at least

1 ten percent of the films that were read the
2 previous day by each reader just to make sure
3 that they were being consistent. The point
4 that I'm making is not the details here, but
5 the point that I'm making is there were
6 rigorous QA protocols in place at the time.

7 Now, we've talked a lot about notional
8 doses, and I know this might be a bit
9 confusing. If you think about the total dose
10 that would be calculated for an individual, if
11 you can think in terms of an equation. Total
12 dose equals the measured dose as re-evaluated
13 by NDRP plus the notional dose. And I'm going
14 to talk about each of those terms in a minute.

15 Now what is a notional dose? Well,
16 notional doses are neutron doses that were
17 assigned to workers who may have been exposed
18 to neutrons in a plutonium building at Rocky
19 Flats, but for one reason or another they were
20 not monitored. I'm sorry. There is no
21 monitoring data for that person. Now that
22 could have happened because the person was not
23 monitored. It could have happened because he
24 was monitored, but the doses couldn't be re-
25 evaluated from the film. And it could also be

1 the case that the worker was not likely to
2 have been exposed during that period of time.

3 Now everything I'm saying comes from
4 the NDRP protocol. This is not a late
5 breaking development. All of this information
6 is available in the NDRP. So a notional dose
7 covers periods for when there is no neutron
8 monitoring data for whatever reason for a
9 particular individual. And you add that to
10 the time when there was monitoring, and you
11 come up with a total re-evaluated neutron
12 dose.

13 So let's talk a little bit more about
14 that notional dose term. Well, you start with
15 the recorded gamma doses. And this is an
16 important point. It is not true to say that
17 every person who was in a plutonium building
18 at Rocky Flats was included in the NDRP. The
19 policy in place at the time was that if a
20 worker was judged to have an exposure
21 potential greater than ten percent of the
22 limit, then they were required to be
23 monitored. If it was less than that, they
24 were not required to be monitored.

25 So there were people who were judged

1 not to have significant exposure potential who
2 were not monitored for gamma. Those people
3 aren't in the NDRP. The trigger for getting
4 into the NDRP was that you were gamma
5 monitored, and that is a surrogate for having
6 significant exposure potential.

7 So let's start with the gamma. We've
8 got a person, let's say he's monitored for
9 gamma, but he's not monitored for neutrons.
10 The whole focus of the NDRP, well, that's too
11 strong a statement. One of the big focuses of
12 the NDRP was to come up with a method to
13 calculate notional neutron dose that, well,
14 the best method. And simulation studies were
15 performed to come up with the best method of
16 calculating notional dose.

17 Now these studies as part of the NDRP
18 compared the predicted neutron dose, the
19 predictions from various alternative methods -
20 - and these are all described in the NDRP --
21 and they compared them to actual measured
22 doses for matched pairs.

23 So you've heard a little bit about
24 SC&A trying to do some of these comparisons.
25 There was a rigorous effort in the NDRP itself

1 to do exactly these kind of comparisons and
2 find out which methods yielded predictions
3 with the smallest error. So that was, the
4 details are in the NDRP. I don't want to get
5 too far down in the weeds any further than I
6 already am.

7 Now let me tell you about notional
8 dose, the method that was finally determined
9 to be the superior method to do it. It was a
10 weighted combination. Now this is where it
11 might get a little difficult. It's a weighted
12 combination of estimates that are determined
13 from two methods.

14 The first method is based on a
15 worker's average neutron dose per day, and
16 that is attained from actual neutron
17 measurements. The second method is an
18 estimate based on a common neutron to gamma
19 ratio for a particular building and for a
20 particular year.

21 So let's say I was a person in the
22 NDRP, and let's say I was monitored; I was
23 measured for neutrons for four months out of
24 the year. I don't know. I can't do math now.
25 Let's make it six months out of the year. For

1 the other six months I was not monitored. My
2 total neutron dose is going to be my measured
3 neutron dose plus my notional.

4 And my notional is going to be a
5 weighted average of the dose that is
6 calculated for that gap period when I was not
7 monitored by my average neutron dose per day
8 or the common neutron to photon ratio. So
9 some of the concerns that you've heard
10 expressed here deal with using neutron to
11 photon ratios to calculate notional doses,
12 that's the part of the dose that we're talking
13 about. And if you want additional details, I
14 would always refer you to the NDRP protocol.

15 Now note that when a worker had only
16 small gaps, in other words he was monitored
17 most of the time, then his neutron dose
18 estimate would be heavily weighted towards his
19 actual measured neutron doses. And
20 conversely, if a worker was only monitored a
21 little bit of the time, his estimate would be
22 heavily weighted towards the notional
23 methodology.

24 So I mentioned that one of the big
25 concerns that has been expressed or questions

1 that have been raised concern neutron to
2 photon ratios. Well, how were these ratios
3 calculated? Well, you started with a
4 population of matched neutron and gamma doses.
5 And I told you there were about 76,000 of
6 those I believe. And the ratio was determined
7 by dividing the sum of all the neutron doses
8 for a particular building, for a particular
9 year by the sum of the gamma doses for a
10 particular building, for a particular year.

11 Now that method was evaluated as well
12 to determine which gave acceptable results,
13 stable results, and results that matched what
14 was observed. And one of the big conclusions
15 from those studies were the estimates that are
16 based on neutron to gamma ratios are generally
17 more accurate than the common neutron to gamma
18 ratio was used rather than individual derived
19 neutron to gamma ratios. Those were extremely
20 variable over the course of the year. And I'm
21 going to talk about why that makes perfect
22 sense that they were variable.

23 So rigorous statistical studies were
24 performed to determine which method of
25 estimating the neutron to gamma ratios gave

1 stable and accurate results. And in the years
2 where there were no matched neutron to gamma
3 pairs, they were extrapolated from neighboring
4 years. And I think this is also a source of
5 concern. You heard Mark maybe or Arjun or
6 somebody mention they had questions about
7 extrapolating back from the ratio observed in
8 '59 back to earlier years.

9 And that is certainly true since there
10 were very, there were relatively few neutron
11 measurements in the early '50s. There wasn't
12 enough data to generate year and building-
13 specific neutron to photon ratios for the
14 '50s. And so what was done was the ratio that
15 was observed in 1959, when we did have
16 significant data available, that was back
17 extrapolated to 1952.

18 So it's always, you always have to be
19 cautious when you're making those kinds of
20 extrapolations. That was one of the things
21 that was hammered into me in school was you
22 don't extrapolate beyond the range of your
23 data without having very good reasons to do
24 so.

25 And so we considered this question,

1 the validity of extrapolating back from '59,
2 and there were a couple of questions that we
3 evaluated. And this dealt with what factors
4 could occur that would make 1959, the ratio in
5 1959, different from earlier years. What are
6 the possibilities, and do they compromise our
7 ability to make this extrapolation, or really
8 the NDRP's ability to do that.

9 Well, if there was a significant
10 change in the source term you would expect
11 that extrapolation might be questionable. In
12 other words if you had plutonium fluoride in
13 1959, but earlier than that you had some other
14 form of plutonium which generated a different
15 neutron to photon ratio, well, obviously you
16 wouldn't want to back extrapolate.

17 However, the only change that occurred
18 in the source term was an increase in the
19 batch size. They started out with a batch
20 size of 200 rems. They increased to 1,200
21 rems. I believe that occurred in 1957. Well,
22 now obviously that increase in batch size
23 would increase the amount of neutron radiation
24 coming off. It would increase the amount of
25 gamma radiation coming off, but it would not

1 be expected to change the ratio, and that's
2 what we're talking about, the ratio.

3 So we didn't, and the form of the
4 plutonium, plutonium fluoride, was constant
5 across that time period. So we in our
6 evaluation, we did not see that there was a
7 factor related to the source term that would
8 compromise that extrapolation. Well, there
9 are a couple of other things that might crop
10 up.

11 One is what if there were changes in
12 the configurations of the buildings or in the
13 neutron shielding that was involved that
14 occurred prior to 1959. I mean, let's say you
15 installed a bunch of shielding in 1958 that
16 changes the neutron to photon ratio.
17 Obviously, then you wouldn't want to
18 extrapolate back from '59. That didn't occur.

19 If you look at the building histories
20 that's available on the HAER. I think that
21 stands for historical -- well, that's as much
22 of the acronym as I can come up with, but that
23 is certainly available on the internet if you
24 do an HAER search. There were no major
25 building configuration changes until the '60s.

1 They added significant neutron shielding in
2 the '60s but not before '59. So we don't see
3 any changes in terms of those kinds of factors
4 which would compromise the ability to back
5 extrapolate.

6 And that leaves you with one other
7 factor that might affect the ability to back
8 extrapolate, and that is if there were changes
9 in the way workers did their jobs you might
10 question the ability to back extrapolate. But
11 the philosophy that was in place at the time
12 in the '50s and well into the '60s was that a
13 particular group of workers would be assigned
14 to a batch of plutonium, and they would follow
15 it through the process from start to finish.
16 And that did not change over the course of our
17 extrapolation. That was well into the '60s.

18 Now in the '60s the philosophy did
19 change. You had workers who did specific
20 tasks and the batches passed them by, you
21 might want to think of maybe in an assembly
22 line fashion. But that didn't happen until in
23 the '60s. And we don't know of any other
24 factors that changed the way workers did their
25 jobs.

1 So we did consider all of these
2 questions when we evaluated the advisability
3 of back extrapolating from '59. And we just
4 did not see anything that would compromise
5 that extrapolation, to be honest, over the
6 discussions over the past couple of weeks, I
7 haven't heard of anything. I haven't heard a
8 technical argument that says that that
9 extrapolation would be invalid.

10 Now it is true, and I know, Mark, this
11 is one of your big concerns, about notional
12 doses, the highest doses in early years being
13 notional rather than measured. And as I
14 mentioned, the health physicists at the time
15 made a judgment that people in Building 91
16 were at highest risk of exposure, and that's
17 why they got the plates.

18 As I said, in retrospect that was
19 probably not in this individual, in this
20 particular instance a good judgment because
21 what you see is that the notional doses are
22 higher in the earlier years. That is
23 certainly true. And you could say, well,
24 that, obviously, I think it's fair to say that
25 the health physicists at the time didn't have

1 an accurate judgment about who should be
2 monitored if you're trying to monitor the
3 highest people.

4 But the relevant question is can we
5 bound, or really the question is can we bound
6 or come up with a more accurate methodology
7 for calculating neutron doses. And the fact
8 that the doses are notional, highest doses are
9 notional does not in and of itself in any way
10 compromise our ability to estimate those
11 doses.

12 Now, I'm almost done. I had a feeling
13 that the speculative language would become a
14 sound bite, and I appreciate Arjun reading
15 what Roger said about that. I think taken out
16 of the scientific contexts, members of the
17 public or others could be forgiven for reading
18 more into that comment than is really
19 appropriate. When you say speculative, I
20 mean, scientists, health physicists,
21 abbreviation protection people, always prefer
22 directly measured doses over calculated doses
23 even in a situation like this where the
24 calculated doses were done very rigorously.

25 So, of course, it would be preferable

1 if the workers monitored in the '50s had been
2 directly monitored for neutrons. And there is
3 some degree of assumptions involved in
4 calculating via notional dose methodology.
5 And, of course, you have to evaluate those
6 assumptions. I think they've been very
7 thoroughly evaluated.

8 So with that I think I'll finally be
9 quiet and you ask, discuss questions or...

10 **MR. GRIFFON:** Joe or Arjun, I give it to
11 you.

12 **MR. FITZGERALD:** I think Arjun's chafing.

13 **DR. MAKHIJANI (by Telephone):** I'd just like
14 to make one clarification about a point
15 regarding a notional, what goes into the final
16 dose. Brant said that the final dose has a
17 two component equation. One is the re-read
18 dose, and the other is a notional dose and ^
19 two components.

20 And I agree with all that, but there's
21 a third component which I mentioned. Doesn't
22 apply to all workers but is important for many
23 workers, and it depends on the period and the
24 year how many workers this applies to. But
25 since not all the badges were recovered, the ^

1 re-read portion of the original dose.

2 So the original dose actually was
3 split up into two pieces, the piece that could
4 be re-read because the badge was available and
5 in condition to be re-read and could be
6 matched up with a specific worker, and the
7 part that was not re-read. Now the part that
8 was not re-read was simply added into the
9 final dose without change.

10 And one of the essential
11 methodological problems that we see is we know
12 that the non-re-read portion ^ likely to be in
13 significant error as an underestimate for the
14 whole period but particularly for certain
15 periods it would be a large underestimate most
16 likely. So that piece of the total neutron
17 dose is a problem for those workers who have
18 that in their final dose.

19 **DR. ULSH:** Arjun, we agree with you that
20 that particular piece of the total dose, in
21 other words the piece of the original dose
22 that was not able to be re-read could pose a
23 problem, and that takes you up through the
24 NDRP. Now the particular individual enters
25 the NIOSH dose reconstruction process. And

1 we're very well aware of that problem so I
2 think Mutty's going to have a few words to
3 tell you how we address it in terms of a dose
4 reconstruction.

5 **MR. SHARFI:** When we actually assessed the
6 NDRP data, we didn't re-break it back up to
7 any originally non-adjusted doses and the NDRP
8 dose and the notional dose. The original
9 doses then are the ones that have not been re-
10 read are then readjusted for possible track
11 errors.

12 And those are covered in the site
13 profile and in a lot of cases are adjustment
14 factors of up to a factor of two can be
15 assigned to those original non-re-read doses
16 to account for possible misreadings. And
17 that's all covered in the site profile. So
18 the original dose is actually pulled back out
19 of the NDRP dose and re-analyzed for possible,
20 and adjustment factors are given for that
21 possible issue. And that is covered in the
22 site profile and how to handle that and is
23 addressed in the dose reconstruction.

24 **DR. MAKHIJANI (by Telephone):** The errors as
25 we observed them for certain years as I

1 mentioned go up to an order of magnitude or
2 more even in the cumulative dose and they are
3 considerably bigger for individual workers.
4 And a correction by a factor of two certainly
5 would not in most or all years, except maybe
6 '67 to '70, could be described as bounding in
7 any way, at least as I've read the data.

8 **DR. ULSH:** Well, Arjun, I'm sorry, but I
9 don't have your analysis in my hands or maybe
10 I do and I just haven't had time to digest it
11 yet. I'm not quite sure.

12 **DR. MAKHIJANI (by Telephone):** No, you
13 don't, Brant, because as I said, we're still
14 in the throes of producing this and as you
15 understand, you don't like to publish --

16 **DR. ULSH:** Oh, sure.

17 **DR. MAKHIJANI (by Telephone):** -- draft
18 documents.

19 **DR. ULSH:** I would say that -- this is
20 dangerous without seeing your actual data, but
21 in my experience, limited experience, you tend
22 to see a lot more variability in terms of the
23 neutron to photon ratios when you're very
24 close to the limit of detection. And I'm
25 looking at Mutty to give him an opportunity to

1 correct me. So I think you might see more of
2 that kind of an issue at very low doses. But
3 I would have to see the data that you're
4 basing this on to say for sure.

5 **DR. MAKHIJANI (by Telephone):** Brant, I
6 think you did not understand me. I'm not
7 talking about neutron to photon ratio
8 calculated portions of the notional dose. I'm
9 just talking about the errors that were
10 present and discovered, assuming that the re-
11 reading, re-read dose was completely correct,
12 and just accepting that, you try to estimate
13 by how much the original reading was in error.
14 And that error varied from one year to the
15 next.

16 For instance, Los Alamos ^ seemed to
17 do a fairly good job, in some of the time at
18 least, and those errors are not very large in
19 the '59 to '64 period. In the '66 period, the
20 errors ^ some of the years, and I think as was
21 discovered in the NDRP the individual reader
22 errors were dependent on experience and at the
23 time they were not corrected. So you tended
24 to have a fluctuation in the errors of the
25 original readings.

1 And what we're talking about is what
2 can you do to correct the original readings,
3 and as Roger mentioned and as is documented in
4 the minutes, either of the one of the two
5 calls in the last week, is that the ^ decided
6 that they really couldn't do anything to
7 correct the errors of the badges that they
8 could not find. That's why they left them
9 alone.

10 **DR. ULSH:** Yes, I think it's safe to say,
11 Arjun, that that was done in the NDRP in an
12 overabundance of caution because you have to
13 realize that what they were trying to do here
14 was recalculate, readjust a dose of record.
15 So they were very conservative about messing
16 with the original dose. I mean, if they had
17 significant, if they had enough data to do it,
18 they did it.

19 But in terms of what we do here, I
20 mean, we might, you've given me some numbers.
21 You said that it can be, I don't know, 100
22 percent or 50 percent. I think Ron put some
23 numbers out there. So I mean, we might, I
24 would encourage you to look at what we've done
25 in the TBD if you haven't already and send

1 over what you guys have done, and we'll take a
2 look at that.

3 But I think at the end of the day if
4 it's just an argument about numbers, should it
5 be a factor of two or a factor of ten or a
6 factor of whatever, that's not necessarily an
7 SEC issue. That is simply a TBD issue. What
8 number should be applied? I can't go any
9 farther than that without seeing your data.

10 **MR. BUCHANAN:** Could I ask for
11 clarification? I believe in the TBD the
12 factor of up to two is applied because of the
13 NTA film's lack of response below the 700 keV
14 threshold was the main reason for putting
15 those in and building specific.

16 **MR. SHARFI:** That's a separate adjustment
17 factor on top of that. There is an adjustment
18 for the low energy less than 700 keV.

19 **MR. BUCHANAN:** Right, and that's around a
20 factor of two.

21 **MR. SHARFI:** In addition to there's a --
22 it's in Section 6.7.3.4 which talks about the
23 correction for neutron film reading
24 deficiencies. And there is a separate issue
25 for the low energy neutron energy range that

1 also gets applied on top of these original re-
2 reads. So, yes, TA could do adjustments up to
3 a factor of four to eight, depending on if
4 they're falling into both categories
5 certainly. I was describing just the
6 deficiency part of it.

7 **MR. GRIFFON:** My feeling is this is news. I
8 mean, I didn't know that there was this
9 adjustment factor so I think we should, for
10 that one issue I think it would be useful for
11 SC&A to reflect on that in the TBD, and --

12 **DR. ULSH:** And send us your analysis so we
13 can reflect on that.

14 **MR. GRIFFON:** Right, right, right, but
15 that's --

16 **MR. SHARFI:** So a factor of ten ^ order of
17 magnitude between recalculated and the
18 original, unless you're talking about like go
19 down in the ^ where you're talking about right
20 near the LOD where I could see large --

21 When you get to the larger doses I
22 don't know if I've seen factors of ten where
23 the original dose was like 500 millirem, and I
24 usually see five rem in re-read. But I could
25 see that when the original dose was ten

1 millirem and now you see 100, you're getting
2 down to the error of the dosimetry itself.

3 **MR. BUCHANAN:** Just for the records can you
4 summarize briefly when the, what years and
5 when the dose reconstructor would apply a --

6 **MR. SHARFI:** Anytime there was original,
7 non-adjusted NDRP dose, then these factors
8 then would be applied onto the original
9 portion of the NDRP dose.

10 **MR. BUCHANAN:** For what years?

11 **MR. GRIFFON:** For all years.

12 **MR. SHARFI:** For all years.

13 **MR. BUCHANAN:** 'Fifty-two through '69?

14 **MR. SHARFI:** 'Sixty-nine, '70, yeah.

15 **MR. BUCHANAN:** But the neutron threshold was
16 apparently corrected in the later years.

17 **MR. SHARFI:** Correct, that's for certain
18 years. I have to go back to look up on that
19 issue when those apply.

20 **DR. ULSH:** Because that's a separate
21 correction.

22 **MR. SHARFI:** Yeah, that's a separate
23 correction. There are two separate
24 corrections.

25 **DR. MAKHIJANI (by Telephone):** Let me throw

1 some numbers into this mix that are from real
2 readings. So you have an original reading of,
3 in 1965, of 517 millirem, and a re-read value
4 of 3,267 millirem with a variance of 204.
5 Then one right below it there's one of 515
6 with ^. You know, one is about a factor of
7 six and one is a factor of five for that same
8 original reading both of which are over the
9 LOD. You've got a hundred and odd millirem
10 that turns into 1,000 millirem. You've got
11 274 that translates into 1,249. You've got 43
12 that become 58, so those are some examples of
13 order of magnitude type of changes in non-
14 trivial doses. And there's not just a
15 question of what ^ apply and picking something
16 that would cover because ^ methodology.

17 **MR. GRIFFON:** Arjun, are you on a speaker
18 phone?

19 **DR. MAKHIJANI (by Telephone):** No, I have
20 headphones.

21 **MR. GRIFFON:** Okay, because we're losing
22 you. We had a better connection before and
23 now you're crackling.

24 **MS. MUNN:** You're very staticky, and you're
25 also very soft. We can't get the volume up

1 any higher.

2 **DR. MAKHIJANI (by Telephone):** I'm sorry.
3 Maybe I will dial back in so I don't have
4 headphones. I thought this would be easier
5 because I can mute this, and I couldn't mute
6 my other phone.

7 **DR. WADE:** Why don't you try dialing back in
8 with a handset.

9 **DR. MAKHIJANI (by Telephone):** Okay, I'll
10 just hang up and dial again. I'm sorry.

11 **MR. GRIFFON:** My sense is just that I wasn't
12 aware.

13 I don't know if, Ron, if you were
14 aware of it. I mean, I think we need to, we
15 should look at that and the question of
16 whether the factor's appropriate or not. I
17 think you have to look at the factor first to
18 determine that. So I think you need to look
19 at that closer. But that's for the non-re-
20 read. There's other issues that we need to --

21 **DR. ULSH:** Right, the non-re-read dose I
22 think is a fairly small problem in terms of
23 the number of people that it affects, the
24 number of films.

25 **MR. GRIFFON:** Right, although there's a

1 couple of years when it gets pretty
2 significant, but, yeah, overall that's, so
3 that's related to that one issue.

4 **MR. SHARFI:** When he talks about a factor of
5 four or five and I'm just talking about
6 discrepancy, there is the other issue of the
7 low energy photon I believe was corrected in
8 the re-read that if you applied both of them,
9 you might be looking in more of a factor total
10 that will compensate --

11 **DR. MAKHIJANI (by Telephone):** Hello, I'm
12 back I think.

13 **MR. GRIFFON:** That's much better.

14 **MS. MUNN:** That's so much better.

15 **DR. MAKHIJANI (by Telephone):** I'm sorry
16 about that. I thought I was making it better,
17 but I made it worse.

18 Anyway, I was ^ a magnitude ^ is a
19 factor of five and seven changes in the mid-
20 '60s in the re-read dose from a base that's
21 non-trivial, that ^ rem. But the point I
22 wanted to make is it's just not a question of
23 calculating the ratios and picking a number if
24 there's some scientific basis on which we can
25 pick that number because these things vary

1 from one year to the next.

2 And I think that is a problem because
3 I think the main source of this error, at
4 least if the NDRP record I understand
5 correctly, was individual reading errors and
6 the experience of the individual reader. And
7 at this time we have essentially no
8 information about who was reading these badges
9 originally, and what their errors might have
10 been in the badges that are missing.

11 **DR. ULSH:** I just don't think we're able to
12 say much more, Arjun, without getting your
13 data and taking a look at it.

14 **DR. MAKHIJANI (by Telephone):** Yeah, I mean,
15 I was reading directly from the O drive data.

16 **MR. GRIFFON:** I think, Mutty, you might want
17 to repeat because Arjun didn't hear the one
18 statement about the --

19 **MR. SHARFI:** The re-read I think also
20 accounts for the low energy neutron that
21 wasn't a capture, so when you're comparing the
22 factors, I think you have to take both
23 factors. I believe the low energy neutron's a
24 factor of 2.5, and I guess that sort of
25 combined together you could see, we could end

1 up multiplying a factor of five to the
2 original neutron dose.

3 **DR. MAKHIJANI (by Telephone):** But then you
4 should see a consistent ^ for the low energy
5 neutron adjustment, but you don't see that.

6 **MS. MUNN:** A consistent what? You broke up.

7 **DR. MAKHIJANI (by Telephone):** If there's a
8 piece of the adjustment factor that relates to
9 the low energy neutron adjustment, then you
10 should see a consistent factor of, say, two
11 that is there at least in all the re-read
12 doses, but you don't see that. You'll see re-
13 read doses that have smaller corrections.

14 **MR. SHARFI:** Correct, because you have to
15 consider that we take a factor 2.5 because
16 that's the most conservative underestimate
17 based off the percentages of neutrons you'd
18 expect under 800 keV. In some cases you would
19 expect a much lower correction factor if you
20 went building by building and type of material
21 specific which the NDRP project probably went
22 into a more detailed scenario of where that
23 person was working in the case like a
24 plutonium ingot versus molten salt or
25 something like that. That means you're going

1 to see different low energy neutron
2 composition.

3 What we did is we took the worst case
4 scenario and then applied the, which would
5 have been the 2.5. In most cases we're
6 attempting to put you at that upper bound
7 instead of trying to find possibly a lower
8 correction factor.

9 **DR. MAKHIJANI (by Telephone):** I think we
10 would need Roger's help here. I do ^ NDRP did
11 a job type analysis in the re-evaluation.

12 Roger?

13 **MR. FALK (by Telephone):** That is right.

14 **MR. SHARFI:** It's by building.

15 **MR. GRIFFON:** By building, right? Yeah,
16 you're --

17 **MR. SHARFI:** Seventy-one out of ^ versus 707
18 had different lines. So based off the
19 building --

20 **MR. GRIFFON:** Right, it's building not job
21 type. You're right. You're right, Arjun.
22 But I think at this point I don't know that we
23 can take this much farther without just asking
24 SC&A to address the factors that you discussed
25 in 6.7.3.4 and see where you come out on that.

1 I mean --

2 **DR. ULSH:** And we've got to see what SC&A's
3 going to produce, quickly.

4 **MR. GRIFFON:** Right, it's got to be quick.

5 **DR. ULSH:** It's a very technical issue, and
6 we're going to need some time to respond to
7 it.

8 **MR. GRIFFON:** I know, but I think they have
9 to look at the numbers and how they're
10 applied. I mean, doing a real-time out loud
11 here, I'm not sure we're going to get much
12 further.

13 **DR. MAKHIJANI (by Telephone):** And if I
14 might ask Ron to give me a buzz on my cell
15 phone at lunch we can make some progress
16 because I need to talk about numbers with him.
17 Sorry to say this on the record.

18 **MR. GRIFFON:** So then -- go ahead.

19 **DR. ULSH:** We talked about two issues. We
20 talked about what to do about badges that were
21 not re-read, and then I've given you a
22 response on neutron to photon ratio. Do you
23 want to talk about that some more?

24 **MR. GRIFFON:** Yeah, Arjun, the question of
25 neutron to photon back extrapolation of the

1 ratio?

2 **DR. MAKHIJANI (by Telephone):** Yes. There
3 are a lot different issues with that. First
4 of all the underlying assumption is that you
5 can calculate an average building ratio and
6 apply it to an individual. And the second
7 underlying assumption is that neutron doses
8 are proportional to photon ratios; that's why
9 you use a constant factor.

10 If you look at the evaluation of
11 different methods of neutron to photon ratio
12 calculation that could be done with the same
13 data which is there in the Stanfield thesis
14 which was done using the pilot ^ provided to
15 Stanfield by Rocky Flats, you find that
16 depending on how you calculate the neutron to
17 photon ratio, you can get an order of
18 magnitude difference in the ratio.

19 And there's no really clean way of
20 aggregating this data. I'm not talking about
21 differences in individual paired ^ badge
22 readings. I'm talking about aggregated data.
23 So for instance, if you look at the scatter
24 plots of neutron to photon ratios, either
25 annually aggregated or in matched pairs,

1 you'll find there's very little correlation.

2 In the pilot study that was done by
3 Rocky Flats and where Roger was involved ^
4 stratify, if you stratify the data by gamma
5 dose and say less than 50 people who had less
6 than ^ what would the neutron/photon ratio be.
7 And more than 50 millirem but less than 100,
8 more than 100, you get very different results.

9 If you look at scatter plots, you find
10 that neutron doses are concentrated in a
11 rather low band of gamma doses, but then there
12 are also people with very low neutron doses
13 who have quite high gamma doses, and then
14 you've mixed all of those things up. And it
15 appears to me that building N/P ratios are
16 useful for calculating population doses but
17 not individual doses. I think the underlying
18 methodology is open to question even for the
19 years in which it was applied.

20 And I think the terminology was not,
21 scientists don't use the word speculative very
22 easily. They use the word uncertainty when
23 they can realistically, actually put a number
24 on it, and that defines a kind of scientific
25 representation of what you can say about a

1 particular number. In a scientific context
2 when you say speculation, there's a ^ which
3 you're throwing up your hands.

4 And if you read the NDRP report, it
5 does say that the piece of the notional dose
6 that is calculated based on the neutron to
7 photon ratio is the more variable part. And
8 the back extrapolation is considered as the
9 more speculative part the farther back you go.

10 I think that's, in my opinion, when I
11 look at the sum total of everything, I'm not
12 saying it isn't the best shot or a very well
13 thought through scientific approach, but you
14 have, you're dealing with thin gruel, and you
15 can't get more calories out of than are there.

16 **MR. SMITH (by Telephone):** This is Matthew
17 Smith on the phone with the ORAU project.
18 Just to add to that, the final that was on
19 that conclusion regarding the uncertainty of
20 notional dose in the NDRP report, it states,
21 "To reflect this uncertainty methodological
22 choices have been made at every stage of the
23 analysis that will tend to overstate ^ the
24 claimed variability of the estimates."

25 And it's important to note that we do

1 take that uncertainty that's reported by the
2 NDRP data, and we work it through our
3 calculations and into the IREP input that we
4 use to calculate POC. And that's all I have
5 to say.

6 **DR. ULSH:** I don't think it's going to be
7 worthwhile to get into a debate of what the
8 meaning of speculative is. I mean, you read
9 what Roger said he meant by that, and that
10 stands.

11 **DR. MAKHIJANI (by Telephone):** And when we ^
12 so that's why we've tried to do these
13 quantitative exercises, as I say, you know, we
14 will publish this as soon as we possibly can,
15 but I'm sharing with you, you know, these un-
16 QA'd numbers. And we did try to verify
17 whether the calculated doses from N/P ratios
18 are comparable to the measured dose, and in
19 eight out of 12 cases we found that they were
20 considerably short. In some cases they were
21 22, 39, 49 percent.

22 I mean, those are the lowest numbers,
23 and that is well short of the measured dose.
24 Now this isn't a statistically significant
25 analysis, but they were randomly chosen

1 workers with significant monitoring data. So
2 we're not able to verify that the actual
3 application of N/P ratios calculated on a
4 building basis actually works.

5 **DR. ULSH:** Well, I appreciate your sharing
6 your preliminary results with us, Arjun. I
7 mean, obviously, I can't comment on in detail
8 because I haven't seen what you've done. But
9 I can say though that the NDRP itself did
10 exactly this kind of analysis with a
11 statistically significant population, and they
12 picked the method of calculating N/P ratios
13 that agreed, had the smallest error when you
14 compared to actual measured neutron doses.
15 Beyond that I can't really say much without
16 seeing your analysis.

17 **DR. MAKHIJANI (by Telephone):** I'll also not
18 say what is in process in terms of our
19 statistical evaluation. But during one of the
20 calls I did raise a question about the whole
21 statistical model that was adopted by the
22 NDRP. Now I sent off my reading of that to
23 our statistician, Harry Chmelynski.

24 **DR. ULSH:** I think you said he sent off his
25 reading of this model to the statistician.

1 **MS. MUNN:** Some statistician.

2 **DR. WADE:** Arjun, we've lost you.

3 **DR. MAKHIJANI (by Telephone):** I'm very
4 sorry. I have a landline and a handset, and I
5 don't know what I'm doing wrong here.

6 **DR. WADE:** Well, you're probably doing
7 nothing wrong so just --

8 **DR. MAKHIJANI (by Telephone):** I'll try to
9 speak as loudly as I can. I'm sitting in a
10 room by myself with a closed door. Is that
11 better?

12 **MS. MUNN:** Your volume is fine.

13 **DR. WADE:** There is interference
14 periodically. I don't think it's you so
15 please persevere with us.

16 **MR. BROEHM (by Telephone):** And this is
17 Jason. I'm hearing Arjun just fine on my end.

18 **DR. MAKHIJANI (by Telephone):** Yeah, I
19 believe that the interference is not coming
20 from my phone.

21 **DR. WADE:** I think you're right.

22 **MS. MUNN:** Probably here.

23 **DR. WADE:** Go ahead.

24 **DR. MAKHIJANI (by Telephone):** As I said I
25 have a question about the statistical model

1 both in relation to the way the errors,
2 expected values of the errors in the true
3 value of the dose and the measured value of
4 the dose and in the model for how the variance
5 was calculated for the notional doses with
6 using these N/P ratios, that piece of the
7 notional dose. Now I have some experience in
8 statistics, but I'm not an expert and so I
9 have sent it off to our statistician, Harry,
10 and he has not yet had a chance to get back to
11 me about that.

12 **MR. BUCHANAN:** I would like to make one
13 question or clarification. The verification,
14 Brant, when you said that they did do some
15 comparison, that was the master's thesis, and
16 he did 71 and then a group that was in the
17 late '60s and compared 50 workers or
18 something. But that was the qualifying I'd
19 like to put on it, right? Is that correct?

20 **DR. ULSH:** I'm not sure that I agree
21 entirely, and I might need to be corrected.
22 The way you described Stanfield's thesis is, I
23 think, accurate. But I'm talking about
24 Appendix 4 of the NDRP where Dr. Chapman's
25 analyses are presented. And I'm talking about

1 the simulation studies where they compared
2 various different methods for predicting the
3 neutron. They took matched pairs. You had a
4 gamma; you had a neutron. And they compared
5 several different ways of predicting a neutron
6 dose and compared it to the actual measured
7 neutron dose and picked the one that had the
8 smallest relative error. So I'm not talking
9 about Stanfield's thesis on that.

10 **MR. BUCHANAN:** That was for later years
11 though, right?

12 **DR. ULSH:** Oh, I don't really remember the
13 details, Ron. I'm not sure.

14 **MR. GRIFFON:** Can I just, I think Matt Smith
15 was on the phone and the point you made, Matt,
16 I think was when you're doing the dose
17 reconstructions, you do add that 95th
18 percentile or you consider it? I'm not sure I
19 understood exactly how that's used in IREP --

20 **DR. ULSH:** Matt, are you still out there?

21 **MR. SMITH (by Telephone):** Yeah, I'll pick
22 up the handset. When you look at those NDRP
23 sheets, you'll see that there's an error value
24 associated with the total dose. That kind of
25 error is carried forward ^ and then it

1 eventually ends up in IREP as well.

2 **DR. MAKHIJANI (by Telephone):** But you use a
3 full distribution, not the 95 percentile
4 value.

5 **MR. SMITH (by Telephone):** That's correct.
6 For someone who has NDRP data. It's being
7 applied as a distribution.

8 **DR. MAKHIJANI (by Telephone):** So actually
9 when you apply it as a distribution, don't you
10 wind up with some negative dose values because
11 you used, you're using a normal distribution
12 with a plus or minus that carries you into the
13 negative dose range?

14 **MR. SMITH (by Telephone):** That would be a
15 question regarding how IREP operates under the
16 hood, and that is not my area of expertise.

17 **DR. NETON:** It's quite possible.

18 **DR. ULSH:** Well, I don't think that we,
19 Arjun, we never really go in and explicitly
20 calculate individually the neutron dose by
21 year. We put the neutron dose by year into
22 IREP with the distribution along with all of
23 the other doses in the IREP input spreadsheet,
24 and it runs through the calculation. And then
25 we pick the 99th percentile credibility limit.

1 **DR. MAKHIJANI (by Telephone):** Yeah, I
2 realize that. I know that that's how it's
3 done. I'm just mentioning this as this is a
4 direct consequence of the statistical model
5 that was selected because when you do it that
6 way it is going to take you into negative dose
7 ranges in some cases.

8 **DR. NETON:** I'm not sure, Arjun, whether
9 IREP would truncate that as zero. It may
10 well.

11 **DR. MAKHIJANI (by Telephone):** I don't know.

12 **DR. NETON:** I know it does with the risk
13 models. It won't allow the risk to go below
14 zero, but I'm not sure about what it does with
15 the dose calculations. But nonetheless, I
16 mean, if the distribution's a distribution, I
17 mean, it's the range of expected values. And
18 I don't see anything wrong necessarily with a
19 negative dose.

20 **DR. MAKHIJANI (by Telephone):** Some of the
21 range of negative expected values are
22 physically impossible.

23 **DR. NETON:** Not when you can do measurements
24 and you subtract background, no. I mean, if
25 you put a number of measurements and average

1 them, if you take out the negatives, you're
2 biasing your values.

3 **DR. MAKHIJANI (by Telephone):** This is not
4 about measurements. This is about a model
5 that is leading you into considerable negative
6 dose territory, not --

7 **DR. NETON:** But I think in reality it is
8 possible to measure a negative dose because of
9 statistical distribution. So that's
10 consistent with reality.

11 **DR. ULSH:** Yeah, it would not be
12 considerable. It would be way out at the
13 tail.

14 **MR. SHARFI:** You'd have to be way out at the
15 tail to get to the negative values. I mean,
16 you're talking three, four standard deviations
17 out, 99th percentile, 99.9 percentile.

18 **DR. ULSH:** But anyway, I don't know if it's
19 --

20 **MR. GRIFFON:** No, I think we're getting
21 beyond our discussion here. But the only
22 thing I was going to -- in your dose
23 reconstructions though, you would enter this
24 dose as a normal distribution?

25 **MR. SHARFI:** Correct.

1 **MR. GRIFFON:** Yeah, okay, so you don't
2 modify that in any way.

3 **MR. SHARFI:** Correct.

4 **MR. GRIFFON:** Arjun, do you have anything
5 more on --

6 **DR. MAKHIJANI (by Telephone):** No, you know,
7 I'd be happy to answer questions. I mean,
8 I've given sort of as much of a review of our
9 analysis as I could in some detail, but I'd be
10 happy to answer questions and invite Ron to
11 talk more about the data. I mean, I don't
12 know. We just have to complete our work and
13 share it with all of you as soon as possible.

14 **MR. GRIFFON:** The only thing I think you
15 might want to speak to is the back
16 extrapolating the N/P ratio from '59. Brant
17 gave some arguments about how the process and
18 source term would not have affected that. I
19 think you've said some different things on
20 some of the technical calls so I just wanted
21 to, I think you should share that for the
22 record.

23 **DR. MAKHIJANI (by Telephone):** Yeah, let me
24 find my draft here.

25 **DR. ULSH:** While he's looking, Mark, we

1 should probably talk about the validation
2 question that just occurred to me. We haven't
3 talked about that.

4 **MR. GRIFFON:** Right.

5 **DR. MAKHIJANI (by Telephone):** Do you want
6 to go ahead while I find my stuff here.

7 **MR. GRIFFON:** I guess there was a question
8 that came up on the technical calls that we
9 had about, and Arjun raised it in his
10 description of the work we've done, that prior
11 to '59, and I think Roger sort of supported
12 this, that while the NDRP Project made
13 extensive efforts to kind of try to validate
14 the neutron/photon ratio based on field-type
15 data, and Roger basically concluded that it
16 wasn't available.

17 **DR. ULSH:** Yeah, this question has been
18 raised by SC&A in the conference calls and
19 maybe in the draft report. I've seen it
20 somewhere. And that question has to do with
21 what they call validating or benchmarking the
22 data. And I'm going to rely on Ron and Arjun
23 to correct me if I've got a misinterpretation
24 of what you mean by that.

25 I saw it in the context of using field

1 measurements, neutron and gamma surveys in
2 particular areas to compare to the NDRP. Is
3 that kind of what you're thinking about, those
4 kinds of comparisons?

5 **MR. BUCHANAN:** That's what we'd like, but we
6 found out that wasn't available.

7 **DR. MAKHIJANI (by Telephone):** Well, there
8 are two types of validation, you know, like
9 what we're doing in the '60s is we have data
10 from exposed workers that have neutron and
11 photon measured data in the various buildings.
12 And so we can actually do the kind of the
13 comparison that we talked about is assume we
14 don't have a neutron dose and estimate it
15 using the method and compare it, the kind of
16 validation that was done by the NDRP and by
17 Stanfield and so on.

18 For '52 to '58 the only available
19 method is to compare it to Building 91,
20 measured neutron doses, because there's very
21 little data, almost no data from Building 71.
22 There's a little bit but almost none.

23 And since the job types were different
24 and since the maximum exposed workers in many
25 cases were in Building 71 best as we can tell,

1 there's no, in terms of estimated dose,
2 there's no actual measurements ^ against which
3 you can validate your model. So that leaves
4 you with area neutron measurements and those
5 also do not exist.

6 And since the building ratio is an
7 average ratio, yes, you have some workers
8 following a badge, but not all workers are
9 doing the same thing. And the Stanfield
10 thesis which looked at this question, even for
11 '59 to '66 in that pilot study, found that
12 there is a difference by job type. So that
13 back extrapolating from a limited set of '59
14 data which is, which has some questions even
15 for 1959.

16 So it's not as if we're taking a 1959
17 dataset that is complete and that we know has
18 a certain amount of integrity in terms of job
19 coverage and back extrapolating that. We're
20 not, we don't have by job analysis in 1959.
21 We have a limited amount of data. Most of the
22 doses in 1959 that are high were calculated
23 notional doses for workers who were not
24 monitored, and we're taking the limited data
25 available for paired data in that year and

1 back extrapolating it into a different time.

2 For workers whose exact jobs and how
3 they followed, how much time they spent, for
4 instance, in the early years, you would have
5 workers with less experience. As they became
6 more experienced, they would do different jobs
7 differently, more efficiently. The amount of
8 experience that they would get in different
9 jobs would vary. So even if the process is
10 the same, it's not at all guaranteed that when
11 you've got a start up plant, that you're not
12 going to have many workers who have
13 difficulties or following through the batch or
14 the processes may take longer.

15 So none of these things can actually
16 be validated by any piece of measurement that
17 we have, and that makes back extrapolation
18 very iffy even leaving aside all the
19 methodological questions like assuming a
20 constant proportionality for a period of seven
21 years between neutron and photon exposure for
22 all workers.

23 **DR. ULSH:** Well, let me talk about 1959
24 first because you said that there wasn't much
25 data there.

1 **DR. MAKHIJANI (by Telephone):** I said it was
2 limited.

3 **DR. ULSH:** Okay, sorry. It was limited.

4 In 1969 in Building 71 --

5 **MR. GRIFFON:** 'Fifty-nine you mean?

6 **DR. ULSH:** 'Fifty-nine. I'm sorry. Thank
7 you. Nineteen Fifty-nine in Building 71 I see
8 310 people counted for gamma and 160 counted
9 for neutron. And in 91, I'm sorry, Building
10 91 in 1959 I see 216 people counted for gamma
11 and 88 counted for neutron. So that is what
12 it is. I'm not going to offer a qualitative
13 judgment on this. That's just, those are the
14 numbers.

15 Now, yet we did talk about, I mean, I
16 talked about the job philosophy in terms of
17 people following the batches through the
18 process, all the way through the process and
19 that did not change. Now could there be some
20 changes because workers learned their job?
21 Yeah, that's not quantifiable. I mean, that's
22 true not only for the '50s, that's true for
23 any time when a worker starts.

24 Those are the kinds of factors that I
25 don't think you can really get a quantitative

1 estimate on. We don't do it anywhere else in
2 the program. That's why we use the 99th
3 percentile credibility limit to cover
4 situations, those types of factors that are
5 not quantifiable.

6 So, yeah, Arjun, I agree with you. I
7 mean, you can't, there's no way to evaluate
8 that, but that's not limited to this
9 particular situation. That's true everywhere.

10 **DR. MAKHIJANI (by Telephone):** No, I
11 disagree with that. I think it's not just any
12 time period we're talking about. We're
13 talking about the first time period when Rocky
14 Flats went into operation so that you had
15 workers who were doing industrial
16 manufacturing processes for nuclear weapons on
17 an assembly line basis for the first time
18 ever. This had not been done anywhere so yet
19 you're taking processes that were custom
20 processes at Los Alamos and Hanford and
21 translating them into mass manufacturing
22 processes.

23 And so you have workers who are
24 necessarily doing something that was unique,
25 where you don't have an experienced

1 population. And then ^ a relatively
2 experienced population because as I understand
3 it there are no ^ very high from 1959 and back
4 extrapolating that. Now it is not
5 quantifiable, and that's exactly part of the
6 problem here.

7 I do not believe that the appeal to
8 the 99th percentile in IREP has anything to do
9 with it. That's simply part of the law. This
10 has something to do, once the dose is
11 calculated, this has something to do with how
12 the dose is calculated. It has nothing to do
13 with the 99th percentile.

14 **DR. ULSH:** Well, it's also not clear to me
15 how the -- well, first of all, how long does
16 it take to learn a job. I mean, okay, you can
17 maybe make an argument for '52, maybe '53, but
18 the next thing is how does getting more
19 experience change the neutron to photon ratio?
20 I just don't see that.

21 **DR. MAKHIJANI (by Telephone):** The number of
22 workers was going up, and I have looked at
23 many of the job cards as part of our
24 evaluation of the gamma and beta dose
25 completeness. And as Roger had said, there

1 were people applying to work there. The
2 workforce was growing, and very often people
3 would start in non-uranium work or janitorial
4 work, and they would be promoted. And this
5 happened within a period of months very often,
6 and a promotion was very often into the
7 plutonium area. So this was happening
8 throughout the '50s. So it's, I don't have an
9 analysis of this problem, and if NIOSH has an
10 analysis of the problem, you know, we should
11 have it.

12 **DR. ULSH:** No, I --

13 **DR. MAKHIJANI (by Telephone):** It's a
14 difficulty with, it's one of the difficulties
15 with back extrapolation. The other ^ don't
16 have a job type analysis. Not everybody was
17 following the batch in the same way. They
18 were different, and the Stanfield thesis when
19 it looked at these nine different methods,
20 came up with nine different answers for
21 neutron to photon ratios.

22 And minimizing variance in a
23 particular model doesn't guarantee you that
24 you're going to calculate a bounding dose.
25 That just says that the error is smallest for

1 the method you've chosen. It says absolutely
2 nothing about the adequacy of the method for
3 producing a bounding dose.

4 **DR. ULSH:** We're not required to produce a
5 bounding dose.

6 **DR. MAURO (by Telephone):** Brant, this is
7 John Mauro. Can you folks hear me okay?

8 **DR. ULSH:** Yes, sir.

9 **DR. MAURO (by Telephone):** I've just got a
10 factual question. In those 100 and so paired
11 neutron to photon ratios collected for the two
12 buildings in 1959, let's say we have one
13 building, and you have a set of, you mentioned
14 on the order of about 100 or so, individual
15 measurements. And if when you take those
16 individual measurements, you get a range of
17 neutron to photon ratios from a low to a high.

18 Then for that building when you go
19 ahead and back extrapolate, do you use the
20 full distribution of the neutron to photon
21 ratio to apply to the gamma dose, let's say
22 for the 1952 person, or do you use the upper
23 95th percentile or in other words,
24 mechanistically, when you are going to apply a
25 neutron to photon ratio using the 1959

1 experience to an earlier time period, do you
2 work with the full distribution, the median,
3 the upper 95th percentile of those individual
4 paired values?

5 **DR. ULSH:** John, I'm going to take a shot at
6 answering your question and rely on other
7 people to correct me if I'm wrong. But I
8 believe that the NDRP provides distributions
9 of the neutron to photon ratio. Now, am I,
10 anyone want to correct me?

11 **DR. MAKHIJANI (by Telephone):** As I read it
12 a constant number is used and is not
13 calculated in the way that John suggested.

14 Roger, correct me if I'm wrong, but
15 the way I believe NDRP wound up calculating it
16 was summing all the neutron doses and summing
17 all the gamma doses and taking a single ratio.
18 Roger?

19 **MR. FALK (by Telephone):** Yes, that is the
20 way that the ratios were determined.

21 **DR. ULSH:** Okay, I stand corrected. Thank
22 you.

23 **DR. MAURO (by Telephone):** No, that's very
24 useful because then, now I understand. So in
25 effect the ratio that was selected is sort of

1 an aggregate. Now, in the way I look at a
2 problem like this is in the aggregate, that
3 number might work.

4 What I mean by that is let's say we
5 have a person that worked in 1952 through
6 1956, and there's reason to believe he worked
7 in a lot of different functions which means
8 that his experience in each change out of his
9 gamma dose is going to reflect the cross-
10 section of neutron to photon ratios that, and
11 not any one extreme.

12 We've had this theme before. So the
13 way I look at it is if, in fact, you have an
14 aggregate ratio, and then you're going to
15 apply it to an individual, and I understand
16 Arjun's concern in going from an aggregate
17 number which is really a population number to
18 now I want to apply it to an individual.

19 Now I would agree entirely with Arjun
20 that if you were going to try to apply that
21 aggregate ratio to a single change out for one
22 person in let's say one month in 1952, there
23 would be a real problem with that. But if
24 you're going to apply that aggregate ratio to
25 a person's, let's say to several hundred

1 change outs that he may have experienced over
2 the course of many years, and there's reason
3 to believe that he had very varied experience
4 by way of the nature of his exposures in those
5 early years, then all of a sudden ^ the ring a
6 little more true.

7 But if it's plausible that the person
8 in the early years that you're trying to
9 reconstruct ^ may have worked at a single
10 location in a particular job where he
11 consistently was exposed to let's say more of
12 the higher end of the neutron to photon ratio
13 as observed in your 1959 data, then I would
14 say that then we've got a problem.

15 So we're back to a question that we
16 have encountered before. There are times when
17 using an aggregate ratio will serve us well.
18 If the person that you're assigning it to we
19 have a good reason to believe he's experienced
20 a cross-section of the exposures. But if we
21 don't know that, and it's possible that that
22 individual over the time period for those
23 early years worked in one location or one job
24 function where it's not inconceivable that he
25 could have experienced the high end ratio,

1 then I think we've got a problem.

2 So that's how in listening to the
3 conversation here, that's where I come out as
4 what we need to discuss and what needs to be
5 addressed. Do you folks, I don't know, I
6 guess I'd like to hear a little perspective on
7 that way of looking at things. Am I looking
8 at it correctly?

9 **DR. NETON:** John, this is Jim. I think I
10 sense a slight shift here in the logic behind
11 the arguments. You know, at one point I was
12 getting the sense that SC&A was arguing that
13 the data are not sufficiently robust to do
14 anything. That's kind of the sense I've been
15 getting this morning.

16 But your suggestion that, you know,
17 it's a matter of picking the right dataset to
18 use to reconstruct the doses sort of shifts
19 the emphasis to imply that the data may be
20 sufficient to do dose reconstructions just
21 that maybe we're not going about it the right
22 way.

23 **DR. MAURO (by Telephone):** I don't want to
24 cut short the other aspect. In other words
25 I'm not saying, now there may be more issues,

1 there are multiple layers of issues.

2 **DR. NETON:** Right, but I'll tell you, I'm
3 not sure this discussion, the previous
4 discussion needs to be finished and agreed
5 upon before we go to whether it's the 95th or
6 the 50th percentile. We've been down that path
7 many times.

8 **DR. MAURO (by Telephone):** I just wanted to
9 get that clear in my mind. Now what I'm
10 hearing is notwithstanding that issue, and I'm
11 agreeing with you, Jim. What I'm hearing then
12 is that's not really where the issue lies
13 because that is a tractable problem if it's
14 just a matter of judging whether we should
15 operate off the 95th percent. Now what I guess
16 I'm not fully understanding is that there are
17 other issues that are ^ go beyond the matter
18 of whether we should be picking off the 95th
19 percentile off a full distribution of paired
20 numbers. There are other aspects to what has
21 transpired that undermine that. And I guess
22 for my own benefit if I feel I suspect other
23 people have experienced it also, there are
24 other aspects or nuances to this extrapolation
25 problem that ^ ^ just raised.

1 **DR. MAKHIJANI (by Telephone):** John, we
2 don't even have a 95th percentile for neutron
3 to photon ratios in the way things were done.
4 So, yeah, there are a lot of prior questions.

5 **DR. NETON:** Well, it could be calculated,
6 Arjun.

7 **MR. SHARFI:** The NDRP is --

8 **DR. MAKHIJANI (by Telephone):** We're just
9 addressing what was done in the NDRP project
10 and how ^ was set up, and what the critique of
11 that is and the centrality of this. Now, they
12 chose this one method out of, I don't know,
13 nine different methods that are mentioned here
14 that I'm looking at. Let me see how many
15 there are. I can tell you how many there
16 were. One, two, three, four, five, six, seven
17 ^, nine different methods that were tried to
18 calculate the same number with nine quite
19 different results.

20 And so there are a lot of prior
21 questions, and there is a problem in that I
22 didn't say ^. It seemed to me that N/P ratios
23 that are aggregated by building would give you
24 a good idea of what was the typical average
25 dose in that building. But under 42-CFR-83,

1 that's not the question you're trying to
2 answer.

3 **MR. GRIFFON:** Mutty had something to say
4 here.

5 **MR. SHARFI:** Arjun, the NDRP study did
6 provide a 95th percentile notional dose.

7 **DR. MAKHIJANI (by Telephone):** Yes, they did
8 provide ^ percentile on the notional dose.

9 **MR. SHARFI:** On the upper end of the --

10 **DR. MAKHIJANI (by Telephone):** Right, but
11 based on a ^ the N/P ratio was calculated as a
12 single number based on a particular
13 aggregation of neutron and photon doses.

14 **DR. ULSH:** Building specific, I mean --

15 **DR. MAKHIJANI (by Telephone):** No, no, I'm
16 not saying, I'm just trying to be clear about
17 what was done. That's all.

18 **DR. MAURO (by Telephone):** For clarity
19 purposes just so I think to understand where
20 we are, what I'm hearing is in 1959 for two
21 different buildings we have 100 or on that
22 order individual paired measurements that we
23 believe are robust. They're reliable ratios.
24 In other words those 100 or so measurements
25 for a given building are, those individual

1 measurements are something that are robust
2 numbers for that year.

3 Now the idea being now, and the
4 question I guess I want to put on the table
5 is, given that we have those numbers for that
6 building, those 100 numbers for 1959, I guess
7 I'd like to hear a little bit more about, and
8 maybe this has been covered, but what is it
9 about that that creates a situation that we
10 may still not necessarily be able to
11 reconstruct the neutron to photon ratios that
12 might, that one individual might have
13 experienced in a given year.

14 In other words what I'm hearing is
15 that there might be a problem being able to do
16 that. That is, taking that full distribution
17 of 100 paired numbers and say here's the range
18 of neutron to photon ratios, and now somehow
19 I'm going to use that data to somehow figure
20 out a claimant favorable, but still plausible,
21 upper bound on what the neutron to photon
22 ratio may have been for a given worker in,
23 let's say, 1952.

24 Could ^ or Brant, what are the
25 challenges associated with that because the

1 layers of problems the way ^ I've been
2 listening ^ complex that I'd like to hear just
3 a simple like what would it be about that
4 situation that would make it very difficult to
5 reconstruct the neutron exposure that that
6 particular person experienced, let's say, in
7 1952.

8 **DR. ULSH:** Well, I mentioned earlier the
9 questions that we considered when we were
10 evaluating whether or not this extrapolation
11 was a good thing to do. I'm not sure if
12 that's what you're talking about or not.
13 Those --

14 **DR. MAURO (by Telephone):** I'm asking a very
15 simple question that goes right to the, I've
16 got 100 paired measurements in 1959 for people
17 that worked in this building, given building,
18 and which give me my neutron to photon ratio
19 distribution for those 100 or so workers. Now
20 all of a sudden I have another worker that
21 worked in 1952 and all I'm really hearing is
22 that, well, let's see, can we use that data
23 somehow to figure out what the neutron dose
24 was to the person that worked in 1952 in that
25 building.

1 And what I'm really hearing is a
2 disagreement or there's some concern. In one
3 case someone says, yeah, I think we can do it.
4 And the other person's saying, well, there are
5 a lot of things that are still uncertain here
6 that I'm not sure whether you can do it.

7 And I guess I'm really bringing it
8 down to if I were about ^ understand where the
9 problem lies in being able to go from the 1959
10 distribution of neutron to photon ratios back
11 to the 1952 given that I do have ^ exposure
12 for that fellow in 1952.

13 **DR. NETON:** The only argument I've heard so
14 far against that is Arjun's supposition or
15 assertion that workers would gain more
16 experience over time; and therefore, their
17 time at any different station might be
18 different between '59 and the earlier years
19 although I might argue that the time would be
20 slow and be ramped up equally among each of
21 the stations.

22 **DR. MAKHIJANI (by Telephone):** Well, that's
23 not the only argument. I mean, I have a whole
24 list of bullet points.

25 And John, you have an incomplete draft

1 in your e-mail to look at.

2 **DR. MAURO (by Telephone):** I understand. I
3 mean, I think that I am listening as everyone
4 else is around the table, and I'm starting to
5 understand what the -- I guess I'd like to
6 understand more given that starting point, but
7 I ^ really fundamental and you'd understand.
8 I'd like to get a better appreciation of the
9 other dynamics at work here.

10 Jim had just mentioned one, and you
11 had mentioned one, Arjun, the fact that
12 there's experience changes. What I heard is
13 that there were some, I'd like to hear a
14 little bit more of what are the other
15 challenges to be enabled to do that that are
16 before us, that when we engage in this ongoing
17 dialogue that will continue certainly after
18 this meeting, what will that be about? What
19 are these other challenges?

20 **DR. MAKHIJANI (by Telephone):** Let me try to
21 give you an idea of, repeat some of the things
22 that I've said. I talked about the validation
23 of these doses and some way to find whether
24 the calculated doses are claimant favorable,
25 much less bounding or not. If for a period in

1 which you have measurements of real workers,
2 say, with particular job types, or even an
3 average, say, following a badge through, if
4 you define it as one composite job, when you
5 have neutron and photon measurements, you can
6 take the workers with paired measurements, and
7 you can also calculate doses using the
8 notional dose approach for those workers. And
9 you can validate whether your model is good or
10 not. For from '52 to '58 we have no
11 measurements for Building 71, and so it's not
12 possible to validate it that ^ measurements.
13 And so it is not possible to validate it that
14 way.

15 There's a question about the whole
16 neutron to photon ratio approach that is
17 raised in the NDRP itself is that this
18 approach has been chosen ^ out of one of many
19 different approaches that were examined, none
20 of which, some of which have advantages over
21 this one and disadvantages over this one.
22 This was selected to minimize the variance or
23 uncertainty in the results in a particular
24 statistical model --

25 **DR. NETON:** And I think we're not talking

1 about the NDRP model now. We're talking about
2 why we couldn't use these 80 or 100 data
3 points to back extrapolate.

4 **DR. MAKHIJANI (by Telephone):** I'm just
5 giving John the picture of why I think --
6 well, there's the underlying problem with the
7 model itself which is compounded by the back
8 extrapolation. That's basically what I'm
9 trying to explain.

10 **DR. NETON:** But John has suggested if you
11 threw away the model and you've got 80 to 100
12 data points, now what can you do? I mean, we
13 don't need to talk, rehash, what's wrong with
14 the NDRP model at this point is what John's
15 saying.

16 **DR. MAKHIJANI (by Telephone):** You don't
17 have any way to validate what you're going to,
18 whether the doses you're going to calculate
19 are bounding doses under the rule as I read
20 it.

21 **DR. MAURO (by Telephone):** This is very
22 helpful to me, I'll tell you why, because what
23 I'm hearing is that ^ have 100 measurements in
24 1959. And let's say someone would say, well,
25 let's just take the highest ratio ^ that turns

1 out to be or something at the 95th percentile,
2 and ^ that would place a plausible upper bound
3 on what some earlier worker might get.

4 What I'm hearing, Arjun, and I'm not
5 disagreeing with you; don't get me wrong. I
6 just want to understand what you're saying is,
7 well, that's not good enough. You've got to
8 do, in other words, we don't know if that
9 distribution that was captured in 1959 has, in
10 fact, any resemblance to the exposure
11 distribution that a given worker may have
12 experienced in an earlier year. In other
13 words you need a little bit more way of
14 validating that distribution that somehow it
15 has applicability to the earlier years. Did I
16 say that correctly?

17 **DR. MAKHIJANI (by Telephone):** John, we're
18 in the process of critiquing something that
19 hasn't even been created. The issue on the
20 table that we're evaluating is NIOSH's SEC
21 evaluation report in which it says it can
22 calculate doses in thus and such a way; and
23 therefore, it's not a problem. And that
24 method is the NDRP method, and that for '52 to
25 '58 involves a particular approach. And as I

1 understand what we're doing is we're writing a
2 review of that.

3 **DR. MAURO (by Telephone):** Okay, I guess
4 what I just raised is, you're right, Arjun. I
5 posed, I guess, a more, the question was
6 related to is there a way to ^ you have lots
7 of concerns about the method that was
8 selected. I guess I'm trying to get to the
9 point where, notwithstanding the method that
10 was built, the bridge that was built,
11 recognizing that we may have lots of
12 disagreement regarding that particular
13 methodology, I guess I'm ^ --

14 **DR. NETON:** This I don't think is very
15 dissimilar from where we ended up with
16 external doses at Y-12 in the SEC evaluation.

17 **DR. MAURO (by Telephone):** I think you're
18 right.

19 **DR. NETON:** We had a lot of disagreement
20 internally among us about the maximum
21 likelihood estimation methods, but at the end
22 of the day we all looked at the data and
23 agreed that based on the data that we did
24 have, some method of bounding the doses could
25 be, was plausible. So I don't see that too

1 much different here. I don't know.

2 **MR. FITZGERALD:** Except I don't think we've
3 reached closure on sort of the first issue is
4 that whether or not there's a problem with the
5 '52 to '58. I think that's what we --

6 **DR. NETON:** Exactly.

7 **DR. ULSH:** We do have, I don't think
8 anyone's disagreeing that we do have --
9 (Whereupon, telephonic transmission
10 interrupted the proceedings.)

11 **MR. GRIFFON:** I mean, it might be almost
12 time for a break. Let us all reflect on
13 because we're getting deep into the weeds. I
14 think we need to take a break, step back and
15 see where we're at.

16 **DR. WADE:** If you can hear us, we're going
17 to take a break.

18 **MR. GRIFFON:** We're going to take a lunch
19 break. So we'll reconvene at 1:30 if that's
20 okay.

21 (Whereupon a lunch break was taken from
22 12:30 p.m. until 1:45 p.m.)

23 **DR. WADE:** Mike, are you with us?

24 **MR. GIBSON (by Telephone):** Yeah, I'm here.

25 **DR. WADE:** Are there any other Board members

1 on the line other than Mike?

2 (no response)

3 **DR. WADE:** Any other Board members other
4 than Mike?

5 (no response)

6 **DR. WADE:** David, why don't you ask your
7 question of the work group Chair?

8 **MR. HILLER (by Telephone):** Thanks, this is
9 David Hiller of Senator Salazar's Office.
10 While we were waiting to reconvene I had asked
11 Lew kind of what the burden of proof is. I'm
12 not sure that's the right term in this
13 context, but that's my legal history creeping
14 up on me.

15 I'm trying to figure out if in this
16 context the burden is on the government or
17 NIOSH or the appropriate agency to demonstrate
18 the validity of its methodology and accuracy
19 of its dose reconstructions, or if the burden
20 is really on the petitioners here and kind of
21 in the context of today's discussion in a
22 sense the burden is on SC&A to demonstrate
23 that the methodology is unreliable and
24 produces inaccurate results.

25 **MR. GRIFFON:** Lew, did you want to --

1 **DR. WADE:** Well, I've answered it.

2 **MR. GRIFFON:** -- take a crack first? I
3 didn't hear your answer to I'll listen to
4 Lew's and then I'll ponder that.

5 **DR. WADE:** I'll give you a briefer version
6 than what I did before. I really approached
7 the answer by looking at the sequence of
8 things. First, the petitioners in their
9 petition present their arguments why they
10 think this class should be added to the
11 special exposure cohort.

12 And this special exposure cohort rule
13 goes to issues of the ability to cap dose or
14 bound dose with sufficient accuracy. There
15 are all kinds of concepts we could talk about
16 with that, so the argument is presented by the
17 petitioners. NIOSH responds with a petition
18 evaluation report. NIOSH gives its logic as
19 to why they agree with the petitioners or why
20 they don't agree with the petitioners.

21 And those two data points then make
22 their way to the Board, and the Board has to
23 consider both arguments and decide upon its
24 recommendation as guided by the statute. The
25 Board will often as it has in this case asked

1 for further deliberations to take place and
2 assign it to a work group and dig through
3 issues and sift through issues to try and come
4 to a clear understanding.

5 The one thing, David, that I will
6 point out to you that SC&A really is a
7 participant but not an active participant in
8 this. SC&A is a contractor that does what the
9 Board or the working group asks it to do.
10 SC&A is not presenting final arguments. SC&A
11 is just informing the process by their
12 scientific deliberations.

13 So again, the Board will look at the
14 petition, will look at NIOSH's evaluation
15 report. It will look at what the work group
16 brings to it. It will deliberate and debate
17 and then make a recommendation.

18 **MR. GRIFFON:** And I think just to add, I
19 don't disagree with that. As the work group
20 has gone along, I think it's important to
21 point out that we always turn to NIOSH for, to
22 do sort of the follow up investigations. So
23 the Board isn't really going to the site and
24 investigating these issues independently that
25 way.

1 But we're getting data back from NIOSH
2 to support their arguments or, and then we're,
3 we consider that data that's put on the table
4 that way. So in that sense I think, you know,
5 the burden there is for NIOSH to demonstrate
6 that, I think the burden falls to NIOSH there
7 to demonstrate that they can, in fact, do the
8 dose reconstructions.

9 But it also is we have to, I think
10 we've started to consider sort of the weight
11 of the evidence in our deliberations. The
12 Board has to lean to some extent, look at sort
13 of the weight of the evidence issues because
14 sometimes there's not a bright line on some
15 things. But sometimes we can come to a place
16 where we are pretty or very confident that we
17 can get a plausible upper bound. So that's
18 the terms Lew was referring to.

19 **MS. MUNN:** And if the science is sound.

20 **MR. GRIFFON:** Yeah, and then the science is
21 sound. So does that sort of answer your
22 question?

23 **MR. HILLER (by Telephone):** Yeah, I guess
24 one of the things it suggests is when I think
25 I detected from this morning's conversation

1 which is it's very tough when you are trying
2 to look back at old issues, and you don't have
3 the data you'd like to have from that time
4 period to give you independent validation.

5 **MR. GRIFFON:** Right, right, and that's why
6 we have these deliberations about were there
7 process changes? How did you determine this?
8 And without those independent measurements
9 necessarily are there other ways to sort of
10 corroborate that method. So, you're right.

11 Anything else to add, Lew, or --

12 **DR. WADE:** Well, just to look to our
13 process. The one thing I think we all try and
14 do is make the process open, let everyone
15 participate and really expend a great deal of
16 effort of trying to get to the core of issues.
17 And again, the Board and its work group really
18 deliberates and works hard towards getting to
19 the core of the issues and tries really to see
20 that the concerns of the petitioners are heard
21 and investigated. And that's why sometimes
22 the process takes so long.

23 **MR. GRIFFON:** Okay.

24 **MR. HILLER (by Telephone):** Thank you.

25 **MR. GRIFFON:** Thank you.

1 **MR. HILLER (by Telephone):** And I'll point
2 out that no one is going to accuse you of
3 rushing through this.

4 **DR. WADE:** We'll take that as an endorsement
5 of our practice.

6 **MR. GRIFFON:** I think we want to, well, we
7 definitely want to close out the neutron
8 issue, but I'm not sure exactly where we left
9 off. We had, I mean, my sense of the end of
10 the discussion before lunch was that we have
11 this question of the back extrapolation, but
12 then we were starting to get into some
13 dialogue of how to correct it.

14 But I'm not sure that there's any, I
15 mean, I'm not sure that I've heard clearly
16 that there's a problem with it. So I was
17 getting a little confused that some people
18 were throwing out proposals for how we might
19 bound it, but I think the current proposal on
20 the table is that this approach is what we're
21 using, and it works. There's no reason to
22 look at another model.

23 So maybe starting there, Brant, I'll
24 let you --

25 **DR. ULSH:** Mark, I think that's certainly

1 our position at this point in time. We've
2 heard SC&A's concerns that Arjun expressed.
3 We really can't come to any conclusion about
4 those concerns until we see the analysis. As
5 soon as it comes over we certainly will give
6 it due consideration.

7 There was some discussion as I recall
8 about -- and this is probably where it got
9 confusing -- was trying to determine whether
10 even if we have these disagreements about the
11 back extrapolation, is it a tractable issue or
12 is it an SEC issue. I think that was some of
13 the discussion that was going on. And Jim, I
14 think, was talking about source term.

15 **DR. NETON:** Well, yeah, I was just trying to
16 point out that we do need to look at the SC&A
17 analysis and see if there's any issues with
18 the NDRP model that would affect our ability
19 to do dose reconstructions. But, in fact, at
20 the end of the day we believe these can be
21 reconstructed. There's a source term involved
22 here that's pretty well defined.

23 We know they started with around 200
24 grams of plutonium in the early '50s and
25 worked their way up to something like 1,200

1 grams. And physics is physics. I mean, you
2 can model the neutron/photon ratio or
3 calculate it very precisely actually coming
4 off of a source term such as that.

5 And whether one chooses the moderated
6 or in a worst case an unmoderated source term,
7 you can come up with a ratio from that
8 activity. And in our estimation that would be
9 a worst case analysis of $\hat{\lambda}$ neutron/photon
10 ratio. In fact, that could be used to in some
11 sense be used to look at the ratios that were
12 derived in the NDRP study to, in effect, maybe
13 not a validation in the traditional sense, but
14 at least a sanity check against some of those
15 numbers.

16 And we're prepared to do any, we're
17 prepared to look at the data and look at the
18 plans. And then also if we do have to change
19 our position, which I'm not saying we would at
20 this point, but there are other techniques
21 that are available to us.

22 **MR. GRIFFON:** Timing obviously is of the
23 essence here, but we also have to consider
24 this question, don't we, of the aggregate
25 nature of the N/P ratios being applied to

1 individuals in the prior time period?

2 **DR. NETON:** Yeah, I think that's right. We
3 need to look at this whole set up, and I'm not
4 --

5 **MR. GRIFFON:** I'm not saying you can do it
6 real-time here, but I'm just --

7 **DR. NETON:** I'm not convinced in my mind.
8 I'm not close enough to it to know exactly how
9 they, what the aggregate ratios, how they were
10 applied. In some sense I don't know whether,
11 did they take exactly that or I've heard a
12 couple excerpts where they were extremely
13 conservative approaches, where they believe
14 that they're almost like bounding
15 calculations. So we can just take a closer
16 look at the NDRP analysis itself to see where
17 they ended up. They do provide 95th percentile
18 dose estimates. We need to look at that and
19 see how those were derived, what factors were
20 included. So there's a, we need to do a
21 little more homework on this.

22 **DR. ULSH:** Yeah, and these issues were just
23 discussed within the past week, so I mean, we
24 do need to --

25 **MR. GRIFFON:** Right, right, right. And

1 we've all been, I mean, to everybody's credit
2 we've been working real hard in the last two
3 weeks to come to closure, but we've got to get
4 this right.

5 But I guess the other observation that
6 I had with these N/P ratios -- and this gets
7 back to some of the discussions about the
8 production and whether it was modified or
9 anything -- and I don't even have the exact
10 years, but I, we were discussing a little at
11 the break. I think it was '63, '4 and '5
12 where they have, they sort of used a ten, a
13 ratio of ten for the N/P ratios. And it has
14 an asterisk saying it's kind of an upper
15 bound. It was just administratively said. It
16 wasn't necessarily the average value, the
17 calculated value.

18 But I was just trying to understand
19 because when Jim was talking about, well, we
20 could use just a pure source term approach and
21 calculate it, it seems to me that those years
22 were when the americium was coming in, and
23 you'd actually have lower neutron to photon
24 ratios. And why were the tens coming up in
25 that time period and the ratios lower in the

1 early -- I'm not necessarily asking for an
2 answer. I'm just trying to figure that out
3 myself.

4 **DR. ULSH:** I think I have an answer.

5 **MR. GRIFFON:** All right.

6 **DR. ULSH:** I'm recalling from our conference
7 call two days ago that those tens with
8 asterisk that you're talking about were for
9 Building 91 in the years that you said, three,
10 four, five.

11 **MR. BUCHANAN:** 'Sixty-two, three and four.

12 **DR. ULSH:** 'Sixty-two, three and four, okay.
13 But the americium line is not in Building 91.

14 **MR. GRIFFON:** Oh, okay.

15 **DR. ULSH:** So I'm thinking that those two
16 are unrelated. Now I can't tell you why the
17 tens, but I don't think it has anything to do
18 with the americium.

19 **MR. GRIFFON:** So it was just for 91. I
20 didn't realize that. So where do we stand
21 overall on this? We're going to have, you're
22 going to look closer, SC&A's going to, are you
23 going to wait for some materials from SC&A?
24 This doesn't have to be privacy reviewed to be
25 exchanged.

1 **MR. FITZGERALD:** I think, I don't know.
2 Arjun's given me a very, very tight timeframe.
3 When would be the reasonable time to provide
4 something to Brant?

5 **DR. MAKHIJANI (by Telephone):** Well, you
6 know, Joe, it just depends on how much of an
7 internal check you want. We're in the process
8 of preparing this. As you know, last night
9 when Ron and I talked, some of the numbers I
10 came up with weren't the same as some of his.
11 And so I don't think we should be providing
12 NIOSH and the working group with numbers that
13 we don't have, you know, we have to make sure
14 that the data is properly sorted and that we
15 were internally talking about the same sets of
16 data. So I can't really see that we're going
17 to be able to digest all of this and address
18 all the periods until Wednesday. Now if you
19 want to chop that up and say let's serve up
20 the '52 to '58 first, and then postpone the
21 other stuff. I mean, that presumably we could
22 focus on the '52 to '58 and send it off by
23 Monday or something.

24 **MR. GRIFFON:** Would that save time?

25 **DR. MAKHIJANI (by Telephone):** But if you

1 prefer that, because then you get a piecemeal
2 report.

3 **MR. GRIFFON:** I think we might want to do
4 that, Arjun. And we might even have to be in
5 a position of either another technical call or
6 another work group call because I don't want
7 to travel in the next week. But we might want
8 to, yeah, if you can do the '52 to '58 by
9 Monday, then maybe have a call on that on
10 Wednesday or something.

11 **DR. MAKHIJANI (by Telephone):** I'll try to
12 have it out by Monday close of business. And
13 I guess, Ron, this will mean -- Ron, are you
14 there?

15 **MR. BUCHANAN:** Yeah, I'm here.

16 **DR. MAKHIJANI (by Telephone):** Will we be
17 able to talk about data between now and Sunday
18 and for you to be able to review what I've
19 done, what I write up, because I'm really
20 relying on you, you know, you're familiarity
21 with the data much more than mine.

22 **MR. BUCHANAN:** Yeah, okay, well, I think we
23 can have, I can review your write up for '52
24 through '58 tomorrow, try to have you
25 something by close of business tomorrow that

1 you can --

2 **DR. MAKHIJANI (by Telephone):** Yeah, well,
3 we need to go over a lot of the data, too. So
4 anyway we can talk about that offline.

5 So we'll deliver the '52 to '58 before
6 the close of business on Monday.

7 **MR. GRIFFON:** And then we'll, Brant and Joe,
8 I'll coordinate it for you. We have a
9 technical call. I think we can keep it to
10 technical calls at this point, and we'll
11 certainly notify all work group members and
12 everything. But if we need a work group, one
13 final work group call before the May meeting,
14 I mean, we're running out of space here.

15 **DR. WADE:** It's your call.

16 **MR. GRIFFON:** But I mean if we were to look
17 at this '52 to '58, and we have some technical
18 sort of exchange that needs to go on, I think
19 we could do that in the next couple days after
20 that. And then I'll certainly notify Lew and
21 get the word out if we're going to have a full
22 work group call it'd probably be the next --

23 **MS. MUNN:** The Monday before the meeting.

24 **MR. GRIFFON:** The Monday before the meeting
25 might be the day we might want a work group

1 call.

2 **MS. MUNN:** Might be a wise idea.

3 **MR. GRIFFON:** I know. I know. But we're
4 running out of days so we really do want to
5 push for --

6 **DR. WADE:** Really the only difference
7 between the technical and the work group call
8 is we have the court reporter.

9 **MR. GRIFFON:** Exactly, and maybe a few less
10 people involved. And then the other time
11 periods we'll just assume you're going get out
12 your full report by Wednesday, right? Or
13 whatever.

14 **MR. FITZGERALD:** Yeah.

15 **MR. GRIFFON:** All right, everybody's on a
16 tight timeframe. I know it's, and just for
17 the record, I mean I think I don't think we're
18 at this point where the neutron stuff and, you
19 know, I don't think we anticipated this much
20 in-depth work at this point, but sort of here
21 we are. I think we had this neutron issue on
22 the table for awhile, and I think we might
23 have underestimated the depth that we were
24 going to have to go into a few of them, but
25 we'll just have to do our best from this point

1 forward.

2 **DR. MAKHIJANI (by Telephone):** Mark, may I
3 also say one thing regarding a comparison that
4 was made before lunch just so at least, you
5 know, I think there are quite a few
6 differences between Y-12 and this dataset and
7 actual situation and the job descriptions and
8 so on. So if there's an impression that it
9 might be sort of simple to say we did it at Y-
10 12 and so we can do it here, from what I know
11 of the data that are available, I think it's a
12 much more complicated question here.

13 **DR. NETON:** Arjun, this is Jim. I think I
14 made that comparison. I wasn't trying to say
15 your comment on the simplicity of it, I was
16 trying to comment on the process.

17 **DR. MAKHIJANI (by Telephone):** Yeah, right,
18 no, no, I'm just kind of worried about, I know
19 last night by the time I talked to Joe I was
20 so tired that I could not talk about what I
21 had written. So I don't want to get to that
22 point and be working in that mode.

23 **MR. GRIFFON:** All right, I think we're
24 leaving neutrons unless there's anything else.
25 Obviously, we'll be talking in the near future

1 about these issues.

2 **THORIUM**

3 Then the next agenda item I think, as
4 I'm opening my computer, was thorium. Let me
5 just attempt to give a little introduction to
6 the thorium question then. The way I remember
7 this, the way I remember it from the last work
8 group meeting is that the work group and SC&A
9 have sort of, have accepted NIOSH's definition
10 of the source terms, the various source terms.

11 So we pursued this for awhile. We had
12 some questions of other source terms of
13 potential significance. And we basically feel
14 that NIOSH has turned over every rock they can
15 find, and we have a good handle on the source
16 term of thorium. Where SC&A has some
17 disagreement is the method. The method on the
18 table I think is the NUREG-1400 approach.

19 And I think that SC&A in their report
20 that was recently provided had some concerns
21 that that does not bound some of the potential
22 exposures. And now my understanding also was
23 that there was this other sort of set of, or
24 other data available, and we had discussed
25 this a little bit at the meetings, and we have

1 some references.

2 And I may have this wrong, but Adley
3 comes to mind as one of the reports. I don't
4 know if that was uranium or thorium or, anyway
5 there were some other references that were
6 recently found by NIOSH or SC&A or both
7 parties that had, that looked at sort of
8 several different processes and work places
9 that involved either thorium or uranium
10 exposures.

11 And they looked at sort of the general
12 airborne concentrations from various processes
13 and things like that. And we had said, and I
14 think NIOSH offered, that they would consider
15 this data and see if, in fact, it was, could
16 be used as a bounding or to validate their
17 approach ^ approach. And I guess that's the
18 question is, you know, if the NUREG-1400
19 approach, there's some question whether it
20 bounds the exposures.

21 If there is other data there that we
22 all agree is in a form that can be used to
23 bound the exposures, then I think it comes
24 down to a sort of a dialogue between the two
25 parties of sorting out the method that's going

1 to be used going forward. And it may not be
2 an SEC issue. But if, you know, I have to
3 hear a little bit more about what this other
4 data, you know, how appropriate it is for
5 application at Rocky Flats and the operations
6 you have.

7 And I think SC&A may have more
8 questions than I do, but that's sort of where
9 I stand with the thorium question. So the
10 source term we agree with. The methodology
11 there's questions about whether it bounds, but
12 at least speaking for myself, I believe you
13 have other data there that if you chose to use
14 that, it'd probably be used to bound those
15 exposures. So, I'll turn it to Joe first,
16 then Brant.

17 **MR. FITZGERALD:** Yeah, I think that's a
18 pretty good summary. I think this ^ category
19 I had mentioned earlier where we think again
20 there's enough data available, but the concern
21 is the method and whether or not it would be
22 bounding in terms of the potential exposures.

23 In this case I think there was some
24 equivocalness introduced in some calculations
25 that NIOSH provided in December where we felt

1 that it showed the opposite, but maybe there'd
2 be instances where it would not necessarily
3 bound it. And I think that's our concern on
4 1400. And I'll let Arjun jump in. It is
5 really from there. It's not that we don't
6 think it would be feasible to do a bounding
7 approach, we just don't think NUREG-1400 has
8 been shown in this particular instance to do
9 that in all cases.

10 Is that a fair approximation, Arjun?

11 **DR. MAKHIJANI (by Telephone):** Yeah, yeah, I
12 think it is. Just to be specific, in the
13 December paper, leading up to the December
14 paper, Jim Neton had suggested that there be a
15 comparison with centerless grinding and so on
16 to see whether NUREG-1400 was bounding. And
17 as I read NIOSH's paper and the numbers
18 presented in that paper, the intakes from the
19 process numbers, the measurements from other
20 plants were much higher than the NUREG
21 numbers. And so the validation exercise for
22 NUREG as a bounding dose failed, but NIOSH
23 continues to say NUREG is bounding which I did
24 not understand.

25 And then we had a separate validation

1 exercise using time weighted data in the next
2 paper whose date I don't remember. And as
3 we've pointed out in several different
4 studies, and specifically made an analysis in
5 Mallinckrodt in the context of an SEC, that
6 you couldn't use time weighted averages for
7 bounding individual doses because time
8 weighted averages are just that; they're
9 averages.

10 And when they involved two and three
11 measurements you've got very big
12 uncertainties, and then you have to try to
13 calculate a bounding dose or a 95 percentile
14 to see whether your dose is actually bounding.
15 And in that exercise also NUREG-1400 did not
16 hold up. And that is in our, the calculations
17 are in our report if I remember right.

18 And so on both tries where a numerical
19 test was applied to NUREG-1400 it actually
20 failed so in my opinion it's not an equivocal
21 question. I think the demonstration of the
22 bounding nature of NUREG-1400 failed.

23 **DR. ULSH:** I have similar recollections to
24 Mark, but my recollections go a little bit
25 further. We did talk about at the March 7th

1 working group meeting that there were these
2 other data sources available. Adley was one.
3 A book by Albert on the industrial hygiene of
4 thorium, those were both provided by NIOSH.

5 And John spoke very highly of them
6 although SC&A needed more time to review. We
7 also spoke about there was continuing
8 disagreement between SC&A and NIOSH on the
9 applicability of NUREG-1400 to a bounding
10 analysis. So far we are in agreement in our
11 recollection.

12 **DR. MAKHIJANI (by Telephone):** Right.

13 **DR. ULSH:** But I recall clearly that we had
14 agreed to disagree and that this was
15 categorized as a TBD issue and not an SEC
16 issue. I've got to tell you I'm a little
17 exasperated that we're talking about this in
18 the context of an SEC issue.

19 **DR. MAKHIJANI (by Telephone):** I guess I
20 have a procedural question in terms of how I
21 understand things. Does NIOSH have to, just
22 in terms of the criteria that we are expected
23 to follow that the Board laid down, and what
24 happens when the criteria are seen to fail,
25 now the Board has said you have to give some

1 kind of a proof of principle, and the first
2 step in that is you have to specify a method.

3 And when the specification of a method
4 failed, then we've said there likely are data,
5 but they belong to other sites, and there's
6 some kind of demonstration that's needed to
7 show that they can be properly applied. And
8 in that context we all agreed that if that
9 were done, it likely would not be an SEC
10 issue.

11 But as I understand it, NIOSH is
12 insisting that NUREG-1400 is bounding, and in
13 my analysis and the analysis that we did in
14 our report, both of those assertions of
15 validation actually failed. And so we've got
16 a factual, technical situation where we're
17 making opposite assertions about the same set
18 of numbers in which the Board's criteria are
19 not being fulfilled.

20 And if I'm wrong, I'll just stop
21 talking about it and it's certainly not my
22 province to say how the Board's criteria
23 should be applied. We're just doing the best
24 we can in understanding what the Board's
25 criteria are.

1 **DR. WADE:** Let's let Mark --

2 **MR. GRIFFON:** I think, Arjun, I think, and
3 my recollection also, and I haven't looked at
4 the minutes, but my recollection was that we,
5 as in general we did sort of say, you know,
6 with this Albert reference and this other
7 reference, it was my sense that we had enough
8 there to be able to bound. Now quite frankly
9 I don't, and I still haven't looked in detail
10 at the analysis that you just talked about,
11 Arjun, that you're saying that the NUREG-1400
12 doesn't bound those two circumstances.

13 And whether what we said in the last
14 meeting, irrespective of that, I guess, I
15 would like to go into the next meeting saying
16 that I don't think we want to go in as the
17 Board having SC&A say we don't think that
18 NIOSH's approach is bounding. So what I was
19 hoping is that if we can hear from NIOSH that
20 these other two references have this data, and
21 we're not necessarily committing to this
22 method, but we would deliberate further with
23 SC&A on the NUREG-1400 versus this method.
24 But if we choose to, we have this data here
25 that could be used as well.

1 And let SC&A say, okay, if you went
2 that direction, we're in agreement. And then
3 sort out the details afterwards, and that
4 would be more of a TBD issue. It gets a
5 little bit in this gray area of this proof of
6 process, and I think you have to show that
7 it's feasible to reconstruct dose with
8 sufficient accuracy.

9 And then the question of, in my mind I
10 guess, is when we're looking at feasible, we
11 have to at least know enough about do you have
12 the data there, and do you know how you're
13 going to, how you can apply it. Whether we
14 need to see you commit to one method or
15 another, you know, even if there's still
16 disagreement.

17 And this is my opinion. I'm not sure
18 I'm on very solid ground here, but even if
19 there's a disagreement of methods, if at least
20 one of them everybody agrees is bounding, then
21 we can have further arguments on which one is
22 correct as a TBD issue. I don't know how
23 other people feel on that.

24 **DR. ULSH:** Well, let me respond to that,
25 Mark. Certainly, we would agree to discuss

1 NUREG-1400 and these other data sources
2 further. Certainly we would. But that would
3 occur in the context of the TBD issue, TBD
4 discussion. That was the consensus that was
5 reached at the March 7th meeting.

6 **MS. MUNN:** Yes, it is.

7 **DR. ULSH:** We agree that this data looks
8 promising, the Adley and the Albert, sure it
9 does. Now in terms of NUREG-1400 --

10 **MR. GRIFFON:** But you're not in a good
11 enough position right now to at least describe
12 the Adley data, and how promising it looks,
13 and how it would or would not be applicable to
14 Rocky Flats?

15 **DR. ULSH:** Well, I do have to say that our
16 primary position has been and remains the
17 NUREG-1400 is bounding. We also think that
18 Adley looks promising. Now, of course, we are
19 in disagreement with SC&A about NUREG-1400,
20 and since those issues have twice now been put
21 on the record, SC&A's objection to that, I
22 feel I do have to respond. However, I
23 refrained last time because we had this
24 consensus that it would be a TBD issue, and I
25 did not want to disturb the consensus that we

1 had reached.

2 In retrospect I guess that was a
3 mistake because SC&A has backed away from that
4 consensus, and I would like to know, I mean,
5 we knew that we were in disagreement about
6 NUREG-1400 on March 7th. That's not a
7 surprise. We knew that. But consensus was
8 reached at that meeting that this was not an
9 SEC issue. It was a TBD issue. So what new
10 has come up between then and now to make SC&A
11 walk away from that consensus?

12 **DR. MAKHIJANI (by Telephone):** Well, I think
13 that this is not a very accurate or full, at
14 least a full characterization. My
15 understanding -- well, first of all at the
16 last working group meeting we had not had a
17 chance to analyze the weighted, time weighted
18 average validation exercise that you had done.
19 When it was actually put to the test of the
20 numbers, it did not meet them so that
21 necessarily happened after the meeting because
22 we got the paper just before the meeting. So
23 that work could not have been completed at the
24 time of the meeting.

25 Secondly, my puzzlement on this

1 question is not relating to whether doses can
2 be calculated, and therefore, whether it's a
3 TBD issue. And I think that's the context in
4 which I remember an agreement last time. My
5 puzzlement is when you've got something before
6 you that fails the criteria of the Board
7 affirmatively that NIOSH could retain the
8 option of using that as a bounding dose method
9 and insist that it is a bounding dose method,
10 and say, well, the disagreement disappears
11 because this other thing is there, but we may
12 never use it, and we may use a failed method.
13 That's what is puzzling me.

14 **DR. ULSH:** I'll first talk about what was
15 actually agreed to on March 7th, and then I'll
16 get into your objections about NUREG-1400
17 since it's an SEC issue.

18 **DR. MAKHIJANI (by Telephone):** Well, I don't
19 know. I cannot look at the minutes. I don't
20 have them in front of me.

21 **DR. ULSH:** I can help you out with that
22 because I have them.

23 **DR. MAKHIJANI (by Telephone):** Well, can we
24 talk about the substance of the technical
25 matter?

1 **DR. ULSH:** We certainly can, but first we
2 have to talk about what was agreed to. These
3 are from the draft minutes. Ray is finishing
4 the official version.

5 **MR. GRIFFON:** What page?

6 **DR. ULSH:** Well, on the draft it's 142. I
7 don't know what page it will be on on the
8 final.

9 **COURT REPORTER:** The pages will stay the
10 same.

11 **DR. ULSH:** The pages will stay the same, so
12 it's page 142.

13 Mark Griffon says -- and this is in
14 the context of the thorium discussion -- "But
15 I think at least for those two things, like
16 you said, the source term and the exposure
17 model, and I think at least we're probably at
18 the point where we can say that we may not
19 agree with them all right now, but we think it
20 can be. There are ways to model and bound the
21 doses and when you know the source term. Is
22 that a fair synopsis?"

23 And Dr. Makhijani says, "Yes, I think
24 that's fair." And then Mark says, "And then I
25 think the upshot of that is I think that it's

1 removed from our SEC sort of deliberations, at
2 least that aspect of it. We want to still
3 bring it to ground, but it's not on that
4 urgent profile."

5 Page 150, Larry Elliott says, "Well,
6 you know, SC&A's going to finalize their
7 report, and I would hope that in that
8 finalization of this point alone they would
9 refer to the consensus that I think I hear
10 today and designate the issue as being site
11 profile related. And then we can take it up
12 in that form. If you're explicit enough in
13 what your concern is about NUREG, then we can
14 react to it from this report in a site profile
15 discussion form."

16 Dr. Makhijani says, "Yeah, we will do
17 that."

18 **MR. GRIFFON:** But hold on. Let me just add
19 on the bottom of page 142, after I stuck my
20 neck out a little bit so to speak, Arjun did
21 come back and in the last sentence there says,
22 "The one Becquerel piece that comes out of the
23 NUREG-1400 remains unconvincing.

24 **DR. ULSH:** Yes, that's on the thorium
25 strikes.

1 **MR. GRIFFON:** Oh, the thorium strikes, yeah.

2 **DR. ULSH:** Right.

3 **DR. MAKHIJANI (by Telephone):** The context
4 of this discussion, I believe, is the idea
5 that NIOSH would not use NUREG-1400 if there
6 were a disagreement about whether it was
7 bounding or not. I don't disagree that you
8 can calculate these doses. The data appear to
9 be there. You haven't done it yet because
10 you're sticking with NUREG-1400.

11 And subsequently when I looked at this
12 issue, and when we talked about it internally
13 with Joe and John and as a team, it seems to
14 me that if -- and we did the calculations
15 after the March 7th meeting, and they are in
16 the report -- that the claim that NUREG 1400
17 met the test of bounding dose by that time
18 weighted average calculation actually, in the
19 calculations that we did, it did not meet the
20 test.

21 And then when you're writing a report,
22 you have the Board's criteria in front of you
23 that you have to actually have some proof of
24 principle. You have something in front of you
25 that's actively failed the test every time it

1 has been put to the test, then unless you
2 reject that method, I do not see how it can't
3 remain an SEC issue. And if that method is
4 rejected, then it no longer is an SEC issue,
5 and I believe that's what our report said.

6 **DR. ULSH:** Okay, so I still didn't, at the
7 March 7th meeting we knew that we were in
8 disagreement about NUREG-1400. That is not
9 something that was discovered afterwards.

10 **DR. MAKHIJANI (by Telephone):** But we had
11 not checked your validation exercise, and I
12 believe there was a discussion about that as
13 well.

14 **DR. ULSH:** If you didn't agree with it on
15 March 7th, and you don't agree with it now, but
16 we agreed to have a consensus that it was a
17 TBD issue, nothing has changed.

18 Now in terms of the substance because
19 you've gone into your objections to NUREG-1400
20 several times, and like I've said, I've
21 refrained from responding. Now I will
22 respond.

23 The validations that were performed,
24 first of all, we disagreed strongly with even
25 the need to do a validation exercise of a

1 standard that is widely accepted by the
2 regulatory community; however, we recognize
3 that it is to no one's benefit to go before
4 the Board in disagreement. So we agreed to do
5 these validation exercises.

6 It was suggested by you, Arjun, that
7 we look at Simond's Saw and Steel, and that we
8 also look at Rocky Flats' application. That
9 is exactly what we did. At Simond's Saw and
10 Steel we took the highest of 20 monitored
11 workers.

12 Let me back up a little bit. You
13 suggested Simond's and Rocky, and we took a
14 look at that, considered your suggestion and
15 it actually turned out to be a very good
16 suggestion because it had the advantage of
17 having a well-defined source term, a well-
18 defined processing time, and air monitoring to
19 go with it. So those were very good criteria
20 and that allows you to do a good comparison.

21 So we did that comparison on your
22 suggestion. At Simond's Saw and Steel there
23 were 20 monitored workers. We took the
24 highest of the 20. Yes, it was a time
25 weighted average. I think that you're

1 misinterpreting the applicability of time
2 weighted averages, and perhaps Jim can jump in
3 on that. We took the highest, and we found
4 that even with the highest worker, NUREG-1400
5 was bounding.

6 Then we looked at the ingot operation
7 at Rocky Flats which is described in the
8 Callabra report. This is all in our February
9 28th report. And in that case as well you had
10 a well-defined source term. You had a well-
11 defined processing time, and you had some air
12 monitoring. That's the best we're going to
13 get at Rocky Flats, but that's what you
14 suggested. That's what we did. And in that
15 case it turned out to be bounding.

16 Now you've mentioned the previous
17 method of looking at uranium operations. As
18 we pointed out in our report, that methodology
19 incorporates a time factor, so we had to
20 estimate how much processing time. And that's
21 why we thought your suggestion to actually
22 look at thorium processing operations with
23 well-defined parameters in terms of source
24 term and processing time was a good one
25 because you didn't have to estimate that time

1 factor. That is the explanation for the
2 difference that you see on the uranium
3 comparison.

4 So even when we took your suggestion
5 and did the validations you suggested, and
6 they turned out to be bounding, now all of a
7 sudden it's not any good any more. Jim, will
8 talk about, I think, time weighted averages.

9 **MR. GRIFFON:** Let me hear, because I heard
10 from Joe actually in the December report --

11 **MR. FITZGERALD:** I think it was February.

12 **MR. GRIFFON:** Okay, February. I'm hearing
13 an indication that in their own analysis that
14 the NUREG-1400 wasn't bounding, but I'm not
15 gathering that you said that.

16 **DR. MAKHIJANI (by Telephone):** That's
17 correct. If the table --

18 **MR. GRIFFON:** I want to understand that.

19 **DR. MAKHIJANI (by Telephone):** Sorry, Joe.

20 **MR. FITZGERALD:** Go ahead, Arjun.

21 **DR. MAKHIJANI (by Telephone):** It's on page
22 167 of our report where NIOSH's table from the
23 December report, I believe --

24 **DR. ULSH:** From the December report.

25 **DR. MAKHIJANI (by Telephone):** Yes, it's

1 from the December 21st report is reproduced.
2 It's an attachment, the whole thing is in
3 Attachment 20 of our report. So if you look
4 at that, the time factor is actually taken
5 into account. The number of hours per year
6 which are assumed for machining and grinding
7 are ten hours per year, and the Becquerel
8 intake is assumed based on only ten hours per
9 year. So there isn't, like we're not
10 comparing like 250 days per year in some place
11 with a few hours per year at Rocky Flats.
12 We're comparing ten hours per year with ten
13 hours per year. And the intakes and doses
14 that were calculated were in both cases bigger
15 than what -- and in one case considerably
16 bigger than what was calculated by NUREG-1400.
17 That was in the December 21st report. That's
18 why I believe the need arose for a second
19 round. Now, we didn't agree then. I still
20 cannot understand how you can do a calculation
21 like this with a limited number of hours and
22 then say that there is a time factor that
23 hasn't been taken into account when it's right
24 here in the table that it has already been
25 factored into the result. And the result was

1 much bigger.

2 I can't, what was the NUREG-1400
3 Becquerel intake? It was a fraction of a
4 Becquerel if I remember. And the grinding,
5 the centerless grinding suggested by Jim Neton
6 as a validation exercise, not by me, came out
7 to be a considerably bigger, at least an order
8 maybe two orders of magnitude bigger, than the
9 prior estimate. And I do not believe, I do
10 not understand actually how this can be
11 characterized as a validation that NUREG-1400
12 is bounding.

13 **DR. ULSH:** All right, let me clarify. You
14 referred to a page in your report that
15 referenced our December report.

16 **DR. MAKHIJANI (by Telephone):** Yes.

17 **DR. ULSH:** The December report contained the
18 methodology that Jim suggested, you're correct
19 on that, about looking at uranium numbers and
20 converting based on equal mass basis to
21 thorium. And involved in that process, that
22 incorporated the estimate of ten hours which
23 you mentioned. The source of that estimate
24 was Bryce Rich because we proposed it earlier
25 to give a bounding estimate of the thorium

1 machining at Rocky Flats.

2 **DR. MAKHIJANI (by Telephone):** Yeah, it was
3 the same time as you used in NUREG-1400. So
4 it's a factor that just cancels out.

5 **DR. ULSH:** I would have to go back and look
6 at that. I don't think I --

7 **DR. MAKHIJANI (by Telephone):** If you use a
8 larger or smaller time in NUREG-1400 and the
9 same time in this new calculation, you'll get
10 exactly the same ratio. You won't get a
11 bounding dose.

12 **DR. ULSH:** Arjun, NUREG-1400 does not have a
13 time term in it.

14 **DR. MAKHIJANI (by Telephone):** No, I know,
15 but you used a time term with NUREG-1400 to
16 calculate the intake, and you're using the
17 same time here. So if you get this being 100
18 times the previous one, the time factor
19 doesn't enter into it.

20 **DR. NETON:** No, Arjun, you could pick any
21 time factor and change that analysis.

22 **DR. MAKHIJANI (by Telephone):** Yes, and the
23 ratio will be the same.

24 **DR. NETON:** No, it won't.

25 **DR. ULSH:** No, it won't.

1 **DR. MAKHIJANI (by Telephone):** If you use
2 the same time in both calculations --

3 **DR. ULSH:** Arjun, time does not come into
4 the NUREG-1400 calculation. It comes into
5 what you're comparing it against, and that is
6 an air concentration times the time in that
7 air concentration gives you an estimated
8 intake along with all the other factors in
9 terms of inhalation rate. Now Jim --

10 **DR. MAKHIJANI (by Telephone):** That's right,
11 you do have to have a time to calculate the
12 intake.

13 **DR. ULSH:** Yes, and let me finish. Jim
14 suggested this method. This was one of the
15 brainstorming sessions at the end of one of
16 our previous working group meetings. Once we
17 got into it, and we realized that the results
18 are completely dependent on the time that you
19 choose, then we realized that that was a
20 weakness of that approach.

21 And in concert with that we had a
22 conference call with SC&A, with you and I
23 can't remember who else, and you suggested
24 that we look at actual thorium operations.
25 And you even suggested by name Simond's Saw

1 and Steel and Rocky Flats. And we did that.
2 And in both cases NUREG-1400 yielded higher
3 estimates than what was actually observed.

4 **DR. MAKHIJANI (by Telephone):** I believe
5 that our analysis of that which has been
6 reviewed internally is before you in our
7 report so I don't know that it is useful to go
8 through why we arrived at a different
9 conclusion from you about those data because
10 how many workers were there at Simond's Saw
11 that you were looking at time weighted
12 averages?

13 **DR. ULSH:** There were 20 people.

14 **DR. MAKHIJANI (by Telephone):** I believe --

15 **DR. ULSH:** Twenty workers.

16 **DR. MAKHIJANI (by Telephone):** -- sorry?

17 **DR. ULSH:** There were 20 workers at Simond's
18 Saw and Steel. Twenty monitored workers.

19 **DR. MAKHIJANI (by Telephone):** And there
20 were air concentration data if I remember.
21 Then we're not talking their monitoring data.

22 **DR. ULSH:** They were B-Z air data I believe.

23 **DR. MAKHIJANI (by Telephone):** Right, well,
24 I stated that we looked at the time weighted
25 average and the methodology to calculate

1 bounding doses, and this is time weighted
2 average for a particular job which would
3 correspond to some job presumably at Rocky
4 Flats. And we've stated the time weighted
5 averages when you do sporadic work do not
6 correspond to a bounding dose. That is the
7 analysis that we've presented. Now, we've got
8 a disagreement about that, and I don't know, I
9 mean --

10 **DR. NETON:** I think, Arjun, this is Jim. On
11 the time weighted averages I think you're
12 misrepresenting the case. We agreed early on
13 at Simond's Saw that Simond's Saw and Steel
14 time weighted averages were not applicable to
15 Bethlehem Steel because we could not determine
16 that the work practices were similar. That
17 was the only reason.

18 We did not say time weighted averages
19 weren't useful for calculating intakes. And
20 so what we have here is a job-specific time
21 weighted average which is indeed applicable
22 for this analysis. I mean, it's the best
23 analysis you could have.

24 **MR. GRIFFON:** In other words, you're saying
25 the job's comparable to what would be at

1 Rocky?

2 **DR. NETON:** ^ comparability job. It's a
3 comparability, it's to demonstrate that the
4 NUREG-1400 calculation would be bounding in
5 that application at Simond's Saw and Steel.
6 It has no validity to compare it to Rocky.

7 **DR. MAKHIJANI (by Telephone):** Yeah, no, I
8 agree with Jim on that. The question of
9 whether time weighted averages should be used
10 to calculate bounding dose in the context of
11 an SEC was discussed in detail in one of our
12 reports on Mallinckrodt, and all that has been
13 done here is to really do a short form of
14 exactly that same analysis and much more
15 briefly --

16 **DR. NETON:** No.

17 **DR. MAKHIJANI (by Telephone):** -- and refer
18 you to that.

19 Now if, Joe, I believe that you and
20 John and maybe Mark need to take over this
21 because what's in the report is very clear.
22 We've said this before in the context of
23 Mallinckrodt. We've said it again in the
24 context of Rocky Flats, and I don't believe
25 that there's any difference in the technical

1 position. Now if there's a policy decision,
2 that is not in my province.

3 **DR. NETON:** Arjun, this is not the same
4 issue. We are not calculating bounding doses
5 here for dose reconstruction purposes. We're
6 trying to demonstrate that the NUREG-1400
7 concept accurately portrays what the intakes
8 would have been for a job.

9 **DR. MAKHIJANI (by Telephone):** That's not
10 what has been represented. What has been
11 represented is NUREG-1400 provides a bounding
12 estimate of the intakes for thorium using a
13 source term.

14 **DR. NETON:** It does for that job. For that
15 particular job, NUREG-1400 bounded that
16 person's exposure.

17 **DR. MAKHIJANI (by Telephone):** I don't
18 believe you can compare that to a time
19 weighted average because time weighted average
20 doesn't provide you with a bounding intake.

21 **DR. ULSH:** All right, time weighted average
22 does not bound an instantaneous intake. That
23 is true.

24 **DR. MAKHIJANI (by Telephone):** You've got
25 two or three samples in a work station.

1 **DR. NETON:** Oh, now you're challenging the
2 validity of the time weighted average --

3 **DR. MAKHIJANI (by Telephone):** No, I'm not
4 challenging the validity of it as a time
5 weighted average, I'm challenging the validity
6 of it to represent the intakes for that work
7 station as a bounding dose. That's two
8 completely different things. If you have
9 1,000 workers doing the same job, that would
10 likely be the average. But then you would
11 have significant uncertainty still because
12 you've got very few measurements at each
13 station.

14 **DR. NETON:** This is the best estimate of the
15 intake for that worker at that time.

16 **DR. MAKHIJANI (by Telephone):** But maybe
17 it's not good enough.

18 **DR. NETON:** Why?

19 **DR. MAKHIJANI (by Telephone):** For the
20 reason that I've told you. There are a couple
21 of measurements at each work station for each
22 job, and when you have got a piece of that job
23 that may even last only 15 minutes, if you've
24 got two measurements that are highly variable
25 one from the other, the uncertainty of the

1 intake for that particular 15 minutes is going
2 to be huge.

3 And then from one day to the next,
4 from one hour to the next, and certainly over
5 a cumulative of a few hours and a year, you
6 could have two workers getting very, very
7 different doses. And that is the objection to
8 applying a time weighted average in this
9 context for producing a bounding dose
10 comparison. I don't think it's that
11 complicated.

12 **DR. ULSH:** I don't either.

13 **DR. NETON:** I don't think so either.

14 **DR. ULSH:** Arjun, it's true that a time
15 weighted average will not allow you to
16 calculate, to bound an instantaneous dose that
17 a worker might experience. But in terms of
18 the intake that could result from a job that
19 is more than instantaneous, and in this case
20 at Simond's Saw and Steel we're talking about
21 I think it was eight hours. The only way to
22 get an accurate estimate of intake is from a
23 time weighted average.

24 **DR. MAKHIJANI (by Telephone):** Are we
25 talking a best estimate of intake or a

1 bounding estimate of intake? What does NUREG
2 produce?

3 **DR. ULSH:** NUREG-1400 produces a bounding
4 estimate, and we showed that --

5 **DR. MAKHIJANI (by Telephone):** Well then,
6 you have to try to calculate a bounding
7 estimate from Simond's Saw to compare it to.

8 **DR. NETON:** No, no, no, no, no, you're
9 wrong, Arjun.

10 **DR. MAKHIJANI (by Telephone):** Well, this is
11 my understanding, and this is how the report
12 is written. And so that's why I'm saying this
13 is beyond my purview at this stage. If we're
14 not comparing a bounding dose to a bounding
15 dose, then I don't think it's an apples-to-
16 apples comparison. And then somebody else has
17 to define what kind of comparison we have to
18 make because that's ^ calculation I know how
19 to do.

20 **DR. NETON:** All right.

21 **MR. GRIFFON:** Hold on. Let Jim --

22 Go ahead, Jim.

23 **DR. NETON:** All I was going to say is our
24 position was that NUREG-1400 provided a
25 bounding dose, and we took an estimate of the

1 worker's intake which was based on breathing
2 zone air samples and probably a G-A sample
3 locally, and we demonstrated that it bounded
4 his exposure.

5 **DR. ULSH:** For the highest of 20 workers.

6 **DR. NETON:** For the highest of 20 workers.
7 I'm not sure why you wouldn't accept that as
8 demonstrating that this approach would bound
9 that worker's dose.

10 **DR. MAKHIJANI (by Telephone):** I have stated
11 the reason, and for the record, in my opinion
12 and my reading of the document, the sample
13 that was represented as a breathing zone
14 sample for Rocky Flats was not representative
15 of a breathing zone sample in the document. I
16 do not believe that it is.

17 **DR. ULSH:** We're not talking Rocky Flats.
18 We're talking Simond's Saw and Steel.

19 **DR. MAKHIJANI (by Telephone):** I'm just
20 saying that for the record because the term
21 breathing zone came up. I've said what I
22 believe. I don't believe that a time weighted
23 average can give you a bounding dose, and you
24 have to calculate a bounding dose to compare
25 it to another dose that's claimed to be a

1 bounding dose.

2 And I just think that's not a
3 mathematical matter anymore, it's how we
4 understand the terms. And I think it's maybe
5 for the Board to define the terms, not for me
6 certainly. As Dr. Wade has said, we do the
7 calculations and the process as directed, but
8 this is the best of our understanding.

9 **DR. LITTLE:** Arjun, this is Craig Little.
10 You keep talking about time weighted averages,
11 and it seems to me that their breathing zone
12 sample is exactly that. It's a time weighted
13 average. A person wearing a breathing zone
14 sampler wears it for the period of time that
15 he's in the atmosphere that he's breathing,
16 and it integrates over the period of time that
17 you have it. And you calculate his input per
18 hour based on how many hours he wore the
19 breathing zone sampler and what the intake was
20 over that period. That is a weighted average.

21 **DR. MAKHIJANI (by Telephone):** Yeah, Dr.
22 Little, I do understand that. What I am
23 putting forward is that when you have a couple
24 of measurements, for instance, when you have a
25 very high concentration in a certain work

1 operation, you have to have some confidence
2 that you know what that concentration is.
3 When you have two or three measurements of
4 that that are very different from each other,
5 you're going to have a very high uncertainty.

6 And therefore, when you try to
7 calculate a bounding dose for that person as
8 to what they might inhale if they only worked
9 a few hours a year, you're not going to get a
10 bounding dose estimate by using the time
11 weighted average. I'm not arguing about what
12 a time weighted average is and whether it's a
13 legitimate number to calculate.

14 **DR. ULSH:** I think it's safe to say that we
15 have a technical disagreement that's not going
16 to be resolved today about this time weighted
17 average. So I only have one question for, I
18 guess for Joe.

19 **MR. FITZGERALD:** Yeah.

20 **DR. ULSH:** About what is the SC&A final
21 report going to say about thorium in terms of
22 whether or not it is an SEC issue? And then I
23 have the same question for the working group.
24 Because we're not going to settle the
25 technical --

1 **MR. FITZGERALD:** First off I think certainly
2 we'll take responsibility for what was said on
3 the March 7th meeting. Clearly, we needed to
4 have this exchange that was truncated when
5 apparently we felt we had consensus when we
6 did not have consensus. So I think that's
7 pretty clear just from this exchange that at
8 that particular work group meeting on this
9 issue we did not, in fact, reach closure even
10 though I think at that time, maybe it was late
11 in the day, we thought we were done.

12 So for the benefit of the work group,
13 your benefit, too, I think we certainly owe,
14 not at this session perhaps, but some
15 resolution so that, you know, this is a
16 technical issue. And I agree, it's a
17 technical issue unlike maybe some of the other
18 more subjective questions that we're
19 addressing. This is a technical issue. I
20 would hope that we would put the Board in the
21 position in two weeks that they would have a
22 resolved technical answer to this issue.

23 And I would commit that we will
24 certainly work with you to do that. I mean,
25 let the chips fall where they may on the

1 discussion of time weighted average, whatever.
2 We didn't have this discussion. I feel
3 responsible that maybe we covered too much
4 ground and didn't get as much of this out as
5 we should have at that time because I think
6 there is clearly some missed communication,
7 misunderstanding, maybe some disagreements on
8 the facts that I think and hope we can resolve
9 over the next week or so.

10 But I think we ought to go back and
11 finish that conversation we started on March
12 7th, and just put the Board in the position if,
13 in fact, this is, as we put it earlier, a TBD
14 issue after all. I would just as soon not see
15 this as a standing question to be presented on
16 May 2nd.

17 **MS. MUNN:** It was a surprise to me to see it
18 in this report because my understanding was
19 that we were going to approach it as a TBD
20 issue, and from this individual perspective it
21 was off the table for our SEC discussions. So
22 to have it appear as it has in this current
23 report was a surprise for me. I was taken
24 aback. As soon as I saw thorium, I --

25 **MR. FITZGERALD:** Yeah, I think we probably

1 talked about some of the reasons why there was
2 some sense of not leaving this question there.
3 But nonetheless I think it's one that we have
4 to resolve and not put you and the rest of the
5 Board in the position of having any
6 ambiguities about this technical question. We
7 certainly have other ambiguities.

8 **MS. MUNN:** That's true.

9 **MR. FITZGERALD:** We don't want to have any
10 more than that. So I would propose that we
11 address it that way. And again, I certainly
12 apologize for the confusion over the
13 statements made. I think we did truncate that
14 conversation quicker than we should have, and
15 we should have had the benefit of some of this
16 discussion on the time weighted average and
17 what have you. It did not take place --

18 **MR. GRIFFON:** Yeah, I can't remember. And
19 part of it might have been that we had your
20 report but SC&A hadn't really had a chance to
21 assess it, and we kind of assumed. And I
22 probably got ahead of myself, too. But I'll
23 finish this discussion where I started which
24 is that if, you know, my feeling is that if --
25 and it sounds like everyone agrees that the

1 data's out there that could be used to bound
2 whether you agree with NUREG-1400 or not --
3 and there's some question on the application
4 or the comparison of this data.

5 But if we're in a good position to say
6 that we think we have data for these type op -
7 - that are applicable to these type operations
8 at Rocky that could be used to bound, I think
9 we can leave the methodology dispute. We can
10 just say we've got the data.

11 **DR. NETON:** Well, I think we did a
12 calculation the first time around. I mean, it
13 didn't agree with NUREG-1400, but that
14 certainly in my mind was a bounding
15 calculation. I don't know what else --

16 **DR. WADE:** And the good news from a
17 procedural point of view is that this work
18 group deals not only with the SEC question,
19 but also with the site profile question so
20 this work group will have to deal with that.

21 **MR. GRIFFON:** And all I'm asking is that
22 even if Arjun and SC&A are in disagreement of
23 the way you did that bounding analysis with
24 the other data, I don't think he disagrees
25 that there's data there to be used, and it

1 could be a, you know --

2 **DR. NETON:** Well, that's my point though.
3 The first analysis had some arbitrary time
4 factor which we thought was unusually large.

5 **MR. GRIFFON:** Well, I'm not saying that's
6 the analysis we would use, but --

7 **DR. NETON:** But if one agrees that that time
8 factor's appropriate, then there's an
9 analysis, and I don't see what the issue is.

10 **MR. GRIFFON:** Then there's an analysis.

11 **DR. NETON:** I'm not saying that's the
12 analysis we would use, but --

13 **MR. GRIFFON:** The only reason it comes up
14 this way is because the final, I guess, the,
15 it's sort of like where we were at with the
16 neutron. The proposal on the table was NUREG-
17 1400. So that's what Arjun was arguing
18 against. But I think if we all agree that the
19 data's there, and as Jim just stated, you can
20 choose to use that, but you have that out
21 there. Then I think it goes to a
22 methodological review.

23 And I mean I won't, SC&A is going
24 present what they're going to present. I
25 would say from my perspective I think at that

1 point, as a voting member of the Board if I
2 was in a position where I could feel like
3 there's solid data there. I have some
4 questions about NUREG-1400 still because of
5 some things that the contractor's raised, but
6 I feel pretty solid that there's this safety
7 net in the back that could certainly be used
8 to bound. And I'd be comfortable with saying
9 it's a non-SEC. That's sort of where I stand.

10 **DR. MAURO (by Telephone):** John Mauro. I
11 have another, maybe a little bit more to add
12 that might be helpful. When we discussed the
13 fact that something is a TBD issue, it's
14 always been my understanding that we leave the
15 door open. That means both SC&A and NIOSH
16 agree that we, at this current time we may not
17 entirely agree on the methodology by which
18 best to reconstruct this dose, but we both
19 agree that it can be reconstructed.

20 Now that usually means that the door
21 is still open, that the day will come that
22 we're going to revisit this issue again as
23 part of the site profile review. And I think
24 the reason for the dilemma that we have here
25 is it sounds as if that door's been closed.

1 Namely, that no, we're going to go with 1400,
2 and this is our procedure, and it's not a TBD
3 issue either.

4 **DR. ULSH:** No, no, no.

5 **DR. MAURO (by Telephone):** And I think
6 that's really where the dilemma lies
7 unfortunately. It's just really a matter of
8 are we right now in the position to agree
9 that, yes, the door is still open. We will
10 certainly be, at some time in the future,
11 discuss this. And if we can do that, then I
12 think we can put it behind us as an SEC issue.

13 **MS. MUNN:** Yes.

14 **MR. GRIFFON:** NIOSH is saying yes, that the
15 door's still open and it's a TBD issue.

16 **DR. ULSH:** Absolutely. We still have
17 confidence in NUREG-1400 but we recognize that
18 you don't. We recognize that there are other
19 data. I think this is probably a heated
20 discussion for another day.

21 **DR. MAURO (by Telephone):** Good, because I
22 think that we've been arguing when we didn't
23 have to. As long as the door is open related
24 to the TBD, I think that we're all in the same
25 place.

1 **MR. GRIFFON:** I think part of it, and
2 Brant's right. I hate to go back to transfer
3 some ^ for future meetings. I've held back a
4 few past times in the Rocky Flats meetings to
5 be honest, but I mean, we did say, and part of
6 it is this, you know, I think we all feel the
7 pressure to narrow this list.

8 And I certainly said that it was a
9 non-SEC issue, probably did not have SC&A's
10 full analysis. At that point I'm not sure if
11 we had it or not. But anyway, I think we're
12 all saying now the door's still open for the
13 TBD process.

14 **DR. WADE:** And it's this work group that
15 looks at it.

16 **MR. GRIFFON:** Right.

17 All right, are we done with the
18 agenda? No, we're not. I feel like we should
19 be done. I'm kind of --

20 **MS. MUNN:** How will this appear in the final
21 report? How's this going, is this going to
22 change? It should change if we're going to
23 present this --

24 **MR. FITZGERALD:** Well, I think in the
25 addendum I'd like to take a crack at it in

1 terms of capturing what we're talking about
2 here. Putting in perspective that this is not
3 a debate on NUREG yes or no, but an agreement
4 that even though there continues to be some
5 technical differences on NUREG-1400 that there
6 are alternate means available, and certainly
7 they'll be explored on the basis, a TBD basis.
8 Just kind of lay that out for the record.

9 We'll also indicate that consensus was
10 reached in March 7th, but clearly there was a
11 misunderstanding; and therefore, we're at this
12 point. Just something that will put things in
13 perspective and make sure it's very clear.

14 **DR. MAURO (by Telephone):** I'd like to add a
15 little bit. I think to a degree this was
16 triggered by one of the criteria that we
17 operate within, within the SEC guidance that
18 the working group put together. One of the
19 items is an example of proof of principle.
20 And I think that's what really triggered this.

21 In effect, what we're really saying is
22 we certainly can construct at this time
23 several examples of the proof of principle
24 which covers a range of different approaches
25 that theoretically could be used. One of

1 which we would all agree upon or at some time
2 in the future we believe that we -- how do I
3 say this? The fact that we don't actually
4 have, right now, in the evaluation report, an
5 example of the proof of principle that we all
6 agree upon, yup, that's the way to do it.

7 I think that's what the problem is
8 with the conversation we're having. And as a
9 result, SC&A is put in the difficult position
10 of saying, you know, you didn't meet that one
11 criterion by providing a proof of principle
12 because that hasn't been done yet. So I'm
13 trying to find a way to say that, well, the
14 conversation that we just had implies that,
15 well, we probably could, if we wanted to right
16 now, develop other methods that would also be
17 other ways in which we could reconstruct or be
18 a little bit more conservative than SC&A
19 believes would be more consistent with what we
20 believe is the right way to do it.

21 So in a way the problem we're going to
22 have, Joe, is writing our language in such a
23 way in our report that it's able to concur,
24 yes, in principle, that criteria in principle
25 has been met because we believe that such a

1 model can be applied. But we've got the
2 strange situation we're in because we're on
3 the horns of a dilemma. We want to say it's
4 behind us, but we sort of have in front of us
5 this criteria of proof of principle. I'm not
6 quite sure what to do about that.

7 And maybe, Mark, do you have some
8 thoughts on that?

9 **MR. GRIFFON:** No, that's why I said, I think
10 we're sort of in this middle ground, and I'm
11 trying to, you know, I think part of the
12 reason the Board went down this whole path of
13 proof of principle was that we needed to hear
14 more than we have a lot of data. And so, and
15 then we sort of went through this hypothetical
16 phase when we said, oh, that's getting us in
17 trouble for a number of reasons so we wanted
18 proof of principle.

19 But I think in this case, I think
20 we've had enough discussion around the other
21 data that is available. It's more of the
22 application of it that there still remains
23 some disputes over it. So it's not just a
24 matter of, so I think in a sense, you know, we
25 haven't completely had a proof of principle

1 because NIOSH is still sticking with the
2 original model. But --
3 (Whereupon, telephonic background noise
4 interrupted the proceedings.)

5 **MR. GRIFFON:** You okay, John?

6 **DR. WADE:** Somebody's going to have to look
7 at their phone situation. There's a race car
8 sound. We're hearing all kinds of noises.

9 **MS. MUNN:** I thought it was a Harley.

10 **DR. WADE:** So please mute your phone. If
11 you can't control background noises, hang up.

12 **MR. GRIFFON:** But we have the, you know, we
13 have this, I guess my position is that NIOSH
14 has gone further than just saying that we have
15 other data out there. They've looked at it.
16 We've seen what kind of data it is, and now
17 there's just a dispute about application. So
18 I think we have a sense that there is data
19 there that could be used to bound. If you
20 take the position that you don't want to agree
21 with NUREG-1400, there is this other data that
22 could certainly be used or that's my sense
23 anyway right now.

24 **MR. FITZGERALD:** I think what John though is
25 pointing out, this is a dilemma I referred to

1 earlier where we get into proof of principle
2 which is how to gauge the application of that
3 additional data without actually going through
4 a demonstration. And I think that's a general
5 challenge when you get into proof of
6 principle.

7 **DR. WADE:** I think the only answer is common
8 sense. I mean, the reality is when the Board
9 votes on an SEC petition not all the dose
10 reconstructions will be done, and that's a
11 reality. So you're going to have look at
12 reasonableness of what is undone and make some
13 judgment. I applaud the Board's desire to see
14 as much as it can, but in point of fact not
15 everything will be done.

16 **MR. GRIFFON:** And what I was trying to say
17 here is that we have more than just NIOSH
18 coming in and saying we've got lots of data
19 for that. They've actually examined it
20 further than that and broken it down further
21 than that. So we have more than just data
22 there that, you know, well, we have this data
23 there. We could use it if we want. They've
24 examined it to some extent. So there's been
25 analysis on it.

1 **MR. FITZGERALD:** I think certainly we want
2 to go ahead and attempt to frame it up in that
3 context and just see what we can do.

4 **DR. ULSH:** Yeah, more than one way to prove
5 a principle I guess.

6 **MS. MUNN:** But the first week of May, the
7 only issue with respect to thorium, that's
8 going to be before us, is whether or not our
9 lack of information and lack of ability forces
10 an SEC cover on the thorium issue. And that's
11 not the case. That's simply not the case.
12 The data is there. The dose can be
13 reconstructed. The thorium issue is not an
14 SEC issue.

15 **MR. GRIFFON:** The last question I have on
16 thorium, and this should close it out I hope,
17 is -- and I talked to Brant about this. The
18 question of how do you determine who gets
19 assigned thorium dose. And I think you've got
20 a good handle on that. I did ask him earlier
21 if he could say something for the record so we
22 have a good sense of that. But then otherwise
23 I think it's --

24 **DR. ULSH:** Right. Mark, you've got to
25 consider or recall that there were a couple

1 different types of thorium operations at Rocky
2 Flats. The first was the ingot operation that
3 occurred in I believe 1960. I'd have to look
4 and make sure. There is a notation in one of
5 the health physics log books that covers that
6 operation that lists, I believe it's nine
7 individuals by name, and for some of them by
8 badge number. So we know pretty well who was
9 involved in that operation. So that's the
10 thorium ingot operation.

11 Now, for the thorium strikes I'll just
12 give you a couple of sentences of context
13 here. The thorium strikes were in terms of
14 physical extent of the operations, were a lot
15 like a chemistry experiment in that it was a
16 very small physical operation. In other words
17 the thorium strike was performed inside a
18 reaction vessel which was in turn inside a
19 high integrity glove box.

20 So there were only a couple of people
21 that were directly involved with the hands on
22 part of the thorium strikes. And those two
23 individuals wrote a detailed report on the
24 chemistry and the process. I'm not going to
25 say their names on the record just because I'm

1 not sure if I can, in an overabundance of
2 caution.

3 One of the people we have interviewed,
4 and he told us directly the details of that
5 operation. So those are the two people that
6 you would expect to be at highest risk in, to
7 the extent that there was any for internal
8 exposure in a thorium strike. There were also
9 some additional people who were monitoring
10 health physics coverage because that type of
11 operation has a very high external exposure
12 hazard. So it did receive a lot of attention.
13 But it's primarily going to be those two
14 people directly involved in that.

15 And then finally, you have the final
16 operation which was the, receiving the
17 finished parts, thorium metal parts, from Y-
18 12. There, there's really no external
19 exposure potential. Now let me go a little
20 further on that. The finished parts were used
21 in models, and I don't really want to go a
22 whole lot farther than that. Originally, and
23 I regret saying this to this day, I said I
24 can't tell you that if there was a high spot,
25 they didn't go grind it off.

1 Well, at that time that was true. I
2 couldn't say that. But since then, and I
3 think this is in our report, our latest
4 report, we have interviewed the research
5 machinists who, if they had done any grinding
6 or machining of those parts, these are the
7 guys that would have done it. And I can't
8 remember exactly how many individuals that we
9 talked to, but more than one for sure. And
10 they said we just don't recall doing that. I
11 mean, we didn't machine these parts.

12 So therefore, I don't think there's an
13 internal exposure hazard for that particular
14 operation. It's not so much of an issue. But
15 the other two, the thorium strikes and the
16 ingot operation got a pretty good handle on
17 who was involved. So I think that is how I
18 would answer Mark's question. Unfortunately,
19 for those of you on the phone, Mark has left
20 the room briefly.

21 **DR. WADE:** Why don't we take a five-minute
22 stretch break. Mark is not with us. Five
23 minutes we'll be back. We're going to, we
24 won't break the contact line. We will mute
25 the phone for five minutes. Just a quick

1 break, thank you.

2 (Whereupon a break was taken from 3:00 p.m.
3 until 3:13 p.m.)

4 **DR. WADE:** Mike and the three in the room?
5 Okay.

6 **MR. GRIFFON:** I think, since I left a little
7 early, I think we closed out thorium, correct?

8 **DR. ULSH:** I'll go for that.

9 **MR. GRIFFON:** Closed out again. I know,
10 second meeting in a row.

11 **DR. ULSH:** I just repeated our earlier
12 discussion on the record.

13 **MR. GRIFFON:** I apologize. I wanted to
14 catch, Jim Neton was leaving and I had to ask
15 him something.

16 **DATA COMPLETENESS**

17 So now we have data completeness, and
18 I'll just turn it over to Joe and ask you to
19 give us an update on this. This is one of the
20 five, right? We're going back to your
21 original four.

22 **MR. FITZGERALD:** Really given the span of
23 issues that we addressed in data completeness,
24 and it was quite extensive, I think that was
25 quite a bit of progress to get it down to just

1 the question revolving around, I believe it's
2 441. Am I correct, Arjun?

3 **DR. MAKHIJANI (by Telephone):** No, 4-4-4.

4 **MR. FITZGERALD:** Four-four-four, I'll get it
5 right, non-plutonium workers and the questions
6 of a bounding analysis. And again, I think we
7 tend to in these other issues talk about proof
8 of principle or try to demonstrate if the
9 approach is bounding more than whether the
10 concept is, itself, valid. And I think this
11 is one of those cases.

12 Arjun, do you want to add?

13 **DR. MAKHIJANI (by Telephone):** Yeah, I mean,
14 we looked at the data that Brant gave and all
15 the other data that they put up, that NIOSH
16 put up on the O drive, and Brant is right that
17 there was a lot of data on Building 44. Now
18 we didn't see any job types identified in that
19 data.

20 Brant, do you have any job types
21 identified with Building 44 data?

22 **DR. ULSH:** Well, Arjun, I think to get that
23 type of information you would have to link the
24 individuals' hard copy file and look at their
25 cards.

1 there.

2 **MR. GRIFFON:** Are there other data
3 completeness questions, Joe, or should we take
4 them one at a time here and let Brant --

5 **MR. FITZGERALD:** Well, the '69, '70, I
6 think, we probably should address separately.
7 I mean, it's a separate issue, but it's data
8 completeness as well.

9 **MR. GRIFFON:** And I still haven't probably
10 haven't read every detail of the report.
11 There's the question of the pre-'69, I don't
12 know if we ever, I mean, I think we've kind of
13 attempted to get our hands around this, but
14 the question of there were some workers in
15 your analysis that didn't have data from, say,
16 '64 to '69. They had no records, and it was
17 the period when we thought that everybody was
18 going to be monitored. But then apparently,
19 that wasn't necessarily the policy going
20 forward.

21 And then I had asked as a follow up
22 can we examine some of these cases to see, in
23 fact, if they had blanks in their record and
24 zeros in the database. And I think you did
25 look at that, right? And you didn't find --

1 **MR. FITZGERALD:** No.

2 **MR. GRIFFON:** -- anymore years with that
3 kind of problem.

4 **MR. FITZGERALD:** No, no, it's a span that
5 we're talking about

6 **DR. MAKHIJANI (by Telephone):** Yeah, that's
7 right, Mark. I mean, I don't think that we
8 did any sort of extensive or statistical
9 analysis. But we did, I certainly looked at a
10 couple of them. I don't know, other members
11 of our team looked at more, and we did not, I
12 did not find that the gaps had been replaced
13 by zeros as there were in '69.

14 **MR. GRIFFON:** I guess we have this odd
15 problem in '69 and '70 which NIOSH has taken
16 an action to correct or at least to mitigate.
17 And we have no evidence that that has occurred
18 in any of the other years or not as far as you
19 can find.

20 **MR. FITZGERALD:** Not as far as the sampling
21 that we've done nor has NIOSH done. I mean, I
22 think it's clear right now it's just the
23 situation for those two years although we
24 still have not established a firm endpoint,
25 but nonetheless, it looks like it's combined

1 in '69 and '70.

2 **DR. ULSH:** We've got a couple of different
3 issues, I think, that we might be mixing up --

4 **MR. GRIFFON:** Start off with Building 44,
5 the early year issues, I guess.

6 **DR. ULSH:** There was the question -- I'm
7 trying to think of how it went at the last
8 working group meeting. SC&A has raised the
9 question, I think this -- Ron, you can correct
10 me if I'm wrong, but I think originally the
11 motivation for the question was that workers
12 in the uranium foundry would be expected to
13 have a significant shallow dose potential.
14 And so you all were concerned about whether or
15 not OTIB-58 would bound those workers. I
16 think that's where it originally came from.
17 Am I right about that?

18 **DR. MAKHIJANI (by Telephone):** Right, yeah,
19 that's correct, Brant. That is correct.

20 **DR. ULSH:** At the last working group
21 meeting, as Arjun mentioned, I handed out some
22 data that showed that the Building 44 workers
23 were indeed monitored. There was an example
24 that I handed out. And we're talking about in
25 the '50s now. And I think that the concern

1 was that since SC&A had found gaps or periods
2 without monitoring in the '50s for Plant B
3 workers, they were concerned about whether or
4 not the foundry workers would be monitored.

5 And I pointed out that the foundry
6 was, in fact, not in Plant B. It was in
7 Building 44, and then so the question became,
8 well, okay, fine, but were they monitored.
9 And I handed out that example, and then it was
10 requested at the last work group meeting, it
11 was an action item for us to supply the
12 monitoring data for other years in the '50s.

13 **MS. MUNN:** A few foundry worker dose sheets.

14 **DR. ULSH:** Now one thing that's going to be
15 difficult, and Arjun has alluded to it, and
16 that is that what we have on the data that I
17 supplied is the dosimetry results for people
18 who worked in Building 44. And I've
19 purposefully refrained from calling them
20 foundry workers because that is really an
21 artificial construct.

22 Building 44 is where the DU foundry
23 was. That is certainly true. But it's not a
24 defined job title of foundry worker. That's
25 not a job title. Like for instance in

1 Building 76 you have process operators. Well,
2 there isn't a corresponding foundry worker job
3 title. So there is some degree of difficulty
4 in determining from the Building 44 results
5 who was doing foundry-type operations.

6 And that's not the only thing that
7 occurred in Building 44. I mean, certainly
8 some of those operations were of the type that
9 would lead you to high shallow dose potential.
10 And I think one that was mentioned in
11 Putzier's memoir and also SC&A has mentioned
12 it I think from that source was the breakout
13 molds from DU. And the contact dose rates
14 could be very high on those. So I think
15 that's kind of the background of where it all,
16 where the issue came from.

17 When I provided, after the working
18 group meeting, the last working group meeting,
19 when I provided the depleted uranium -- I'm
20 sorry, the Building 44 dosimetry results, I
21 think that spanned most of the '50s although
22 I'd have to go back and look because that was
23 a concern that was expressed about those
24 years.

25 Now in terms of peeling out the

1 foundry workers, well, like I said, I mean,
2 that's an artificial construct anyway. You
3 could kind of make a guess by looking at the
4 dosimetry results who had the highest ones.
5 Then you could identify who was foundry and
6 who wasn't.

7 **MR. GRIFFON:** Assuming the foundry workers
8 were monitored, right? I mean, that assumes
9 that they were at least in the cohort.

10 **DR. ULSH:** Yes, exactly. And we have to, I
11 mean, we have found individuals whom we know
12 because they're people just through personal
13 knowledge, I mean, not me but other people on
14 the ORAU team know those people and know what
15 they did. And so for those individuals we
16 could peel them out, and they were monitored,
17 but it's a big site.

18 There's a lot of people there. There
19 is some degree of assumption here. And I
20 think you have to go with common sense. I
21 mean, why would they monitor Building 44
22 workers and not monitor the people with the
23 highest exposure. And you might ask that
24 question --

25 I know, Mark, you're giving me the

1 look.

2 **MR. GRIFFON:** I didn't give any look. I
3 just wanted to use your words back at you.
4 Seventy-one sound familiar?

5 **DR. ULSH:** But there is some evidence that
6 at least some of those people were monitored.
7 If you look at the Building 44 dosimetry
8 results, some of them are substantial. So it
9 would certainly make sense, and I can tell you
10 that the badging policy in place at the time
11 was -- you know, we've heard this before -- if
12 they had a significant exposure potential
13 defined as greater than ten percent of the
14 regulatory limit at the time, they were to be
15 monitored. And that relies a bit on the
16 judgment of the radiation protection staff in
17 place at the time. That is certainly true.

18 So what we have established is maybe
19 not a definitive piece of paper that says we
20 monitored foundry workers, and I've told you
21 why we wouldn't expect to find that, but what
22 we have established is that there were a
23 significant number of people in Building 44
24 who were monitored. Some of them received
25 very, well, some of them received some

1 appreciable external doses, and certainly you
2 can see the types of ratios of shallow-to-deep
3 that you would expect from these foundry-type
4 operations. So as Mark mentioned earlier
5 about a weight of evidence approach, I put on
6 the table that the weight of evidence
7 certainly suggests that they were monitored.
8 We have monitoring for all the years in the
9 '50s I think. I have to look, but, so to that
10 extent I think we're left with trying to
11 differentiate out the people who may not have
12 been involved in foundry operations in
13 Building 44, and I would go to the dosimetry
14 results. People who have low dosimetry
15 results would be good candidates for that.

16 **DR. MAKHIJANI (by Telephone):** Low dosimetry
17 results?

18 **DR. ULSH:** The people who, Arjun, I would
19 suggest to you that if I were trying to
20 separate out people who did hands on work with
21 the DU metal who might be expected to receive
22 appreciable shallow doses, I would use the
23 dosimeter results as the source. I mean, if
24 you find people who have a high shallow-to-
25 deep ratio, those would be good candidates.

1 And if you find people who have zeros, they're
2 probably not good candidates.

3 **DR. MAKHIJANI (by Telephone):** So, okay, I
4 didn't understand what you said.

5 **DR. ULSH:** So, I don't know. That's where I
6 am with this. Now that's for the '50s. Is
7 that --

8 **MR. GRIFFON:** Just to refresh my memory, Joe
9 or Arjun, the individuals that we looked at
10 with the gaps or the questionable periods in
11 the '50s, were they identified in Building 44
12 or are we, another question came up out of
13 that review?

14 **DR. ULSH:** Plant B. They were in Plant B.

15 **DR. MAKHIJANI (by Telephone):** They were
16 primarily in Plant B. There were a few
17 scattered in other places. I do not believe
18 that we actually found anyone with a history
19 in Building 44 that was part of those gaps.
20 I'm doing this from memory, but I think that
21 Brant is right about that.

22 **DR. ULSH:** I think that's the way I remember
23 it, too.

24 **DR. MAKHIJANI (by Telephone):** There were
25 other places than Plant B, but I don't believe

1 that any of them were in Plant A. Now, well,
2 I had a question, but I don't know where you
3 were headed.

4 **MR. GRIFFON:** Did we conclude -- yeah, just
5 let me finish my thought here. Did we
6 conclude that the gaps in those workers seemed
7 appropriate given their job type in the plant?
8 I mean, that's a question that I had just
9 aside from this Building 44 question.

10 **DR. ULSH:** Yes, that's what we concluded. I
11 can't speak for SC&A.

12 **MR. GRIFFON:** Right. Well, I'm asking if
13 SC&A was in agreement with that.

14 **DR. MAKHIJANI (by Telephone):** We found, we
15 agreed that we did not find any gaps at that
16 time in the -- and Ron, correct me if I'm
17 wrong. We did not find any gaps among the
18 plutonium buildings in terms of the way we
19 define the gaps, that is, one full year of no
20 monitoring data available. And so the --

21 **MR. GRIFFON:** But to my question --

22 **DR. MAKHIJANI (by Telephone):** -- yeah, it
23 was confined, it was in the non-plutonium
24 areas generally. And within the non-plutonium
25 areas it was mostly in Plant B.

1 **MR. GRIFFON:** But where do you come down on
2 that is the question.

3 **DR. MAKHIJANI (by Telephone):** Yeah, we
4 looked, I agree with Brant that, I looked at
5 the O drive. I don't know, Ron, did you,
6 there are data I believe in most or all years
7 for Building 44, and that had been the center
8 of our concern in terms of if it were the non-
9 plutonium buildings, you know, and the size of
10 our sample was overall statistically
11 significant. But then we were then taking out
12 a small piece of it for the '50s, and so --

13 **MR. GRIFFON:** But I'm still asking about
14 these ones we reviewed. None of the ones we
15 reviewed were in 44, were they?

16 **DR. MAKHIJANI (by Telephone):** No, no.

17 **MR. GRIFFON:** So I'm still asking about the
18 ones that you reviewed that were non-plutonium
19 in the '50s. There were gaps. Brant gave an
20 explanation as to why. I mean, basically I
21 think because of the ten percent and the job
22 title information or --

23 **DR. ULSH:** That is true, Mark; however,
24 Arjun mentioned the gaps that were primarily
25 seen, not exclusively, but primarily in Plant

1 B. That's Building 881. And we talked last
2 time, and we will again this time, how those
3 workers were not monitored externally until
4 the fourth quarter of I believe 1960. And
5 this is an issue that I'm sure we're going to
6 get into about how we cover those people.

7 **DR. MAKHIJANI (by Telephone):** Yes, that's a
8 separate, that was a separate question.

9 **MR. GRIFFON:** Okay, so let's stick with 44,
10 but I just wanted to understand --

11 **DR. ULSH:** Yeah, but in terms of the 52
12 cases that we looked at for the data
13 completeness evaluation, it was our conclusion
14 -- now this is only NIOSH -- that the gaps
15 that you see in the periods with no monitoring
16 are explainable primarily by two factors.
17 One, in several instances the people weren't
18 onsite, or in some instances, so you wouldn't
19 expect there to be monitoring results.

20 And two -- and I'm not sure which was
21 the more common. I think maybe this one was
22 the more common -- the jobs that they were
23 doing as discerned from their job history
24 cards. For instance, if they were a janitor
25 and you know --

1 **DR. LITTLE:** Administrative secretary.

2 **DR. ULSH:** -- yeah, those would be
3 consistent with them not being monitored. And
4 you're right, Mark, this is another thing that
5 you mentioned in your original question I
6 think. Originally we had assumed that
7 everyone was monitored after '64. And that
8 turns, that's not the case throughout the
9 history. It appears that there was at least a
10 small number of Rocky Flats workers who had
11 low exposure potential were not monitored.

12 So that's kind of what we found. I
13 don't want to go further than that and speak
14 for SC&A.

15 **MR. GRIFFON:** Just sticking with the '50s
16 still, do we, SC&A, does SC&A agree that
17 there's gaps? Do you accept the explanation
18 given by NIOSH for those gaps I guess is the -
19 -

20 **DR. MAKHIJANI (by Telephone):** You know, we
21 didn't find any different explanation. The
22 question that arose from the existence of
23 those gaps since there were gaps for full
24 years wasn't about what the policies were at
25 the time or the judgments of the health

1 physicists which, as we saw this morning,
2 weren't 100 percent correct.

3 The question that arose from the fact
4 that there were these gaps was does the, can
5 the coworker model be demonstrated to cover
6 those gaps in a bounding way or is there
7 another set of data that can do the same job
8 and can that be demonstrated. So as I
9 mentioned, this wasn't a question about
10 monitoring practices. It was simply left at
11 the proof of principle level just following
12 the Board's criteria of what is required to
13 review as we review NIOSH's work on SEC.

14 **MR. GRIFFON:** And just to stay with that
15 thread, are we convinced that the coworker
16 model bounds those years?

17 **DR. MAKHIJANI (by Telephone):** Well, you
18 know, we have not, as I said, that's why I
19 looked, when I looked at Building 44, I saw
20 lots of data there. We did verify that so
21 that is correct. I believe that Brant is
22 right. I think there are data, my memory is
23 the same as his, there are data for every year
24 and quite copious, but no job types.

25 And since there's a particular types

1 of jobs that had the high exposure potential,
2 you know, the only question remaining
3 reviewing that data since the last meeting was
4 how are we going to identify those people and
5 make sure there's an approach to covering them
6 and/or make sure that they were all monitored
7 since their job types are not mentioned.

8 I mean we've felt all along that, you
9 know, people made the best judgments that they
10 could at the time, but they're not infallible
11 and that some data are preferable before you
12 affirm an idea that somebody was doing things
13 right all the time. And I think as it's
14 turned out with neutron doses this morning
15 finally, we have an idea that maybe an initial
16 judgment was not quite right when it tried to
17 be verified by numbers. And so it's the same
18 concern here is that can you show it.

19 **DR. ULSH:** Well, I think that's true, and
20 let me be more specific here. In this
21 situation the thing that originally I think
22 maybe caught SC&A's attention was the memoirs
23 of Ed Putzier. And if you look at his
24 memoirs, he identified that this was
25 recognized as an issue back in the '50s. And

1 they had housekeeping issues; they had pretty
2 high contact dose rates.

3 And I would certainly agree that we're
4 talking about, when we're talking about the
5 radiation protection staff, I agree with
6 Arjun's characterization. They were doing as
7 good a job as they could. I think I would go
8 a little further and say in general they did a
9 pretty good job, but they're not infallible,
10 and we saw that this morning, and that is
11 true.

12 However, I don't think you can
13 necessarily equate that to the situation in
14 Building 444 because it's clear from Putzier's
15 memoir that it was recognized early on that
16 there was significant exposure potential here.
17 Now again, I have to go back to it. This is
18 just the weight of the evidence, and you
19 certainly see that in the dosimetry results
20 there are people who would fit the profile you
21 would expect if they're doing the type of work
22 that we're concerned about here. You know,
23 high shallow-to-deep ratios.

24 So at least some of them I would say
25 are there. I mean, I would say that the

1 weight of evidence suggests that they were
2 monitored. I mean, if the hazard was
3 recognized as Putzier's memoir indicates that
4 it was, and you have dosimetry results for
5 workers who were in Building 44 who may not be
6 able to say that Worker X was a foundry
7 worker, and let's go look and see if he was
8 monitored. But the weight of the evidence
9 certainly points in that direction.

10 It's just a question of for the
11 working group and the Board, do you feel the
12 weight of the evidence is strong enough.

13 **DR. MAKHIJANI (by Telephone):** Brant, could
14 I ask, did you actually, is there a table or
15 something of the ones with high shallow-to-
16 deep ratios? I mean, you seem to have
17 examined it from that point of view.

18 **DR. ULSH:** No, Arjun, I'm speaking more
19 anecdotally from when I was looking through
20 the dosimetry results. I haven't done a
21 formal analysis or anything.

22 **MR. BUCHANAN:** What years of operation
23 looked at that or what years of operation that
24 the uranium foundry workers ^.

25 **DR. ULSH:** Ron, let me go on from Putzier's

1 memoirs. I think he referred to the '50s.
2 I'm not sure if he was more specific in that.
3 And to be honest with you, Ron, I don't know
4 if that would extend up into the '60s and, I
5 mean, I don't know. You'd have to look at the
6 building history, but --

7 **DR. MAKHIJANI (by Telephone):** Well,
8 Putzier's concerns about it, you know, until
9 the time he wrote, but his main concerns were
10 about the early years. I don't believe that
11 he actually wrote down an interval of years
12 for which he was mostly concerned. I think he
13 said early years.

14 **MR. GRIFFON:** This data in the worksheets,
15 is it part of your TIB-58 spreadsheets now?
16 Is it, would it be included in that annual
17 data or is this kind of new data that you've
18 uncovered in response to this question?

19 **DR. ULSH:** No.

20 **MR. GRIFFON:** No, it's been out there.

21 **MS. MUNN:** It's individual dose data, right?

22 **MR. GRIFFON:** Yeah, is it?

23 **DR. ULSH:** The worksheets that I provided
24 are individual dose data, Wanda. And, yes,
25 they would be reflected in OTIB-58. Keep in

1 mind that OTIB-58 prior to 1970-something has
2 a penetrating dose and a non-penetrating dose.
3 And, yes, we certainly expect them to be in
4 HIS-20.

5 **DR. LITTLE:** As a lump sum?

6 **DR. ULSH:** As a lump sum.

7 **DR. LITTLE:** Prior to '76.

8 **DR. ULSH:** Thank you, that's what I was
9 reaching for.

10 So, yes, Mark, they are in there.

11 **MS. MUNN:** So the weight of that evidence
12 would lead us to the conclusion that we do not
13 have an SEC issue here.

14 (Whereupon, hotel staff interrupted the
15 proceedings via telephone.)

16 **MR. GRIFFON:** So Wanda's conclusion is --

17 **MS. MUNN:** That the weight of the evidence
18 would lead us to believe that dose
19 reconstructions are in fact possible from the
20 data that's there, and this, therefore, is not
21 an SEC issue.

22 **MR. GRIFFON:** Yeah, I think the final, is
23 there any, there's no way to, I forget these
24 ^. I was just looking for these worksheets on
25 my drive. I know I have them somewhere. Are

1 there exposure IDs or any way to link these to
2 individuals? You said --

3 **DR. ULSH:** I'm trying to remember, Mark. I
4 know that they were given by name, but we
5 might have main number in there. I'm not
6 sure.

7 **DR. LITTLE:** Are you talking about the
8 worksheets?

9 **DR. ULSH:** I'm talking about the worksheets
10 that we put, we mailed out to the working
11 group for '50s, Building 44.

12 **DR. LITTLE:** I think they have names. They
13 have mang numbers, too. I'm 99 percent sure
14 that's true.

15 **MR. GRIFFON:** Because you say even if we,
16 I'm just wondering if I cross-checked some of
17 these names with their cards -- they may not
18 be called a foundry worker anyway. Is that
19 what you're telling me?

20 **MS. MUNN:** They aren't.

21 **MR. GRIFFON:** There's no such job title so
22 it's not, it's going to be --

23 **DR. LITTLE:** They're probably a chemical
24 operator or a assistant operator or something
25 of that nature.

1 **DR. ULSH:** You've got to remember, Mark,
2 that the dosimetry results that I provided to
3 you are not limited to the claimants, and we
4 have the job history cards for claimants.

5 **MR. GRIFFON:** So there may not even be any
6 matches.

7 **DR. ULSH:** Yeah, you just have to, needle in
8 a haystack.

9 **MR. FITZGERALD:** Would those be considered
10 for, you know, just as on external dose, it's
11 attributed to those working radiation areas ^
12 I would think that would be ^ that category,
13 right?

14 **DR. ULSH:** Yeah, I mean, if we had an
15 unmonitored person who did foundry-type
16 operations, I would say -- Mutty's not going
17 to jump across the table and reach me -- but I
18 think that we would certainly give 95th
19 percentile to people like that.

20 **DR. WADE:** Mike, are you still with us?

21 **MR. GIBSON (by Telephone):** Yes.

22 **MR. FITZGERALD:** Arjun, are you still with
23 us?

24 **DR. MAKHIJANI (by Telephone):** Yup.

25 **MR. FITZGERALD:** Any reaction on that before

1 we get to --

2 **DR. MAKHIJANI (by Telephone):** Well, no, I
3 think, you know, a decision about whether
4 something's SEC at this kind of stage and
5 whether the Board's criteria is being
6 fulfilled clearly belongs to the working
7 group. The comment that we made is there that
8 certainly the data, there are plenty of data.
9 They're not identified by work type, you know.

10 I take Brant at his word that there
11 are some data with high shallow-to-deep doses,
12 and I think that then certainly the rest is
13 for the working group to say how much of a
14 proof of principle they want.

15 **MR. GRIFFON:** And I think if we're
16 reasonably convinced, and I think Wanda is,
17 that the most exposed were monitored, you know
18 are in this population, I might amuse myself
19 if I had time to check some of these cards.
20 But I think it's going to be kind of fruitless
21 because I think it's probably, you're not
22 going to find a foundry operator or whatever
23 foundry worker.

24 So as long as, and I have no reason to
25 believe they wouldn't have had some operators

1 in that population, I think they probably have
2 gotten the bounding job types covered. So I
3 guess that's the question is if we've got the
4 gaps and they apply. And I also, looking at
5 the procedures, I have no reason to believe
6 that they wouldn't assign a 95th in this
7 scenario for the external coworker model
8 because that's different than the internal
9 coworker model.

10 And Mutty's nodding his head, let the
11 record show. And I think that's the general
12 rule is that if it's in a radiation operating
13 area, then you --

14 **MR. FITZGERALD:** That would make a
15 difference from my standpoint. One can't
16 close that loop on this so-called proof of
17 principle. It's almost the, what's the
18 overall available cautions or conservatism.
19 So I think that will make a big difference.

20 **MR. GRIFFON:** So I think from that
21 standpoint it's, I think we're satisfied with
22 that, at least I am personally.

23 **MR. FITZGERALD:** What's next?

24 **MR. GRIFFON:** I mean when we, let's see. I
25 just want to go back to that Building 81

1 question that you mentioned. Is that part of
2 this discussion or is it another item? I
3 think bring it up now I guess.

4 **MR. FITZGERALD:** Well, the 81 issue devolved
5 from discussions on the other issues of the
6 last couple of work group meetings. I guess I
7 don't have a bin for it. We certainly cite it
8 in the completeness analysis of it, didn't
9 provide a bottom line conclusion as to whether
10 it was an issue or implication there.

11 Arjun, do you remember how that came
12 up in terms of part of this discussion and it
13 just kind of fell out of it.

14 **DR. MAKHIJANI (by Telephone):** Well,
15 Building 81 is distinguished in two ways from
16 what we've just been talking about in that in
17 Building 44 there are data. They've been
18 identified, and there are data throughout the
19 period, their names attached to them if I
20 remember correctly and so on.

21 In Building 81 the '52 to '59 period
22 does have lots of workers who were not
23 monitored. They were working mainly with
24 uranium. There were some other areas besides
25 Plant B where there are significant gaps in

1 the sense of not being monitored for whatever
2 reason. And we didn't see that the exposure
3 potential had been quantitatively
4 characterized so as to be sure that it was
5 covered by the coworker model.

6 And so that is the issue. It's a
7 different kind of proof of principle issue is
8 that there is a coworker model, but there's no
9 demonstration that it applies to this group of
10 workers who were production workers who were
11 not monitored and whether it covers them
12 adequately or not.

13 So, I mean, that's where we left it.
14 We haven't seen anything more specific than
15 that. And I think we do have agreement that
16 in those areas there were workers who were not
17 monitored because they were felt to be not at
18 high exposure potential.

19 **DR. ULSH:** Do you want me to go ahead?

20 **MR. GRIFFON:** Uh-huh.

21 **DR. ULSH:** You are correct, Roger -- Roger,
22 Arjun -- we do have agreement that there were,
23 there was a time period when the workers, the
24 uranium workers in Building 81 were not
25 monitored. That time period is prior to the

1 fourth quarter of 1960, I believe.

2 **DR. MAKHIJANI (by Telephone):** That's
3 correct.

4 **DR. ULSH:** Okay, thanks. And that was
5 discussed by the ORAU team, that was pointed
6 out in a conference call that we had with
7 SC&A. And that came up in that that you
8 mentioned that you had found a lot of
9 unmonitored periods or gaps in the Plant B
10 workers in the '50s. And so that's when we
11 said, yeah, that's because they weren't
12 monitored until the fourth quarter of 1960.

13 **DR. MAKHIJANI (by Telephone):** Right.

14 **DR. ULSH:** Now I don't think it's, I don't
15 think I would characterize that we have not
16 performed an analysis to show that they were
17 bounding. I would say that we have presented
18 an analysis and maybe SC&A is not convinced by
19 that, but we certainly have presented an
20 analysis.

21 I'm looking at our response to SC&A's
22 draft report on this chapter, Chapter 8, the
23 "Data Completeness Evaluation," and we
24 presented an analysis that shows that once
25 they were, okay, first of all the reason that

1 they were not monitored --

2 **DR. MAKHIJANI (by Telephone):** Can you give
3 me the date of the paper you're talking about?
4 There are a lot of papers here. Is that
5 2/26/07?

6 **DR. ULSH:** Sounds right. I don't have a
7 date on my copy here but it sounds about
8 right. And I'm on page five if that's the
9 right report.

10 So the reason they were not monitored
11 is because they were judged by the health
12 physicists at the time to be at less than ten
13 percent of the exposure potential. So what we
14 see is that when they were monitored in 1960,
15 and we also have results for 1961, that
16 certainly turned out to be the case. They
17 were at less than ten percent of the exposure
18 potential.

19 But now we're into a situation where
20 we have to back extrapolate again because we
21 have some monitoring data here, and it
22 certainly shows that in the years when they
23 were monitored, 1960 and '61, number one, they
24 were at less than ten percent of the exposure
25 potential, but number two, and more

1 importantly and more relevant for what we're
2 talking about here, the coworker dose that we
3 would apply was bounding in those years.

4 Now, we're back to this back
5 extrapolation. What do you do about the
6 period when these workers were not monitored?
7 Well, again, you have to be cautious when we
8 do this, when we back extrapolate. And we
9 have to consider whether or not there were
10 changes in the source term that would have
11 compromised our conclusion.

12 Now, I do want to be clear that we're
13 not back extrapolating data. What we're doing
14 is back extrapolating the presumption that our
15 coworker model is shown to be overestimating
16 or bounding in '60 and '61, and that
17 assumption we are going back and saying that
18 applies also to this earlier period when these
19 workers were not monitored.

20 And we presented an analysis that
21 showed that when they were, it was
22 overestimated by pretty large factors.
23 Factors of, and we're talking only about the
24 positive doses that were measured, factors of
25 13, factors of 24, factors of, well, there's a

1 three; there's a four. So we're not talking
2 by a little bit, by ten percent. We're
3 talking about the coworker model overestimated
4 by a significant, and would be bounding by a
5 significant margin.

6 And so what we considered were, were
7 there source term changes that would you would
8 expect to make this assumption suspect. Well,
9 yes, as a matter of fact, there were source
10 term changes. If you look at the amount of
11 uranium that was processed at Rocky Flats
12 beginning in the '50s and going up into the
13 '60s -- I can't give you exact amounts because
14 that is classified.

15 I can tell you the trend though. And
16 the trend was that they started low in the
17 '50s, low inventory, low through-put of
18 uranium, and that increased steadily
19 throughout the '50s up into the early '60s, up
20 into the middle '60s where it kind of
21 plateaued right there in the '60s. And then
22 in 19 --

23 Maybe you can help me out, Bob, 1965
24 was it?

25 Nineteen Sixty-five those operations

1 were transferred to Y-12. So it goes away in
2 1965 at Rocky. So what we see here is that,
3 yes, there were source term changes.

4 If you look at a graph, and you see a
5 steadily increasing line, but that gives us
6 confidence that at least on this source term
7 question, if we use this assumption, or I mean
8 this phenomenon that we've seen in the '60s,
9 well, it doesn't make sense if you consider
10 that factor that they would have been at
11 higher exposure potential based on the source
12 term because the source term was higher in the
13 '60s than in the '50s.

14 Now, if that had been the reverse
15 situation, if they had been higher in the '50s
16 and gone down, well, you would say, well, when
17 they were monitored, they were at lower
18 exposure potential. But not based on the
19 source term for what we see at Rocky Flats.
20 This is all presented in our response here
21 that I've referenced.

22 We also don't see any changes in the
23 building configuration similar to the
24 discussion this morning in terms of
25 improvements in shielding or anything like

1 that that would have depressed the doses that
2 you see in the '60s compared to the '50s. So
3 based on that we just didn't see anything that
4 would call into question this fact, well, what
5 we observed that our coworker dose was, in
6 fact, bounding and not by a little, by a lot.

7 So in order for this not to be
8 acceptable, in order for someone to say that,
9 well, the coworker dose isn't bounding for
10 these workers back in the '50s, you would have
11 to say, number one, their doses were going up
12 when the source term was going down and not by
13 just a little bit but by factors of three, 13,
14 24, a lot. It just doesn't sound plausible to
15 us.

16 **DR. MAKHIJANI (by Telephone):** All right,
17 thank you for reminding me. I remember this,
18 and I believe there is a discussion of this
19 some paper of ours, probably also in the
20 report that we've given you.

21 I have the table that you're referring
22 to in terms of the coworker comparison in
23 front of me, and I think this back
24 extrapolation issue in terms of the source
25 term has been an issue of some discussion.

1 Certainly, we're not arguing about whether the
2 source term was going up in the '50s because
3 that's a matter of record for you looking at
4 the classified data.

5 The thing that we've said is that the
6 source term quantity matters only in one
7 context on a priori basis in terms of exposure
8 potential is that if you've got workers who
9 are going from full time to part time because
10 the source term is going down, then you've got
11 a lower exposure potential. So long as you've
12 got some workers who are doing full-time work,
13 the source term is irrelevant because then
14 you're simply multiplying the number of
15 workers who are exposed and it doesn't matter
16 for individual dose whether the source term is
17 going up or down at that point.

18 What matters are the industrial
19 hygiene conditions, the ventilation
20 conditions, the area dust doses. And there
21 are certainly plenty of examples where you
22 have got relatively small source terms, for
23 instance, typical with AWEs where you have
24 gotten much, much larger intakes than with
25 much larger operations because the industrial

1 hygiene conditions are much worse. So I think
2 this point should be clear that unless there's
3 part-time work, the source term is not
4 relevant.

5 It's the industrial hygiene conditions
6 that are relevant, and that's why the back
7 extrapolation issues are kind raised here. Is
8 there some data to validate this back
9 extrapolation from the time, like dust data
10 and so on. And I believe this question is
11 raised in our report if I remember correctly.

12 **DR. ULSH:** Well, let me talk to that. When
13 you're talking about dust, external dose from
14 airborne material that, I mean, I assume that
15 you're talking about airborne material that
16 settles out on surfaces, not on people's skin.

17 (no response)

18 **DR. ULSH:** And hearing no objection I'll go
19 with that. So what you have to, let's try to
20 put together a scenario where our assumptions
21 would not work. What you would have to assume
22 -- now remember, starting in the '50s, at some
23 point, '52, I don't know the exact year that
24 the uranium operation started.

25 But operations are just getting

1 started so industrial hygiene operations at
2 worst, what you're worried about is an
3 accumulation of airborne material on equipment
4 and surfaces. And that's going to take some
5 time to accumulate. And if you're doing good
6 hygiene, good industrial hygiene, cleaning up
7 after every shift or every week or whatever,
8 then really that accumulation factor is not
9 going to be too much of an issue, and --

10 **MR. GRIFFON:** I think that's the general
11 point though. I think, I mean, every site
12 I've been at, work practices and hygiene
13 improve with time, and I'm not sure. I think
14 the source term is sort of irrelevant because
15 your workforce could go up with the source
16 term increasing, and you know, personal
17 exposures may not be affected by that. I
18 guess the, we're at the same sort of question
19 of do we have some hypothesis or do we have
20 evidence that supports, you know.

21 **DR. ULSH:** Well, what I'm saying, Mark, is
22 that it would certainly be an issue if the
23 trend were going the other way. If you had
24 higher inventories in the '50s going down into
25 the '60s, then we might be concerned. No, I'm

1 not saying that it's sufficient to say that
2 the source term was increasing up into the
3 '60s. I'm just saying that's one piece in the
4 weight of the evidence.

5 Now in terms of the industrial hygiene
6 and this question of dust that accumulates and
7 what not, you have to take into account here
8 that the primary source of external dose is
9 going to be the hands on work with the uranium
10 metal. I'm not saying that the dust, the dose
11 from the dust that accumulates is
12 insignificant, but it's certainly less than
13 the operations that involve the hands-on metal
14 work. So that's not going to be an issue in
15 terms of changing hygiene conditions in the
16 '50s or '60s or whatever.

17 I just, what you have to say here is
18 that the, at the same time that the source
19 term was increasing into the '60s, the hygiene
20 conditions were so different in the '50s that
21 they led to such an increase in external dose
22 from this accumulated material on surfaces
23 that it would counteract not only the source
24 term, if you even think that that's an issue,
25 but it would have to overcompensate the fact

1 that we're overestimating by factors of, by
2 large margins.

3 And I just don't think that that's
4 plausible. I mean by factors of 13, factors
5 of three, factors of four, and you have to
6 also realize that these factors are
7 underestimate because we only considered
8 workers with positive doses in this analysis.
9 It doesn't count anybody with zeros. So, yes,
10 could you have had a little bit of higher
11 exposure from dust on the surfaces in the
12 '50s? Sure you could, but is it going to be
13 so much that it would make our coworker
14 analysis --

15 **MR. GRIFFON:** The dust on surfaces makes it
16 --

17 **DR. MAKHIJANI (by Telephone):** Is it a dust
18 --

19 **MR. GRIFFON:** Arjun, hold on.

20 The dust on surfaces makes a good sort
21 of sell in this situation, to sell your model.
22 But I think it's more than just the dust
23 differences over time. I think, I question
24 whether work practices from '52 through '60, I
25 doubt they were stagnant. I think they

1 learned a lot about uranium handling in that
2 period from '52 up through '60.

3 And maybe shielding, I mean, you're
4 saying we have no evidence that shielding
5 didn't significantly change. You know, you
6 see some of these papers, and they just say,
7 you get suggestions over time that we've
8 learned that, we modified the work practice
9 here so that the workers aren't handling this
10 directly. They're using this approach
11 instead, or whatever because we found high
12 hand exposures. I mean, they were constantly
13 doing that in the early years.

14 So I guess that's my question, not so
15 much to harp on one particular item or
16 another, it's just that trend. And even if
17 the production values were going the other
18 way, I think the production source term is,
19 I'm not sure it's completely relevant because
20 I think if you're scaling down production,
21 you're scaling out workers. And some
22 individuals may just be doing, their
23 particular personal experience may not be
24 changing at all. You're just modifying the
25 workforce in the area maybe. I don't know.

1 Maybe. So I can hypothesize that way as well.

2 **DR. ULSH:** If that's not a factor of concern
3 to you, the source term, then ignore
4 addressing ^.

5 **MR. GRIFFON:** I'm just saying. I'm just
6 saying.

7 **DR. ULSH:** I understand. I want to address
8 the questions that you consider compelling.
9 And if that's not one of them, that's okay.
10 That's fine.

11 But in terms of industrial hygiene, I
12 again have to go back to the fact that, yes,
13 it is certainly possible that industrial
14 hygiene practices improved from the '50s to
15 the '60s. Is that maybe enough to counteract
16 the fact, number one, you have to realize that
17 the health physicists at the time were judging
18 that these people were at low exposure
19 potential. Now might there have been one,
20 two, a couple, some that exceeded that? Sure,
21 there might have been. I can't say that there
22 weren't, but we just don't see people
23 exceeding the 95th percentile of the coworker
24 doses that we're assigning when they were
25 monitored. I mean, we're overestimating by a

1 factor, by large factors. And the question I
2 --

3 **MR. GRIFFON:** And I don't remember that
4 analysis to be honest with you. I mean, I
5 looked at it initially, but I don't even find
6 it.

7 **DR. ULSH:** The question that I think you
8 would have to wrestle with is the possible
9 effects from possible changes in industrial
10 hygiene practices, would they be enough to
11 make our coworker model not bounding? That's
12 the question you have to wrestle with.

13 And also I know that people have
14 varying confidence in the judgment of the
15 health physicists at the time. We know that
16 they were not infallible. We do know that,
17 but they judged at the time that these people
18 were less than ten percent of the exposure
19 limit, and when they were monitored that is
20 certainly borne out. Might they have missed a
21 couple? Yeah, they might have. But would
22 that have put them over 95 percent of the
23 people that were monitored?

24 **MS. MUNN:** One has to use common sense in
25 approaching these things and use the personal

1 knowledge that we have of the individuals and
2 their motivations. And certainly the health
3 physicists of the time were extremely
4 concerned over the health and welfare of the
5 workers. We know that.

6 **MR. GRIFFON:** Oh, sure, but I believe they
7 probably had priorities, too --

8 **MS. MUNN:** Oh, undoubtedly.

9 **MR. GRIFFON:** -- and this type of facility I
10 don't know if uranium was necessarily a big
11 problem. In the early years I don't think
12 people were so real hepped up over external
13 exposures to uranium, and probably rightly so,
14 I mean, so I'm not sure they were thinking
15 let's worry about who might exceed ten, you
16 know, that's my question.

17 **MS. MUNN:** That's probably true, but we do
18 have some data to look at.

19 **MR. GRIFFON:** Yeah, so not for these people
20 we don't. So that's the question. I think we
21 just have to grapple with those figures you
22 gave. There might be differences. Are they
23 really going to throw it off by a factor of
24 three to ten or whatever the examples you
25 gave.

1 **MR. FITZGERALD:** It seems like we have two
2 or three variables at work side by side, so I
3 don't know if there's a way to know how they
4 offset, I think your point's well taken, a
5 source term. But then the question of whether
6 practices improved, it seems to me --

7 **DR. ULSH:** They might have.

8 **MR. FITZGERALD:** They might have.

9 **DR. ULSH:** It seems plausible to me that
10 they did.

11 **MR. FITZGERALD:** I think whether or not the
12 measured doses compare favorably with ^.

13 **DR. ULSH:** It would be nice to lay
14 monitoring data for these people in the '50s
15 before you. That doesn't exist. I've put the
16 weight of the evidence that is available on
17 the table, and I think it's up to you guys now
18 to decide what you think of that. I mean, I
19 don't have a lot more to add to it.

20 **MR. GRIFFON:** Arjun, do you have anything
21 else?

22 **DR. MAKHIJANI (by Telephone):** No, I think
23 Brant is right about that. I think that he's
24 properly characterized the numbers. The 1960
25 data are as they are. And as I said, the

1 point, at least as we have discussed
2 internally, and as somebody that's written a
3 lot of the stuff that's been discussed today,
4 the principle I've tried to follow is to look
5 at the Board's criteria and our operating
6 procedures on what we're supposed to evaluate
7 and present that evaluation to you.

8 So I think, you know, at that point I
9 agree with Brant. The numbers are, we have no
10 dispute about, the numbers, no argument. It
11 is true that the 95th percentile is several-
12 fold the doses that were measured in the
13 fourth quarter of 1960. And if that is being
14 compared to the coworker model, the coworker
15 model at that time was as characterized at the
16 95th percentile. So then it's the Board's
17 judgment call about back extrapolation because
18 these other factors we don't know.

19 **MR. GRIFFON:** I think the only other piece
20 we have to consider in this is how, if we have
21 these different groups or this one group, I
22 guess, that was not included in the
23 monitoring, one question is would a 95th bound
24 and I'm leaning toward a yes as that answer.

25 The second question, I guess, is for

1 those years, '52 through '59 --

2 **DR. ULSH:** Third quarter of 1960.

3 **MR. GRIFFON:** 'Sixty, okay. How does this
4 population of workers not being included in
5 the full dataset affect the coworker model
6 itself. Because you use not only the 95th, but
7 you're going to use the 50th sometimes for some
8 workers.

9 **DR. ULSH:** Well, that's an interesting
10 question, Mark.

11 **MR. GRIFFON:** Sort of a secondary one, but -
12 -

13 **DR. ULSH:** I want to think about how to
14 answer that. I can tell you that they were
15 judged to be a low exposure potential. When
16 they were monitored, that judgment was
17 supported. When they weren't monitored, you
18 can make your own conclusion. In general, as
19 a general principle, if I exclude a group of
20 workers who were at low exposure potential,
21 you accept that they were, that would be
22 claimant favorable. That would shift my
23 distribution to the right.

24 **MR. GRIFFON:** No, no, I agree with that, but
25 in looking at the 44 data or if I recall what

1 you said about the 44 data, like I said I
2 couldn't find it in real-time here on my
3 computer. But going back to 44 for a second,
4 for those you indicated that maybe it was by
5 your personal knowledge of the names or
6 whatever.

7 You said some of them were management,
8 and some of them were operators, some of them
9 were, so it makes me wonder was this judgment
10 of ten percent of the RPG based on like
11 building or was it on job or, you know. And
12 managers were included in this population that
13 was monitored in the early years. It makes me
14 wonder if, in fact, --

15 **DR. ULSH:** I think I recall -- yeah, I
16 understand your question. I think I recall
17 our discussion at the last working group
18 meeting about this because the question came
19 up. What was the unit of decision. Was it an
20 individual-based question or was it a
21 building-based question? I think the answer
22 that we heard, I'll have to defer to people
23 who were actually there, but I think the
24 answer that we heard was it was by work group,
25 by org code.

1 Now that conversation occurred in the
2 context of Building 44. I don't have any
3 reason to think it would be different in other
4 places. Is anyone out there on the ORAU team
5 that can speak to that?

6 (no response)

7 **DR. ULSH:** No one's going to take the bait,
8 huh?

9 **DR. LITTLE:** Is anybody out there from ORAU?

10 **DR. ULSH:** I can get back to you with an
11 answer on that, Mark.

12 **DR. LITTLE:** Well, I think to some extent I
13 can speak to that because the, certainly we
14 did find one indication of people who were in
15 the uranium foundry, and it was in a personnel
16 strength report. It was from 1962 or '3 it
17 seems to me. And those, a strength report is
18 essentially a compilation of how many people
19 worked in various org codes in various
20 buildings.

21 And that's where we identified, we
22 verified that these people that we found for
23 the later '60s were actually in the foundry.
24 What we, and those are all classified by org
25 code and by building code as are most of the

1 data worksheets are not, but the so-called
2 supervisor reports or the health physics
3 exposure reports are all classified by org
4 code, building and org code.

5 **MR. GRIFFON:** Org code can include a strata
6 of job titles, I guess, right?

7 **DR. LITTLE:** Absolutely. And the
8 unfortunate thing about org code is it was not
9 a constant. It changed a lot.

10 **MR. GRIFFON:** Because the other thing though
11 that now that I'm sitting here thinking about
12 it, I know I looked through some of the data
13 and noticed the percentages of non-detects in
14 the early data. And I think part of it is
15 related to I think there was a time period
16 when it was a weekly or biweekly --

17 **DR. ULSH:** In 44?

18 **MR. GRIFFON:** Yeah.

19 -- turnover cycle so you'd have a
20 higher percentage there, but it struck me that
21 there was a lot of zeros, and if they were
22 supposed to be over ten percent of the RPG,
23 you know, so part of that might have been
24 attributed to the short film cycle. But I was
25 curious if --

1 **DR. MAKHIJANI (by Telephone):** There wasn't
2 a foundry in '81, was there? You're referring
3 to 44.

4 **DR. LITTLE:** I was referring to 44, sorry.

5 **DR. MAKHIJANI (by Telephone):** Okay, sorry,
6 it just had a little disconnect there.

7 **MR. GRIFFON:** Okay, I think we have enough
8 there. I think it's likely that, Arjun, do
9 you have anything more on the --

10 **DR. MAKHIJANI (by Telephone):** No, no, Mark,
11 I think that all the facts are before you, and
12 NIOSH and --

13 Joe, I don't see any disagreement in
14 how the facts have been characterized.

15 **MR. FITZGERALD:** No, I think on these proof
16 of principles we do the best we can on both
17 sides to provide the information and --

18 **MR. GRIFFON:** Then we used the weight of the
19 --

20 **MR. FITZGERALD:** -- uncertainties. I do
21 think they do come down to the weight of the
22 evidence because there's no way to, the
23 technical information that would ice it is not
24 necessarily available so it is what it is.

25 **MS. MUNN:** And it's adequate.

1 **MR. GRIFFON:** Since I'm sure some people
2 have flights to get to including myself --

3 **DR. MAKHIJANI (by Telephone):** Could I sign
4 off if I might?

5 **MR. GRIFFON:** No. I guess so, Arjun.

6 **DR. MAKHIJANI (by Telephone):** If there are
7 no more questions, I'd like to sign off.

8 **MR. GRIFFON:** If you've got to leave us,
9 you've got to leave. Yeah, go ahead.

10 **DR. MAKHIJANI (by Telephone):** No, well,
11 I'll wait if there are --

12 **MR. GRIFFON:** We're coming up to coworker
13 models or '69, '70.

14 **MR. FITZGERALD:** We didn't quite --

15 **DR. MAKHIJANI (by Telephone):** Oh, '69, '70,
16 okay.

17 **MR. FITZGERALD:** We really need to at least
18 close that out.

19 **DR. MAKHIJANI (by Telephone):** Yeah, sorry,
20 okay.

21 **MR. GRIFFON:** Go ahead, Joe.

22 '69 - '70

23 **MR. FITZGERALD:** Well, we started backing
24 into it I guess to some extent. And actually
25 I'm not reporting there was a disagreement

1 actually. There was a lot of agreement on the
2 fact that the zeros that were introduced by
3 the badging policy ought to be dropped out.
4 That was something that was stated at the, I
5 think, the last work group meeting, and I
6 think we concurred with that.

7 And since then I believe last Friday
8 there was a revised OTIB-58 that was
9 circulated. I think, Brant, you sent it to
10 us, and I've read the language. I don't think
11 all of us have had a chance to let it soak in
12 because it was just Friday, but in a sense I
13 think that pretty much goes in the direction
14 of resolving the issue at least from that
15 standpoint.

16 Now there's only one other question.
17 I'm afraid to say sort of a proof of principle
18 statement, but does OTIB-58 given -- this gets
19 to some of the questions -- we take those out
20 essentially as data points. Can one actually
21 apply, and I think, not to prejudice the
22 answer, can you actually apply OTIB-58 and
23 apply the data for those workers and is there
24 any artifact to the distribution itself?

25 Again, I think it's similar to what

1 you were asking for because you're just
2 withdrawing these people. I think the numbers
3 are such that it wouldn't make much of a
4 difference.

5 **MR. GRIFFON:** Or how does it shift to 95th is
6 really what you're asking?

7 **MR. FITZGERALD:** That's one question but yet
8 it's sort of a, the biggest issue I think we
9 have resolved which we all agree that these
10 data points should not remain. They should be
11 removed. And I think that's what you've done
12 with the OTIB. And I think that takes care of
13 the big issue, but that certainly leaves this
14 other implication similar to what you raised.
15 Does it affect anything on the coworker
16 application?

17 **DR. ULSH:** Well, to answer your question and
18 to make your review simple in terms of this
19 particular issue, '69 and '70, you could look
20 at Table 7.1 and there's just a, the only
21 thing that changed in that table was 1969 and
22 '70. It's pretty easy to see. I can tell you
23 qualitatively what the effect of removing the
24 zeros was. The 95th percentile didn't move
25 much as you would expect. The 50th percentile

1 moved more as you would expect. I don't have,
2 unfortunately, the previous version to say it
3 changed by this much, but --

4 **MR. BUCHANAN:** It changed by, I can --

5 **DR. ULSH:** Okay.

6 **MR. BUCHANAN:** The biggest change was in
7 neutrons for 50 percent. It changed it by a
8 factor of about four times, increased the dose
9 about four times. In '69 and '70 it increased
10 it about double. And for the 95th percentile
11 it increased it by about 30 percent in '69 and
12 about 20 percent in '70. So the biggest
13 increase was in '69. Apparently there was
14 more zeros in '69 than '70.

15 **DR. ULSH:** And that would make sense.

16 **MR. BUCHANAN:** Then on the gamma dose it
17 didn't change the gamma dose as much as it did
18 the neutron dose in the 95th and 50th
19 percentile, but it changed it some.

20 **MR. GRIFFON:** Mutty looks like he has a
21 question.

22 **MR. SHARFI:** You're talking about the actual
23 doses, not the final coworker?

24 **MR. BUCHANAN:** In the Table 7.2. It's
25 according to the new one compared to the old

1 one. In '69 it changed the 50th percentile
2 from 58 millirem to 270 millirem.

3 **DR. ULSH:** I can verify the 270. I got
4 that.

5 **MR. GRIFFON:** What did it do to the --

6 **MR. BUCHANAN:** The old one's 58.

7 **MR. GRIFFON:** What did it do to the 95th?

8 **MR. BUCHANAN:** Ninety-fifth it changed from
9 1,483 millirems to 2,015 millirems. That's
10 about a 30 percent change. And in '70 it
11 changed from .190 rem to .328 rem, about
12 double for the 50th percentile. So there was a
13 significant change in '69 and some change in
14 '70 for the neutrons. The photons changed by
15 a smaller amount.

16 **DR. ULSH:** So that's the effect.

17 **MR. FITZGERALD:** I think from the preamble
18 to what you sent us in the OTIB, you are
19 considering a PER for the --

20 **DR. ULSH:** Well, we're not only considering,
21 we're going to do it.

22 **MR. FITZGERALD:** For the past cases.

23 **DR. ULSH:** And that is an error that's in
24 the publication record for this. It says no
25 PERs required, and that is not correct.

1 **MR. FITZGERALD:** I think that's very
2 responsive to the issue, and I don't think we
3 have any further comment on that.

4 **MR. GRIFFON:** And this question of going,
5 whether the issue precedes or is that through
6 the 1970 period? NIOSH has looked at that?
7 SC&A has looked at that?

8 **MR. FITZGERALD:** We sampled, and again, we
9 did look very comprehensively to see if we
10 could find any other instances that would be a
11 similar phenomena or a situation, and we
12 couldn't find one that was the same so we did
13 sample that.

14 **DR. MAKHIJANI (by Telephone):** Yeah, Joe, on
15 the contrary, we did find that when there were
16 gaps, they were represented as gaps.

17 **MR. FITZGERALD:** I'm sorry, okay, right. It
18 wasn't the same as this situation where --

19 **DR. MAKHIJANI (by Telephone):** No, whatever
20 we found was actually in the affirmative.

21 **DR. ULSH:** Thank you, Arjun.

22 **MR. GRIFFON:** Anything more on data
23 completeness?

24 **DR. ULSH:** Arjun?

25 **DR. MAKHIJANI (by Telephone):** No, no, my

1 main concern and our main concern with '69 has
2 been the integration of bad data with good
3 data. And Larry did say that last time it
4 would be removed, and it was done. I think
5 that settled that.

6 **COWORKER MODELS**

7 **MR. GRIFFON:** Moving on to coworker models.
8 Let's see, I think let's do the TIB-38 first,
9 the internal coworker model. Go ahead, Joe.

10 **MR. FITZGERALD:** Yeah, on that one I think
11 it's not an exaggeration to say that my
12 colleague, Joyce Lipsztein, spent quite a bit
13 of time on this issue, and we did have a
14 number of opportunities with NIOSH to try to
15 allay some of the concerns over the
16 uncertainties. And let's see if I can
17 paraphrase uncertainties with the MDA and
18 certainly some of the issues relative to HIS-
19 20 and some of the gaps that certainly were
20 identified in our completeness review of
21 internal.

22 And we got to a point, and it was a
23 fairly good point, where there was some
24 acknowledgement that the 95th percentile was
25 certainly available and could be applied to

1 address some of these uncertainties. And
2 relative to the report we wanted to clarify
3 that since in practice the 50th is applied for
4 intake values in terms of a clarification of
5 how and when the 95th would, in fact, be used
6 given the standing uncertainties with the MDA
7 and the internal coworker data.

8 I thought, and again this comes from
9 some review that Joyce has done along the way,
10 stands as a concern. We had left it as one
11 where certainly the 95th would be satisfactory.
12 However, there wasn't a good way to, since
13 there was a concern over whether or not, well,
14 it was a concern that the 50th, a full
15 distribution, would not be sufficiently
16 conservative in all instances so would not
17 necessarily be bounding.

18 So therefore, in adopting the 95th, it
19 wasn't clear when that would be applied and
20 how. So in a way what we wanted to do here is
21 -- and this may be in the vein of proof of
22 principle -- given the uncertainties, given
23 the issues that have been raised along the
24 way, how would NIOSH apply the 95th given the
25 fact that the 50th is routinely applied on the

1 internal side, and can that be reconciled with
2 the fact that you have these uncertainties?

3 So in a way we did get to the point
4 where I think we all agreed the 95th was
5 available and would do the job given the
6 uncertainties. However, in practice the 50th
7 is used. So we're left with this sort of lack
8 of reconciliation on the practice versus the
9 availability of the 95th to satisfy some of
10 these issues and uncertainties.

11 So I think we tried to lay that out,
12 that we buy into the concept. We thought
13 certainly the approach was fine. We agree
14 certainly the 95th or higher would be
15 satisfactory in the way of a conservative
16 approach. However, we're still left
17 mechanistically with when that would be
18 applied, and if the 50th is applied in
19 practice, how could that be reconciled with
20 the uncertainties that have been expressed?
21 That's I guess a not too helpful way of
22 putting it, but that's where we were left at
23 the end.

24 **DR. ULSH:** I've discussed this with Jim
25 Neton, and there's a part of this that's an

1 overarching issue, but there may also be a
2 part of this that is Rocky Flats specific in
3 terms of some of the questions that, well, I
4 know that Mark has about this HIS-20 database
5 and the CEDR, you know, the various databases
6 that were used for the internal coworker
7 models. I can tell you that it is generating
8 some discussions. Jim is taking the point on
9 this in considering this issue, the 95th
10 percentile, and if we decided to agree to
11 that, when and how it would be applied as you
12 said, Joe.

13 I can tell you that, for what it's
14 worth and probably not much, the philosophy in
15 terms of external coworker models is we apply
16 the 95th when there is a person who was
17 unmonitored but was routinely exposed. We
18 apply the 50th when a person was unmonitored
19 and might be only intermittently exposed. And
20 we typically apply ambient for people who
21 never went into the production area.

22 I don't want to say that that's
23 exactly what we would do in terms of internal
24 because I don't know. This is something that
25 Jim is still wrestling with. I can tell you

1 that we've committed to get back to Mark and
2 the rest of the working group with our
3 position on that. And I think you've
4 characterized it accurately that ^ there from
5 ^. And I hear what you're saying that if that
6 was applied that you'd be okay with that.

7 In terms of the details of the
8 application of it and all that, we're just
9 going to have to get back to you on that.

10 **MR. FITZGERALD:** Given the considerable
11 effort on both sides to resolve that, I think
12 that was sort of the mechanistic part we never
13 really hammered out which it was okay, it's
14 available, but like on the external side how
15 and when would you actually ^. And I think
16 that's fine. As long as we can be assured
17 that there's a way to apply it, there's some
18 guidance or criteria that would be enough.

19 **DR. ULSH:** We'll get back to you.

20 **MR. GRIFFON:** And I think it is, I discussed
21 this a little with Brant and Jim, and I think
22 it is a unique circumstance with the HIS-20
23 CER data because I had to use this approach at
24 several sites, but they're going to, I think
25 we'll leave it up to you to come back to us

1 with a reply on that.

2 **DR. ULSH:** And we'll do that quickly.

3 **MR. GRIFFON:** Yeah, that's ^.

4 **MS. MUNN:** So that's ^ conference call.

5 **MR. GRIFFON:** Yes.

6 And it says coworker models so I think
7 we need to do 58. I think certainly it's in
8 the ^ I think mainly, but I'll just as a sense
9 of completeness here, Joe, maybe touch on
10 that.

11 **MR. FITZGERALD:** I almost hesitate to wade
12 into ^. Ron has spent a good part of a year
13 in OTIB-58 land, and really I think the issues
14 we've already discussed have surfaced the ones
15 that have the SEC implications. If you want
16 to just spend a minute just kind of
17 overviewing where we ended up. Not so much
18 the --

19 **MR. GRIFFON:** I think we sort of touched on
20 them in there. We touched all the other items
21 today. We kind of touched on them.

22 **MR. FITZGERALD:** Is there anything that we
23 did not touch on, Ron?

24 **MR. BUCHANAN:** There's a couple
25 clarifications on the ^. We've had two recent

1 additions of revisions to OTIB-58, one on the
2 tail of another. And so we're still trying to
3 get it all together. In '70 to '76 in the
4 next to the last revision, you added Table 6.2
5 which before you were going to use the .42
6 derived from lighter TLD data.

7 And then you found the worksheets or
8 something like that which gave individual
9 neutron/photon data separate for '70 to '76.
10 Now my question is are you going to use
11 individual workers' data then for individual
12 dose reconstruction or are you going to use,
13 apply to Table 6.2 to all the workers that you
14 do dose reconstruction on?

15 **MR. GRIFFON:** Because that situation you
16 have the lump-sum doses, right? The
17 aggregated neutron/photon doses during that
18 period?

19 **MR. SHARFI:** No, we have reported pen doses.
20 Those ratios to separate the pen dose out?

21 **MR. BUCHANAN:** Right, are you going to use
22 this table or are you going to use the
23 individual TLD datasheets for each individual
24 worker to separate out their penetrating
25 neutron and photon dose?

1 **MR. SHARFI:** I'm not sure when you refer to
2 individual datasheets.

3 **MR. BUCHANAN:** Okay, this information here,
4 this new table came from some new datasheets
5 that you gave us, right?

6 **MR. SHARFI:** They were the quarterly, or in
7 this case, monthly? Yeah, they were monthly
8 data by building, and it was a printed out
9 supervisory report. And what we did was enter
10 every valid neutron and photon pair. If there
11 was a zero in one of them, we didn't enter it.
12 So we entered all 30,000 or whatever it turned
13 out to be for the year, well, for several
14 years. But we did not put in identifiers for
15 individual people. They are individual
16 readings, but --

17 **MR. BUCHANAN:** Right, no, what I've seen,
18 the database you sent, I think you posted, was
19 it had individual readings for each worker.

20 **DR. ULSH:** Yeah, it wasn't the neutron TLD
21 worksheets. It was the supervisor reports.

22 **MR. BUCHANAN:** Okay.

23 **DR. ULSH:** I understand what you're saying.
24 ^ data there.

25 **MR. BUCHANAN:** You had individual workers

1 and their neutron and their gamma, photon
2 readings separately. And so this was going to
3 be, so that data was used on a, you determined
4 the total neutron dose and the total photon
5 dose, and determined that --

6 **DR. LITTLE:** On that data we calculated
7 individual pairs.

8 **MR. BUCHANAN:** Individual pairs, okay.

9 **DR. LITTLE:** So rather than taking the ratio
10 of the means or the ratio of the sums, we took
11 the ratios.

12 **MR. BUCHANAN:** And then averaged the ratios?

13 **DR. LITTLE:** Correct.

14 **MR. BUCHANAN:** To get this Table 6.2?

15 **DR. LITTLE:** Correct. Well, I wasn't
16 involved in Table 6.2, but that's the raw data
17 I can tell you.

18 **MR. BUCHANAN:** And so you averaged the
19 individual ratios to get an overall ratio each
20 year?

21 **DR. LITTLE:** Yes.

22 **MR. BUCHANAN:** But not according to
23 building. So when you do dose reconstruction,
24 if a person has a composite dose in '70 to
25 '76, you will use, say 1971, you'll use a 1.61

1 to separate out their dose regardless of what
2 building they was in or anything. Now, why
3 was there, I noticed that several years, '72
4 and, '73 and '70, you had not enough data.
5 You had to use other, the year before or the
6 year after.

7 **DR. LITTLE:** I can't speak to Table 6.2.

8 **MR. FITZGERALD:** Yeah, Ron, the issue is
9 just whether there's anything that because of
10 the fact that there's new tables that might
11 influence the bottom line. I --

12 **MR. BUCHANAN:** Yeah, I realize this isn't
13 the place to discuss, but what I'm trying to
14 determine is, is there any missing data here
15 that would determine any SEC --

16 **MR. FITZGERALD:** That would have the
17 implication --

18 **MR. BUCHANAN:** Right, and that's what I was
19 trying to clarify, exactly how it was going to
20 be, how it was attained, and how it was going
21 to be used.

22 **MR. FITZGERALD:** And sometimes we might not
23 have the right personnel, people who worked on
24 this particular item.

25 **MR. GRIFFON:** I might just need to follow up

1 on that one, right? We're going to have a
2 neutron call anyway. I think that's a
3 neutron-related question, but just a follow up
4 on that Table 6.2 related to de-convolution, I
5 guess, is the question.

6 **MR. BUCHANAN:** How it will be applied and
7 how it will be ^. And I don't see an SEC
8 issue here, but I just wanted to clarify how
9 it was done to make sure we don't have
10 anything like we had doing '59 back to '52.

11 **MR. FITZGERALD:** So Table 6.2 and you said
12 you had one more?

13 **MR. BUCHANAN:** Well, the other one is, I
14 would characterize it as a site profile issue
15 as far as Table 7.2.

16 **MR. FITZGERALD:** Six-two is the one --

17 **MR. GRIFFON:** We don't need to talk about
18 that now.

19 **MR. FITZGERALD:** We'll have that on the
20 neutron call.

21 **WOUND ISSUE**

22 **MR. GRIFFON:** One other thing I just added
23 as we were doing our conversation, and the
24 individual's who might likely respond to this
25 is gone, but if you recall in the March 7th

1 meeting I brought up again my wound question.

2 **DR. MAKHIJANI (by Telephone):** Mark, could I
3 sign off now?

4 **MR. GRIFFON:** Yeah, yeah.

5 **DR. MAKHIJANI (by Telephone):** There's some
6 people waiting for me.

7 **MR. GRIFFON:** This time you really can,
8 Arjun.

9 **DR. MAKHIJANI (by Telephone):** Thank you,
10 bye.

11 **MR. GRIFFON:** I didn't think of it until Jim
12 was gone.

13 **MS. MUNN:** It's on my list.

14 **DR. ULSH:** I know we've talked about it. I
15 know I gave Jim a point on that.

16 **MR. SHARFI:** He had a thought process that
17 he wanted --

18 **MR. GRIFFON:** Can you get something in
19 writing to us maybe, a memo from Jim or
20 something about this?

21 **MR. SHARFI:** ^ why we do what we do.

22 **DR. WADE:** And what's this?

23 **DR. ULSH:** What I can say, Mark, is that we
24 have done some analysis, and Jim has been
25 involved. We've had, there's been some

1 discussions about it. The bottom line, I
2 think -- I have to speak very generally, from
3 Jim, was that we had some pretty good reasons
4 why we thought what we were doing is bounding
5 especially with, when you consider compared to
6 like Super-S. I can't go any deeper than
7 that. I'll have to rely on Jim for that.

8 **MR. GRIFFON:** I've done some IMBA runs
9 myself, and I can come up with scenarios that
10 where the model's not bounding, but are they
11 likely scenarios? I'd have to say they're
12 probably not. Not only a huge wound intake,
13 but also the likelihood that someone was
14 wounded with a plutonium exposure and not
15 monitored for a year.

16 The records seem to indicate that if
17 they were in those plutonium areas, they
18 likely fell into that monitoring program. So
19 I would concede that I would like to see you
20 guys at least run, give us some kind of
21 analysis back on that just to close it out.

22 **PROOF OF PRINCIPLE**

23 The last item I had is proof of
24 principle, and the only reason I had this on
25 the agenda was you did provide some cases. I

1 think that's where, you know, we've brought up
2 proof of principle several times today, but I
3 think there were some specific cases that were
4 posted for us, Joe, and I don't know if you
5 specifically reviewed any of those or had an
6 opportunity or we kind of put those on the
7 side with the other issues?

8 **MR. FITZGERALD:** Well, I think there's been
9 a, we looked at a few. I haven't look at them
10 specifically, but I guess Arjun's already
11 missing on the --

12 **MR. GRIFFON:** Yeah.

13 **MR. FITZGERALD:** Did you look at some of the
14 proof of principle, the actual cases
15 themselves?

16 **MR. BUCHANAN:** Yes, uh-huh. I had some of
17 the hypothetical cases, you know, I looked at
18 three external --

19 **MR. FITZGERALD:** These would be the more
20 recent ones.

21 **DR. ULSH:** Yeah, we had an initial set that
22 we put together around the time we presented
23 the ER back in April of last year. But a lot
24 of water's gone under the bridge since then.

25 **MR. GRIFFON:** Then you gave us some new --

1 **DR. ULSH:** Yeah, do you recall at the last
2 working group meeting we decided that we
3 needed to provide real cases that -- let me
4 see, we had external coworker, preferably
5 including '69 and '70. We had internal
6 coworker, and we had Super-S. Those are the
7 three specific categories of cases that were
8 requested for proof of principle cases. I
9 provided to the working group and Mark and
10 SC&A -- let me think now. Was it some
11 external case --

12 **MR. GRIFFON:** Case number, actual case
13 number.

14 **DR. ULSH:** Yeah, and then it was a
15 hypothetical case that covered internal
16 coworker and Super-S. Following onto that I
17 provided a list of all of the cases that we've
18 done that included external coworker and
19 internal coworker. That's where we, I don't
20 know if you reviewed those, Ron.

21 **MR. BUCHANAN:** No, I got left out of the
22 loop.

23 **MR. FITZGERALD:** I think that was, yeah,
24 that came in. I think Joyce may have looked
25 at the internal, but I think the external one

1 certainly came in the same time that this
2 neutron --

3 **MR. GRIFFON:** It sounds like we've, but I
4 think we sort of know the internal issue, and
5 we've got that on the table. As far as the
6 external, let's at least look at that case for
7 the, I think we're already planning for a
8 Monday conference call meeting. I would
9 argue, we can do it on the phone, but on
10 Monday --

11 **MR. FITZGERALD:** It may be Tuesday because
12 the Monday, I think the notion was to get the
13 '52-'58 out.

14 **MR. GRIFFON:** No, no, I'm not talking next
15 Monday, I'm talking the Monday after, Monday
16 the --

17 **DR. WADE:** The 30th of April.

18 **MR. GRIFFON:** For a work group call, Monday
19 --

20 **DR. WADE:** What time, Mark?

21 **DR. WADE:** Eleven?

22 **MS. MUNN:** Eleven.

23 **DR. WADE:** Respect to Wanda?

24 **MS. MUNN:** Or suffer the wrath.

25 **MR. GRIFFON:** This could be good. I could

1 avoid an implant preparation at my dentist.
2 What time did you say, Wanda?

3 **MS. MUNN:** Eleven.

4 **MR. GRIFFON:** Yeah, I could be a little numb
5 by then. That might be good. Yeah, eleven
6 o'clock's good for a conference call.

7 **DR. WADE:** This is going to be a work group
8 call.

9 **MS. HOWELL:** Is this on the 30th?

10 **MR. GRIFFON:** Yes. Now next week we might
11 have a couple technical calls, but at least
12 one technical call.

13 **MR. FITZGERALD:** This is a work group call,
14 right, right.

15 **MR. BUCHANAN:** Joe, will you send? I
16 haven't received that.

17 **MR. FITZGERALD:** Yeah, well, we'll, again,
18 we've been diverted, but yes, absolutely.

19 **MR. GRIFFON:** And in addition to the, so you
20 have the wound thing on an action item, too.
21 And SC&A will look at those other couple
22 cases.

23 **MR. FITZGERALD:** Right, I think though,
24 again, the external, since the internal ^.

25 **MR. GRIFFON:** Yeah, we kind of discussed the

1 external and internal in the broad sense. I
2 don't know that we're going to see much more
3 out of the cases, but we should look at them
4 and --

5 **MR. FITZGERALD:** Probably the other thing,
6 too, is, well, okay, we can talk about this
7 offline, but the Super-S certainly ^.

8 **MR. GRIFFON:** Right, I think we had another
9 hypothetical before in the Super-S, and it may
10 not, but there were some changes, I think --

11 **DR. ULSH:** I think the situation there is
12 that we don't yet have the Rocky Super-S
13 cases.

14 **DR. WADE:** They're in adjudication.

15 **MR. SHARFI:** That's why we ended up doing a
16 --

17 **MR. GRIFFON:** Adjudicated.

18 **MR. FITZGERALD:** Well, I think we did one
19 before, but that's okay, we can do --

20 **MR. GRIFFON:** If you can provide us case
21 numbers for Super-S that are non-adjudicated,
22 I mean, we can do the same thing we did with
23 the others.

24 **MR. SHARFI:** ^

25 **DR. ULSH:** No, that was then. This is now.

1 I'll have to check on that.

2 **MR. GRIFFON:** The understanding is if we can
3 get case numbers that are non-adjudicated we
4 can review them but we won't discuss them
5 specifically on the record.

6 **MR. FITZGERALD:** But that would be a change
7 from the one that we did before that which was
8 another hypothetical Super-S.

9 **MR. GRIFFON:** So if you have a real case for
10 Super-S.

11 **DR. ULSH:** I'll double check. I know that
12 there's an answer back in my office. I just
13 can't --

14 **MR. GRIFFON:** But otherwise the action's in
15 SC&A's court.

16 **MR. FITZGERALD:** Yeah, yeah, we can
17 certainly do that.

18 **MR. GRIFFON:** All right, and then the final
19 process leading to the May meeting. I think
20 we have meetings from now until the May
21 meeting.

22 **DR. WADE:** Well, we certainly have a work
23 group call at eleven a.m. on the 30th. We're
24 looking at possible technical calls.

25 **MR. GRIFFON:** Yeah, and next Monday we're

1 getting a report from SC&A to NIOSH, a draft
2 section.

3 **DR. WADE:** The neutron 1952 to 1958.

4 **MR. GRIFFON:** And then I would offer that
5 maybe Wednesday or so, Brant, if we can get a
6 technical call.

7 **MR. FITZGERALD:** ^.

8 **DR. ULSH:** Meeting on Wednesday.

9 **MR. FITZGERALD:** I was thinking Tuesday.

10 **MS. MUNN:** Sounds like you've got Tuesday.

11 **DR. WADE:** And Wednesday we're looking by
12 close of business, the SC&A addendum, and then
13 with Privacy Act review hopefully it will be
14 available to the world by close of business
15 Friday.

16 **MR. GRIFFON:** That takes care of everybody's
17 next couple of weeks. We're getting there
18 though I think.

19 **DR. WADE:** Deserves it, all the work you've
20 put into this one.

21 **MR. GRIFFON:** And made good headway. Any
22 final comments before we close?

23 **DR. WADE:** Only thank you.

24 **MR. GRIFFON:** Arjun? We know he's gone.

25 **MR. PRESLEY:** Are we going to meet before

1 the meeting?

2 **DR. WADE:** Wednesday we have a subcommittee
3 meeting.

4 **MR. GRIFFON:** We have a subcommittee
5 meeting, yeah, a subcommittee meeting. So I
6 think the answer is no. I mean, I'm hoping
7 that anything we have to finalize is on the
8 30th. I don't think a lot's going to change in
9 two days.

10 **DR. WADE:** We won't be taking up Rocky Flats
11 until Thursday so theoretically you have
12 Wednesday.

13 **MR. GRIFFON:** We could have a late
14 afternoon, yeah.

15 **DR. WADE:** Well, if we have also the public
16 comment period Wednesday from five to
17 whenever.

18 **MS. MUNN:** So we can skip dinner.

19 **MR. PRESLEY:** Yeah, I can see you skipping
20 dinner.

21 **MR. GRIFFON:** Lew, before we close, I don't
22 know if we asked, if anyone's still on the
23 line if we have any final comments from
24 anybody on the line? Petitioners or
25 Congressional staff?

1
2
3
4
5
6
7
8
9
10
11

(no response)

MR. GRIFFON: Everybody lost track of us,
huh?

Well we appreciate it. If you stayed
on, we appreciate it, and I think we'll close
out now.

DR. WADE: Thank you all very much.

(Whereupon, the working group meeting
concluded at 4:40 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 19, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 26th day of April, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**