

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

BLOCKSON CHEMICAL

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held telephonically on August 28,
2007.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070*

C O N T E N T S

August 28, 2007

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
INTRODUCTION BY CHAIR	12
THORIUM ISSUE	12
THREE QUESTIONS FOR WORKERS' MEETING	70
REVIEW OF ACTION ITEMS	89
SOLUBILITY ISSUES	94
COURT REPORTER'S CERTIFICATE	110

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSEXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

1 MELIUS, James Malcom, M.D., Ph.D.

2 Director

3 New York State Laborers' Health and Safety Trust Fund

4 Albany, New York

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

IDENTIFIED PARTICIPANTS

BRANCHE, CHRISTINE, NIOSH

BROEHM, JASON, CDC

BURGOS, ZAIDA, NIOSH

ELLIOTT, LARRY, NIOSH

HOWELL, EMILY, HHS

KOTSCH, JEFF, DOL

MAKHIJANI, ARJUN, SC&A

MAURO, JOHN, SC&A

NETON, JIM, NIOSH

THURBER, BILL, SC&A

TOMES, TOM, NIOSH

P R O C E E D I N G S

(10:00 a.m.)

1
2WELCOME AND OPENING COMMENTSDR. LEWIS WADE, DFO3
4
5

DR. WADE: Hello out there. This is the work group conference room. Is there anyone with us on the telephone?

6

UNIDENTIFIED SPEAKER (by Telephone): Yes.

7
8
9
10
11
12
13
14
15
16
17
18

DR. WADE: Okay, thank you. As long as we know it's working, we'll start with our formal introductions. This is Lew Wade, and I have the privilege of serving as the Designated Federal Official for the Advisory Board. And this is a meeting of the work group of the Advisory Board. This is the work group on Blockson Chemical SEC, Special Exposure Cohort. That work group is chaired by Wanda Munn, members Roessler, Melius and Gibson. Munn, Roessler and Melius are in the room with us.

19
20
21

Is Mike Gibson on the phone?

(no response)

DR. WADE: Mike, are you with us? Mike

1 Gibson?

2 (no response)

3 **DR. WADE:** That doesn't limit our ability to
4 proceed. What I would ask, are there any
5 other Board members on the phone? Any other
6 Board members not part of the work group that
7 are on the telephone?

8 (no response)

9 **DR. WADE:** Other Board members?

10 (no response)

11 **DR. WADE:** Okay, we don't have a quorum of
12 the Board, which is important. If we did,
13 we'd have to take steps to remedy that. So we
14 can proceed.

15 What I'd like to do is go around the
16 table here and have each introduce. And for
17 the participants of the NIOSH/ORAU team or the
18 SC&A team, I'd also like to, you to identify
19 whether you have any conflicts relative to the
20 Blockson site. Board members can do that as
21 well.

22 I have two special introductions to
23 make before we begin, and that is I have Dr.
24 Christine Branche with me. As I mentioned
25 previously, Dr. Branche will be working with

1 me, understudying me, and eventually the plan
2 is that she'll take my role at some time in
3 the future. And then on my right I'll let you
4 say your name so I don't mispronounce it.

5 **MS. BURGOS:** Zaida, Zaida Burgos.

6 **DR. WADE:** Zaida Burgos, who will be taking
7 on LaShawn's responsibilities and, in fact, an
8 expanded role in serving the Board. And we
9 have wonderful expectations of the service
10 Zaida will be able to bring to the Board. So
11 with those as early introductions, again, I'm
12 Lew Wade. I serve the Board and work for
13 NIOSH.

14 **MR. ELLIOTT:** Larry Elliott, I'm the
15 Director of NIOSH's Office of Compensation
16 Analysis and Support. And I have no conflicts
17 regarding Blockson Chemical.

18 **DR. ROESSLER:** Gen Roessler, member of the
19 Board.

20 **MR. THURBER:** Bill Thurber from SC&A, I have
21 no conflicts regarding Blockson.

22 **DR. NETON:** Jim Neton from NIOSH, no
23 conflicts.

24 **MR. TOMES:** Tom Tomes from NIOSH, I have no
25 conflicts with Blockson.

1 **MS. MUNN:** Wanda Munn, Board and chair of
2 this working group, no conflicts.

3 **DR. WADE:** John, we're doing introductions.

4 **MS. MUNN:** John is back.

5 **DR. MAURO:** John Mauro, SC&A, no conflicts.

6 **DR. WADE:** Now Dr. Melius has stepped out
7 for a moment. I don't see him. He's a Board
8 member, a member of this working group and has
9 no conflicts at Blockson.

10 Let me go out onto the telephone and
11 ask if there are other members of the
12 NIOSH/ORAU team who are on the telephone to
13 identify themselves.

14 (no response)

15 **DR. WADE:** Jim, are you expecting anyone
16 else to be?

17 **DR. NETON:** No.

18 **DR. WADE:** Any other members of the SC&A
19 team on the telephone?

20 (no response)

21 **DR. WADE:** John, are you expecting any?

22 **DR. MAURO:** No.

23 **DR. WADE:** What about other federal
24 employees who are on the call by virtue of
25 their federal employment? Other feds that are

1 working today.

2 **MR. KOTSCH (by Telephone):** Jeff Kotsch,
3 Department of Labor.

4 **DR. WADE:** Welcome.

5 **MR. BROEHM (by Telephone):** And this is
6 Jason Broehm in the CDC Washington office. I
7 just joined the call.

8 **DR. WADE:** Welcome, Jason.

9 Other feds?

10 (no response)

11 **DR. WADE:** What about members of Congress,
12 their staff or representatives?

13 (no response)

14 **DR. WADE:** Are there any workers or worker
15 representatives on the call? Petitioners?
16 Workers?

17 (no response)

18 **UNIDENTIFIED SPEAKER (by Telephone):** Yes.

19 **DR. WADE:** Would you like to identify
20 yourself?

21 **UNIDENTIFIED SPEAKER (by Telephone):** No.

22 **DR. WADE:** Thank you.

23 Is there anyone else on the call who
24 would like to identify themselves?

25 Okay, Wanda.

1 One brief caution about telephone
2 etiquette, although we have a very small group
3 today. Remember that for this group to be
4 able to participate fully with those on the
5 phone, it's important that you observe some
6 rules, those of you on the telephone. If
7 you're speaking, speak into a handset and
8 don't use a speaker phone.

9 If you're not speaking, mute the
10 instrument that you're dealing with so we
11 don't hear background noise, and be
12 particularly mindful of background noise at
13 your location. Sometimes people will put the
14 phone on hold and we get Muzak, and that's
15 very distracting for us. The older of us
16 appreciate it. It puts us to sleep and
17 sometimes those naps are helpful.

18 We do have Dr. Melius. No, we don't
19 have Dr. Melius with us. Now we have Emily.
20 Introduce please.

21 **MS. HOWELL:** Emily Howell, HHS.

22 **DR. WADE:** Wanda, you can begin.

23 **MS. MUNN:** As a first issue, are there any
24 additions or revisions to the agenda which I
25 forwarded to each of you by e-mail earlier

1 this week?

2 (no response)

3 **DR. WADE:** Let me see if I can secure
4 Melius.

5 **INTRODUCTION BY CHAIR**

6 **MS. MUNN:** If not, then we'll proceed to
7 address the limited number of issues that are
8 before us. Originally, our contractor had
9 brought to us six specific findings of their
10 review of our TBD and two secondary issues.

11 In each case those had been resolved
12 with only two remaining outstanding issues.
13 The primary one revolves around the thorium
14 issue, what transpires with the raffinate.
15 How much thorium does and does not stay with
16 the uranium as it goes through the process at
17 the Blockson Chemical Company.

18 If that issue is adequately resolved,
19 then the other minor outstanding issues will
20 fall into place because they are all
21 intimately connected to what happens to the
22 thorium.

23 **THORIUM ISSUE**

24 I propose to begin this discussion by
25 asking NIOSH to comment on the report that was

1 given to us by Dr. Elzerman. That's Elzerman,
2 isn't it? An R. And the response to Dr.
3 Mauro's e-mail memo of the 20th. I don't know
4 which of you gentlemen wants to address that
5 issue first.

6 **DR. NETON:** Well, if I might, I might
7 suggest it would be better if SC&A would
8 provide their commentary on the fate of the
9 Thorium-230, and then we could take it up from
10 there.

11 **MS. MUNN:** I would appreciate that. I would
12 also appreciate having on the record a little
13 bit of background with respect to how the
14 individuals were chosen to give us the report
15 on the chemistry. I was a little surprised
16 when I read that report because it was not
17 what I had anticipated coming out of the
18 Blockson meetings with the workers.

19 I had thought that what we were doing
20 was looking for some very specific responses
21 from chemical experts who could tell us with
22 some degree of authority what could be
23 expected. I found more of a review of the
24 literature and not nearly as much specificity
25 as I had expected out of that report. I was

1 also a little surprised that our contractor's
2 report was cited as one of the authorities for
3 their information.

4 So with that having been said, any
5 information that anyone can give me with
6 respect to the selection of these individuals,
7 whether the charge that was given to them was
8 more extensive than was actually given in the
9 report which we got back -- please.

10 **DR. NETON:** Maybe Tom can speak more to the
11 --

12 **DR. WADE:** Before we begin, Mike Gibson is
13 now with us.

14 Mike, you have no conflict with regard
15 to Blockson. Is that correct?

16 **MR. GIBSON (by Telephone):** Right.

17 **DR. WADE:** Okay, thank you.

18 **MR. TOMES:** Yes, our contractor, ORAU, had,
19 George Fargo, was given the task of looking at
20 this issue for us. And we, through
21 conversations we've had with him, we thought
22 that it would be appropriate to have a expert
23 in the field look at the Blockson chemistry,
24 thorium specifically. And there was a few
25 individuals identified. One of the

1 individuals that was identified who has
2 published a number of papers was not available
3 and could not meet our schedule we were
4 looking for. And Dr. Elzerman was one of the
5 people who was recommended, and he's also
6 involved (unintelligible) industry. And he
7 was available and could meet our schedule
8 roughly that we were looking for. Not as fast
9 as we would have liked to have it, but he
10 could do the work. And that is the reason
11 that he was selected because he had experience
12 in the field, and he had credentials where he
13 published and studied the industries.

14 As far as the task he was given, his
15 report is pretty much, and I won't say it's
16 verbatim, but it's pretty much identical to
17 the task he was given in the statement of work
18 from ORAU. He was simply asked to look at the
19 uranium. What could have (unintelligible)
20 with the uranium. It was identified as an
21 issue by SC&A in the review.

22 And he also was asked to strictly look
23 at thorium in Building 55 and what behavior
24 that may have been in the chemistry. And part
25 of that I think was being able to take many

1 references that are out there that's to
2 evaluate the past and have an expert opinion
3 to interpret all those references. And that
4 was one of the things that we wanted to see in
5 that report. And that is pretty much what he
6 gives.

7 **MS. MUNN:** It is, yes.

8 John?

9 **DR. MAURO:** Yes.

10 **DR. MAKHIJANI (by Telephone):** John, before
11 you start. This is Arjun. I just joined a
12 minute or so.

13 **DR. MAURO:** Okay, Arjun.

14 I'll sort of set the stage a bit of
15 what we did, and probably I'd like to turn it
16 over to Bill who really was, Bill Thurber, who
17 you folks may have just met, who led the
18 effort.

19 The bottom line is we had, when the
20 thorium issue emerged and we originally
21 identified it, there's some history here.
22 There are a series of documents. We don't
23 have to go all the way back.

24 **MS. MUNN:** No, no, we don't.

25 **DR. MAURO:** But in the end, in the end where

1 we merged was that NIOSH in their most recent
2 version of their site profile addressed our
3 concerns regarding Thorium-230 by saying that,
4 well, as you process the uranium, the thorium
5 goes with the uranium, and in the end there's
6 this big 55 gallon drum filled with uranium
7 and all the Thorium-230 is sitting there also.

8 And we felt that that was certainly
9 could be a very reliable, genuine claimant-
10 favorable approach except for one possibility.
11 And that is if for some reason along the way
12 when you start with the original ore, and you
13 go through all the chemistry, and at the back
14 end of the process you come out of this 55
15 gallon drum yellowcake, is it possible that
16 somewhere along the line the nature of the
17 chemistry was such that the Thorium-230 would
18 part ways from the uranium.

19 And if it does, does it part ways in a
20 way that could actually have higher
21 concentrations in terms of curies per gram
22 than it would in the 55 gallon drum? Because
23 if it could, and it could become airborne,
24 then in theory that's a scenario where a
25 worker who might be handling that waste

1 stream, that raffinate, whatever it is, could,
2 in theory, experience higher exposures to
3 Thorium-230 airborne than the worker who was
4 handling the can of uranium. And we didn't
5 have an answer to that.

6 So what we did is we had two
7 individuals with our organization. One is Dr.
8 Bill Richardson, coincidental name, who is a
9 professor at Auburn University, inorganic
10 chemist, and independent of that, Janet
11 Schramke, who is also a geochemist,
12 independently looked at it.

13 And it turns out that the nature of
14 the problem has to do with, you know, you
15 start off with the ore, and you go through
16 these steps where, in effect, you're changing
17 the pH, and you're causing various materials
18 to precipitate out, some materials to stay in
19 solution, and there's an ongoing process of
20 dissolution and re-precipitation. So that in
21 the end you get as pure a product of uranium
22 as you can.

23 Now along this sequence of events, and
24 I'm going to ask Bill to go into it a little
25 bit, the question that was raised, really more

1 of a question was a concern that in our
2 opinion it did not appear to be self-evident
3 that the thorium will, in fact, go all the way
4 through this process and end up in the 55
5 gallon drum. And there are particular nodes
6 in the process where the nature of the
7 chemistry was such that it could have parted
8 ways.

9 Now we're not saying that if that
10 happened, in fact, our feelings are it
11 probably did part ways, but whether or not
12 that resulted in an outcome that had a greater
13 potential for a thorium exposure than the one
14 that was used, we don't know. And I guess the
15 next step would be, I guess, I would ask -- by
16 the way, in the process we were able sort of
17 like the eleventh hour to -- I don't know if
18 everyone got a copy of this memo that I sent
19 Wanda. I'm not sure what the distribution was
20 -- where we reviewed Dr. Elzerman's report.
21 And the bottom line as best I can tell, Dr.
22 Elzerman was asked by NIOSH to take a look at
23 this very same question. It reads to me that
24 he came out more or less in the same place we
25 did.

1 **DR. NETON:** Not exactly.

2 **DR. MAURO:** Not exactly, and it's important
3 that we understand that difference in there.
4 But it was sort of in the same theme. It
5 wasn't that clean cut. That's where we come
6 out.

7 Now with that as an introduction what
8 we can do if you like, I made copies of a flow
9 diagram that many of you may have seen before
10 in some of the documents. I made 20 copies.
11 And Bill could explain the places along the
12 flow diagram where the uranium and the thorium
13 may have parted ways, and if it did, what the
14 possible implications are from a dosimetric
15 point of view. And then maybe at that point
16 you folks, we can say, we can understand if
17 there is any disagreement. And if there is,
18 what its possible significance is. Is that a
19 plan?

20 **MS. MUNN:** That's feasible to me, and one of
21 the things that would be helpful for me also
22 is if you could tie the diagram that you have
23 in your hand, John, to the one that was in
24 Elzerman's report.

25 **DR. MAURO:** I think it's the same one. It

1 is the same one.

2 **MS. MUNN:** Is it?

3 **DR. MAURO:** It should be. Oh, no, it's
4 different.

5 **MR. TOMES:** It's not the same one.

6 **MS. MUNN:** For those of us who are not
7 chemists it would be helpful, I think --

8 **DR. MAURO:** Would you prefer to work with
9 that one?

10 **MS. MUNN:** No, no, the one that you have is
11 just fine. We've seen both of them, and
12 having seen both of them --

13 **DR. NETON:** This one is in the TBD. It's in
14 the site profile.

15 **DR. MAURO:** We found it very useful.

16 **MS. MUNN:** That being the case since it
17 varied from the data capture discovery review
18 document that we had from Dr. Elzerman, I made
19 a preliminary attempt to match the two of them
20 in my visual framework and had a little bit of
21 difficulty following the two. That's why I
22 asked. We'll rely on the one that was in the
23 TBD that you've just passed around unless we
24 have indication that there's a major
25 difference in the two. And I'm assuming that

1 you're going to be able to tell us that,
2 right?

3 **MR. TOMES:** To my knowledge there's no major
4 difference in the two.

5 **MS. MUNN:** Okay, Bill?

6 **MR. THURBER:** I think if you start at the
7 top line there, the Blockson monosodium
8 phosphate process, during this step the pH of
9 the solution is raised from a very low value
10 for the phosphoric acid to a pH of about four.
11 And that is done here with sodium hydroxide or
12 sodium carbonate.

13 And it's not clear which reagent was
14 used although in the Elzerman Report, he chose
15 to assume that it was sodium hydroxide. There
16 was other evidence provided and included in
17 the Elzerman Report that says that Blockson at
18 the time was purchasing large quantities of
19 sodium carbonate so it could be either one.

20 We don't think it makes a great deal
21 of difference. If it was sodium carbonate, it
22 would probably increase the solubility of the
23 thorium passing out of this box on the flow
24 sheet, if you will, because of the possibility
25 that the thorium might form some complexes

1 with the carbonate ion. But we identified
2 previously, and as did Elzerman, the
3 possibility that some thorium would be
4 precipitated during this set because as the pH
5 is increased to about four, the Blockson
6 literature notes that a number of species such
7 as iron and calcium and so forth do
8 precipitate.

9 And there is a possibility that some
10 of the thorium may precipitate there. And I
11 think both we and Elzerman identified this as
12 one point where the thorium might be removed
13 in a waste stream. Now whether it is
14 concentrated in that waste stream, we can't
15 say. We just don't, there's not enough
16 information on the chemistry to come up with
17 any really positive conclusion as to the
18 concentration.

19 **DR. NETON:** Where would this precipitate out
20 and be removed from the process though? I
21 don't see a filtration step here or --

22 **MR. THURBER:** But if you look at the
23 Elzerman document, I believe he includes that
24 in there.

25 **DR. ROESSLER:** Where does it happen on this

1 diagram?

2 **MR. THURBER:** Monosodium phosphate is a box
3 which embraces several unit operations. It's
4 a great oversimplification of what happened in
5 that process because what actually happened in
6 the process is you add a base. You increase
7 the pH; species are precipitated, and they are
8 filtered and disposed of. So those steps all
9 occur in that box, but they don't show in the
10 flow diagram.

11 **DR. ROESSLER:** And so it doesn't show where
12 the other route would go if it doesn't go with
13 the phosphate liquid.

14 **MR. THURBER:** No.

15 **DR. NETON:** But in your opinion as a
16 professional chemist, would that likely be a
17 quantitative separation of Thorium-230 at that
18 point? I mean, you're talking --

19 **MR. THURBER:** No, no, we think it's probably
20 small quantity --

21 **DR. NETON:** Very small quantity, that's
22 important though.

23 **MR. THURBER:** I didn't say very small. We
24 don't know.

25 **DR. NETON:** That's important though. It's

1 not a quantitative separation where one would
2 have pure Thorium-230 in these filters.

3 **MR. THURBER:** We do not believe that to be
4 the case, no.

5 **MS. MUNN:** And frankly, this is one of the
6 kinds of issues that I was disappointed in
7 with respect to the report. I would really
8 hope that we would have a clearer definition
9 of what the possibilities were. What the
10 probabilities were.

11 **MR. THURBER:** There's just not enough
12 information on the chemistry, and what they
13 actually did. We thought, we looked at the
14 FUSRAP Report, and it had in there some
15 measurements of the thorium content of the
16 disodium phosphate. I thought, gee, this is
17 good, but it's totally irrelevant because that
18 was done at a later time when presumably they
19 were making a different end product than the
20 monosodium phosphate that was being produced
21 at the time of the uranium recovery.

22 So to repeat, unfortunately, the
23 available information on chemistry just is not
24 good enough to predict what we would all like
25 to be able to predict about the concentration

1 of the thorium in that strip. We think it's
2 small, but beyond that we can't say.

3 **MS. MUNN:** I would have liked to have been
4 able to say if were carbonate, then this is
5 what you would expect. If it were phosphate,
6 then this is what you would expect.

7 **MR. THURBER:** All we can say is
8 qualitatively if it was carbonate, then less
9 would have been removed at that step. But
10 that's very quantitatively.

11 **MR. TOMES:** One thing. There are a couple
12 references that did use the carbonate. There
13 is a couple references that they did.

14 **MR. THURBER:** I'm sorry?

15 **MR. TOMES:** There are a couple references
16 that they used soda ash, the carbonate for the
17 neutralization.

18 **MR. THURBER:** Yes, indeed, and I pointed
19 out, but that was not the assumption that
20 Elzerman made. He assumed it was sodium
21 hydroxide. But it was the view of our people
22 that it was not a substantive difference which
23 reagent you assume. Small difference, not
24 substantive.

25 **DR. NETON:** I think your point is there

1 wouldn't be much difference, and you agreed
2 that it would be a small separation, not a
3 very quantitative concentration step. And at
4 this point as far as I could tell, what we put
5 into the drum isn't more highly concentrated
6 than this stuff would have produced.

7 See, we have to keep in mind the end
8 product of what we ended up putting into the
9 drum and how concentrated that was relative to
10 all these different steps where there may have
11 been some separation. We don't disagree with
12 that. But you have to look at the end product
13 of what we dumped into the drum and exposed
14 the workers to on a chronic basis versus the
15 small potential separation.

16 I've done a lot of (unintelligible)
17 chemistry in my earlier days working with
18 (unintelligible), and I know that it's
19 somewhat difficult to separate thorium from
20 uranium. You have to work --

21 **MS. MUNN:** Really hard.

22 **DR. NETON:** -- not really hard, but it's
23 not, you have to do some special things to
24 make sure thorium is removed so you don't have
25 thorium contamination uranium end product. So

1 that's why I'd be interested to hear in these
2 various chemical steps where those
3 quantitative separation steps would have
4 happened. If they were like --

5 **MR. THURBER:** As we said, we cannot --

6 **DR. NETON:** But I think you can make some
7 value judgment as to how concentrated it could
8 have been in each of these steps. And that's
9 what I'm interested in.

10 **MR. THURBER:** The other point where there's
11 a small difference I believe, and again, I
12 believe it is not a substantive difference, is
13 that we think there's, if you look on the
14 diagram, you'll see next to the filter box
15 filtrate return to the monosodium phosphate
16 production kind of on the second tier of the
17 figure.

18 **DR. MAURO:** Left-hand side?

19 **MR. THURBER:** Yeah, you'll see a caption
20 there. It says filtrate returned to
21 monosodium phosphate production. We think,
22 again, that there's a possibility that not all
23 of the thorium was precipitated with the
24 uranium at that point. And so some of it was
25 returned downstream to whatever Blockson did

1 with the material. Again, we don't think it's
2 a quantitative separation in your terms, but
3 we think there's a possibility that some
4 thorium may have gone in that direction.

5 **DR. ROESSLER:** Let me ask you a question.
6 There where it says filtrate returned to
7 monosodium phosphate production is the one
8 you're talking about. Does that mean it
9 recycles through the process?

10 **MR. THURBER:** Well, it ends up in the end
11 product where it's not concentrated
12 presumably.

13 **DR. ROESSLER:** This makes it sound like it
14 goes back up and goes back through --

15 **DR. NETON:** No, this will go back out of the
16 plant.

17 **MR. THURBER:** You have to take product out
18 at some point. That's what you're trying to
19 do is make a product to package and sell.

20 **DR. MAURO:** You know how it helped me to
21 think about this? The way I visualize this or
22 I'm reading this is that you have this
23 operation ongoing where they were making
24 monosodium phosphate. This was what they did
25 commercially.

1 And they had this system, and they
2 knew that the whole system was such that when
3 they finished their product, the uranium
4 stayed in the system. And they wanted to
5 build a kidney, in other words, they wanted to
6 stick on to this process that was making
7 monosodium phosphate a way to bleed off the
8 uranium because that was a special product
9 they want. So what this step is, the one that
10 Bill just pointed to is, in effect, what they
11 just did is go through that kidney.

12 In other words they sent the
13 phosphoric acid which contained the sulfur,
14 the phosphoric acid with the uranium, with the
15 thorium into this kidney, the side stream.
16 And then they returned the monosodium
17 phosphate, the arrow going to the left, to
18 back where it started from to resume their
19 normal commercial production.

20 **DR. ROESSLER:** It doesn't go back through --

21 **DR. MAURO:** And what it looks like to me is
22 that here's a place where when they, that
23 little box called filter just to the right of
24 that, that's where all the action is. That's
25 where they're tweaking the pH or the

1 (unintelligible) or whatever so that we can
2 pull the uranium out but let the monosodium
3 phosphate stay in solution and go back to
4 where Building 44 wherever they were doing
5 their normal thing.

6 And the question becomes at that
7 tweaking spot, there may very well have been
8 good reason, maybe reason to believe that some
9 of the uranium -- I'm sorry -- some of the
10 thorium may have gone off in that direction.

11 Correct me if I'm right, Bill. I
12 don't believe that issue was addressed in the
13 Elzerman Report, that possible option. And I
14 guess our folks felt that that was a
15 possibility, which by the way, the only reason
16 I bring it up is if, in fact, there was some
17 substantial amount of thorium that stayed in
18 the liquid that went to the monosodium
19 phosphate process. What happens there, well,
20 we don't know. It may stay and be diluted in
21 this enormous volume of the phosphate product.
22 Or it may have come out in some purification
23 step.

24 You could correct me if I'm wrong.

25 So there's an unknown there if some of

1 it did go that way.

2 **MR. TOMES:** Let me ask you about the
3 Elzerman Report. I believe he did not
4 specifically address the monosodium phosphate
5 that was returned to, but he did evaluate that
6 step in the process.

7 **DR. MAURO:** Okay.

8 **MR. TOMES:** He did do that.

9 **DR. MAURO:** Did he come out saying that that
10 might have been, that the thorium might have
11 been --

12 **MR. TOMES:** He did not identify that as any
13 significant --

14 **DR. NETON:** Again, I don't know if this
15 would be a quantitative separation of thorium.
16 Here again, I view these as sort of chemical
17 losses in the recovery of thorium. If one
18 were trying to recover thorium --

19 **DR. MAURO:** You're going to lose some over
20 there.

21 **DR. NETON:** -- you're trying to recover
22 uranium, but let's say that the chemistry is
23 sufficiently similar that the thorium will
24 track the uranium for the most part. I think
25 we all agree with that. And you're going to

1 have some line losses, so to speak, along the
2 way. And we don't disagree with that.

3 **MR. THURBER:** And I think that's a
4 reasonable perspective to put on it, just what
5 he said.

6 **MS. MUNN:** But the concern for those of us
7 who are not physical chemists is how
8 significant is that loss? How significant
9 would the thorium exposure be? And the
10 frustrating part of it from my perspective is
11 I didn't get that out of the report. I had
12 hoped to try to get at least a range out of
13 the report, and we didn't get it. But I don't
14 mean to interrupt.

15 Just want to make sure -- Dr. Melius
16 has joined us at the table. Did you get a
17 copy of this, of the pass around?

18 **DR. MELIUS:** I've been here for quite
19 awhile, Wanda.

20 **MS. MUNN:** Well, I know you have. This is
21 the first opportunity I've had to mention that
22 you're back, and I wanted to make sure that
23 you had the handout.

24 **DR. MELIUS:** Yes, I do. Thanks.

25 **MS. MUNN:** I'm sorry. Go ahead, Bill.

1 **MR. THURBER:** Well, I think that really
2 pretty much summarizes it.

3 **DR. MAURO:** There was one more step in the
4 back end if I recall. There's a purification
5 process for the uranium. That's sort of
6 weighted down in the throw. You almost
7 envision, okay, now we've got, at that step
8 where you see the filter and to the left of
9 the word filter it says filtrate returned to
10 monosodium, at the filter, here's where you're
11 pulling the uranium out. Here's where the,
12 you're finally making a product of uranium.
13 But the uranium itself is not very purified.
14 So as I understand it there's a series of
15 steps of dissolution and re-precipitation
16 along the way to try to get as pure a product
17 as you can.

18 **MR. THURBER:** That's right.

19 **DR. MAURO:** It was my understanding that
20 during that process somewhere along the way
21 there was another opportunity for the thorium
22 to go separate ways. The degree to which it
23 could occur I wish we had better answers for
24 you.

25 **DR. NETON:** But I think we can say there's

1 probably not a quantitative separation again
2 at that point.

3 **DR. MAKHIJANI (by Telephone):** Could I ask a
4 question? This is Arjun. Isn't part of the
5 question here the ratio of uranium and thorium
6 rather than the amounts of thorium which go
7 off into the raffinate stream? Because the
8 amount of uranium in the raffinate streams
9 would also be an issue even if most of the
10 thorium goes off with the uranium.

11 The ratio of thorium to uranium in the
12 raffinate streams may be much bigger. I think
13 given that we have uranium bioassay but not
14 thorium measurements, I think the ratio would
15 be important. Perhaps I'm wrong, but that's
16 the question that seems to me central.

17 **DR. NETON:** I'm not sure, Arjun.

18 **DR. MAURO:** Let me jump in. I think I
19 understand, and I think that that's a valid
20 concern. Think of it like this. You've got
21 this 55 gallon drum of uranium. And let's for
22 the sake of this discussion assume that all
23 the thorium for all intents and purposes ends
24 up in that drum. Then you say, okay, now we
25 have people that are filling the drum. In

1 other words you have these hoppers, and
2 they're filling the drum. And the dust that's
3 coming up off the process is going to be some
4 kind of milligrams per cubic meter of dust
5 that people are going to breathe. And that
6 milligram is made up of uranium yellowcake and
7 thorium in equal amounts because they're in
8 equilibrium.

9 **DR. NETON:** Activity wise.

10 **DR. MAURO:** Activity wise, so if you've got
11 a curie of uranium in the can, you've got a
12 curie of Thorium-230 in the can.

13 **DR. NETON:** Exactly.

14 **DR. MAURO:** And then if you kick up a
15 milligram, whatever the specific activity is
16 you've got to know that you have equal amounts
17 of, so now you have, now you're inhaling x
18 amount of uranium and x amount of thorium. So
19 it's the ratio. Now, let's for a second
20 presume that it goes that route and that's
21 exactly the method that you folks adopted so
22 that you account for the intake of thorium.

23 Now, Arjun's perspective is, oh, wait
24 a minute. Let's say for a moment that only a
25 small fraction, let's say 20 percent -- I'm

1 making this up -- of the thorium goes in a
2 different direction, and it ends up in some
3 small volume raffinate, relatively small
4 volume raffinate. But for all intents and
5 purposes its specific activity, the number of
6 curies per gram of material is much higher.

7 Now I think there's a lot of curies or
8 millicuries in that particular box that we
9 don't have on this chart, but if it has a much
10 higher specific activity in terms of curies
11 per gram, even though the total curies is
12 lower, the curies per gram might be higher
13 even though the volume is smaller because that
14 creates potential for the guy whose job it is
15 to get rid of that stuff to go in, clean out
16 that pit, wherever that side stream is
17 generated. And if it dries out, and I guess
18 it's a question we don't have the answer to.

19 **DR. NETON:** Let me try to put some
20 perspective on this issue because I've thought
21 about this some in the last week or so. We
22 feel it's claimant favorable to put all of the
23 thorium into the drum for several reasons.

24 One is that the processes are similar.
25 The chemistries of thorium and uranium are

1 similar, and we've already discussed the fact
2 that in general thorium will follow uranium
3 unless you do some pretty specific things to
4 try to concentrate it. We see no evidence
5 anywhere in the plant that that occurred.

6 Now, when you dump the thorium and
7 uranium in equal activities, uranium has an
8 activity, Uranium-238 of about 330 nanocuries
9 per gram. So for every gram of uranium you
10 dump in that drum, you're also dumping 330
11 nanocuries of thorium. That's 330 nanocuries
12 of thorium per gram of material. That's a
13 huge amount.

14 In fact, if you take the original
15 input stream which is 40 picocuries per gram
16 of each, and if you assume that you've got 100
17 percent recovery, which is not necessarily
18 true, but it can get that high, you have
19 concentrated that thorium by a factor of about
20 8,000. That's a pretty good concentration
21 step to assume in this process, and probably
22 not unreasonable given the similarities of the
23 chemistry.

24 So we dumped it all in there. We
25 concentrate it by a factor of 8,000, and we're

1 giving simultaneous exposure to both uranium
2 and thorium to every worker on a chronic
3 basis. I know of no other step in the
4 production process of this material that
5 concentrates thorium to that extent or I've
6 not heard of any either.

7 Secondly, if one looks at the DOE
8 history of raffinate, admittedly the
9 chemistries could be different, but a
10 raffinate stream that would produce 330
11 nanocuries per gram of Thorium-230 is pretty
12 darn high. In fact, I went back and looked at
13 what the Thorium-230 in the raffinate at
14 Fernald is which was a well-known raffinate-
15 using, highly concentrated Belgian Congo ore.
16 The entire Silo 3 at the Fernald site had
17 about 60 nanocuries per gram of Thorium-230.
18 So I am at a loss to think of any other step
19 in this process that would have concentrated
20 to a higher degree than what we put into the
21 drum of uranium. I'm open to suggestions.

22 **DR. MAURO:** Oh, no, no, I didn't know that
23 what you just said. And what you're saying
24 that when you search for it, because you're
25 processing uranium all the time. And you're

1 saying you would have to generate that kind of
2 side stream, and you haven't seen it.

3 **DR. NETON:** Well, I've not seen 330
4 nanocuries per gram generated on a basis like
5 this.

6 **DR. MAURO:** Has anyone ever taken a sample
7 of the 55 gallon drum to see what's in it?

8 **DR. NETON:** Of this material?

9 **DR. MAURO:** Yeah.

10 **DR. NETON:** No, I don't think so.

11 **DR. MAURO:** Or a similar operation to see
12 how much thorium makes it over?

13 **DR. NETON:** No. But I think the point is
14 not that did it quantitatively go. I think we
15 all agree that it probably did. But the
16 question is did it quantitatively concentrate
17 anywhere to a greater degree than what we put
18 into the drum. And that's really the relevant
19 issue here.

20 **DR. MAURO:** I agree.

21 **DR. ROESSLER:** But what you're saying really
22 to me is speculation. The question, which we
23 don't have an answer to, and I think what you
24 have to do is evaluate this ratio or whatever

25 --

1 **DR. MAURO:** I agree.

2 **DR. ROESSLER:** But the other thing you asked
3 about, has anybody ever measured in the drum.
4 Are there any measurements in these off-
5 streams? Is there any indication from any
6 process or anybody who's done any measurements
7 to show that there is thorium in it?

8 **DR. NETON:** I've looked a little bit at the
9 Florida Institute of Phosphate Research report
10 which they're voluminous reports, 300-page
11 reports. I've seen nowhere in any of those
12 reports, now, admittedly this is more current
13 day chemistry of these phosphate products. I
14 don't think it's fundamentally different than
15 what happened back in '55.

16 There is nowhere that I have seen that
17 anyone was concerned about the presence of
18 Thorium-230 concentrated in raffinates to an
19 extent that we have to get to that would be
20 higher than 330 nanocuries per gram. In fact,
21 the most recent study in 1998 that was put
22 out, of which Wes Bolch is one of the authors,
23 did a fairly extensive -- extensive is
24 probably too strong -- a reasonable survey of
25 the chemical phosphate industry including the

1 wet chemistry process.

2 And there are air samples out in the
3 plant where they're not seeing high airborne
4 concentrations of materials. In fact, I think
5 the highest concentrations they could get were
6 about a picocurie per cubic meter of activity
7 in the air at a location where they were
8 actually changing out filters and such.

9 So I've not identified in the
10 phosphate industry a place where a filter
11 would (a) have to have a huge, more than 330
12 nanocuries per gram of Thorium-230, and be dry
13 and manipulated to the extent that it can
14 generate these large air concentrations to
15 expose the workers greater than what we've
16 done in the drum.

17 So there's sort of a --

18 **DR. MAURO:** What you're saying is there are
19 some powerful circumstantial evidence that
20 says that that's just not happening. And
21 you're not getting something worse than what
22 you've assumed.

23 **DR. ROESSLER:** I think it's bounding what --

24 **DR. NETON:** It appears to us to be a pretty
25 good, solid logic flow to this versus the

1 hypothetical scenarios that have been
2 presented.

3 **DR. MAKHIJANI (by Telephone):** This is
4 Arjun. I think maybe I didn't make my point.
5 I wasn't understood or something. The
6 question isn't the degree of thorium
7 concentration from the ore to the uranium
8 drum. I mean, anytime you process ore, you're
9 going to get poor quality ore, you're going to
10 get very large concentrations as the
11 concentration factors.

12 I think the question is the ratio of
13 uranium to thorium in the various streams. Is
14 the ratio of uranium to thorium in the drum
15 bigger than the ratio of, or comparable, to
16 the ratio of uranium to thorium in the
17 raffinate streams. And this is not different
18 than the problem we had in Mallinckrodt in
19 terms of internal intakes. Although the
20 chemistry is different, the conceptual issue
21 is the same.

22 **DR. NETON:** But, Arjun, what I was
23 suggesting is you have to find a mechanism
24 where the concentration factor was higher than
25 around 8,000.

1 **DR. MAKHIJANI (by Telephone):** No, that's
2 not the point, and that is what I'm trying to
3 get across is if the ratio of thorium to
4 uranium in the raffinate streams is 20-to-1,
5 it doesn't really matter because you don't
6 have a measurement of thorium. You're trying
7 to base your thorium intake estimate from your
8 uranium measurement --

9 **DR. NETON:** No, no, no, what I'm saying is -
10 -

11 **DR. MAKHIJANI (by Telephone):** -- and it's a
12 1-to-1 ratio in the drum. And I think the
13 ratio's more important.

14 **DR. NETON:** What I'm saying is picocuries
15 per gram of material inhaled, you would have
16 to concentrate it more than 8,000 times to get
17 more picocuries per gram inhaled, per unit
18 mass of material inhaled, than what we have
19 put into the drum. I'm not considering
20 uranium as radioactive. I'm just saying it's
21 a gram of substance, and there are 330
22 nanocuries of thorium per gram of material in
23 the drum.

24 Forget the fact that it's uranium.
25 Now, what I'm saying is you would have to find

1 a mechanism that would produce more than 330
2 nanocuries per gram of filtrate somewhere
3 where it concentrates to that extent in the
4 plant, and we just don't see that. I'm not
5 seeing any evidence of that occurring.

6 **MR. ELLIOTT:** We have an outreach meeting
7 that we've scheduled where we're going to
8 speak to workers about how we have changed the
9 site profile technical basis approach here.
10 And is there a point in the diagram here that
11 we should try to pursue a little better
12 elucidation of the processes that occurred at
13 that point or that step? Do you know what I'm
14 trying to say here?

15 **MR. THURBER:** Yes.

16 **DR. MAURO:** Jim, I think you've nailed it in
17 terms of what is the question. Sometimes
18 that's the whole ballgame; what's the right
19 question to ask. Is there any reason to
20 believe that there are any components anywhere
21 along here where the picocuries per gram, not
22 uranium, picocuries per gram of matrix,
23 material, it dried out. And is it possible
24 that you could have more picocuries per gram
25 of material, dry material, that is greater

1 than the picocuries per gram that's in the 55
2 gallon drum?

3 **DR. NETON:** Exactly.

4 **DR. MAURO:** And I never thought of it in
5 those terms. But if a case could be made, an
6 argument could be made that says we just don't
7 see it. We just don't see it as you had
8 pointed out from looking at the literature.
9 Notwithstanding you may get these
10 bifurcations. The out product, even if it
11 dries out, and it may not even dry out, but
12 even if it dries out we're saying it's still
13 going to be lower than what's in the can, the
14 55 gallon drum. I find that to be very
15 compelling.

16 **DR. NETON:** We may need to look at the
17 literature a little more on this. I have not
18 done an exhaustive search, but certainly in
19 the raffinate processes that I'm aware of, it
20 would be hard to get that high of a chemical
21 separation of the thorium into a mass of
22 material like that.

23 Larry has an excellent point. We
24 intend when we go out, I think it's September
25 12th.

1 Tom, is that right?

2 **MR. TOMES:** Yes.

3 **DR. NETON:** We're going September 12th to
4 Blockson, and this is certainly going to be
5 high on our list to try to learn any
6 additional information from the workers about
7 these filtrate steps and mass of the filter,
8 that sort of stuff. Because that would help
9 me out as well.

10 If you notice, a lot of these filtrate
11 steps have what they call filter aids and
12 stuff which are inorganic/organic flocculent
13 matrices to try to help precipitate the
14 material. Because the fact is there's not
15 much mass of Thorium-230. I mean, per gram of
16 uranium in that drum there's a ten to the
17 minus 13th grams of Thorium-230, the specific
18 activity is so high for Thorium-230.

19 And it's been my experience in my
20 earlier days as a radiochemist, if you've got
21 little bits of material like that, it's hard
22 to get it out of solution. You can't get,
23 there's just sort of a process where you have
24 to have a sufficient critical mass, not a
25 nuclear critical mass, but a critical mass to

1 be able to precipitate quantitatively material
2 out of solution.

3 **DR. MAURO:** You need a carrier.

4 **DR. NETON:** You need a carrier, exactly.

5 So you would need significant amounts
6 of carrier to bring that stuff out to
7 quantitatively isolate it in one location.
8 Which again brings me to the fact that it's
9 going to be hard to get more than 330
10 nanocuries per gram of this stuff in one
11 location.

12 **MR. ELLIOTT:** I think you also have to
13 figure out if you can from the workers what
14 the conditions of working with the material
15 were. Was it a wet raffinate? Was it a
16 slurry? Did they dry it before they removed
17 it as a filter cake and placed it in the drum?

18 And, you know, you talk about
19 milligrams per cubic meter, that puts a lot of
20 dust in the air. I'm thinking more on the
21 order of micrograms per cubic meter of
22 exposure. So I think those kind of questions
23 need to be pursued here.

24 **DR. NETON:** I think an 8,000 times
25 quantitative isolation of materials is a

1 weight of evidence that seems to make the most
2 sense.

3 **MS. MUNN:** You know, during the worker
4 meetings that were held at Blockson earlier,
5 there were several individuals who had first-
6 hand knowledge, were actually there at the
7 time and were able to provide a great deal of
8 what I thought was informative data.
9 Unfortunately, I have not seen the minutes
10 from that particular, from the workers we had
11 at the meeting.

12 I'm assuming that you have, Jim, and
13 you've been on that.

14 **DR. NETON:** Yes.

15 **MS. MUNN:** I only am going from memory, from
16 what I heard there. But I did not have the
17 impression that there were dry processes
18 anywhere except at the end of the line. If
19 that's the case, then the issue should be able
20 to be tied down a little better. Both Gen and
21 Mike have indicated that they're going to be
22 available for this worker, upcoming worker
23 meeting at Blockson, which is very good. I'm
24 glad. I'm not going to be able to be there.

25 **DR. ROESSLER:** You're glad we're going to be

1 there since you can't be there?

2 **MS. MUNN:** I'm glad you're going to be there
3 for more than one reason. One of the things I
4 would like to see happening going into this
5 meeting is I would like to have this group
6 define precisely the question that needs to be
7 asked of these workers because the previous
8 opportunities that they had were to tell us
9 their stories. And they did, in fact, do
10 that. It was a well-run meeting. The workers
11 had plenty of opportunity to speak for as long
12 as they wanted to about information that they
13 had. And they did provide excellent
14 information.

15 This time, if we're going to continue
16 to have meetings, rather than having the
17 workers run open as it were, it appears to me
18 we're at a point where it's crucial we
19 identify the questions that need to be asked
20 and try to make every effort, ask Laurie to
21 make an effort to see that the people who were
22 there the last time or any additional people
23 who might have information that will bear
24 directly on those limited issues be asked to
25 be present.

1 Can we put together, in my view, no
2 more than three, actually, I see only two
3 questions that need to be asked specifically.
4 Can we do that? Is it within our purview to
5 request of Labor that their meeting proceeds
6 with the concept that these are the specific
7 questions we need responses to?

8 **DR. NETON:** This is our meeting. When you
9 said Labor, I thought you meant the Department
10 of Labor.

11 **MS. MUNN:** Well, I, no, the workers.

12 **DR. WADE:** I think it's certainly a purview
13 for this working group to make that
14 recommendation. I wouldn't limit it just to
15 that. You always want to give people the
16 floor to say anything they want, but to ask
17 specific questions along with an open session
18 I think is perfectly reasonable.

19 **DR. ROESSLER:** I haven't been at an outreach
20 meeting so at any meeting who actually runs
21 the meeting? Is it you? It's NIOSH? So you
22 --

23 **MR. ELLIOTT:** There are various purposes
24 behind an outreach meeting. This particular
25 outreach meeting's purpose is to walk out for

1 the workers a revised technical basis approach
2 that speaks to all of the types of dose that
3 needs to be reconstructed for this workforce.
4 And in that we also have a purpose and an
5 opportunity in this purpose to explore certain
6 issues or questions that we still need an
7 answer to.

8 So that's, so NIOSH will be leading
9 this meeting. Yes, we'll have our contractor
10 there to capture minutes, and we'll share
11 those minutes with the folks who attend and
12 make sure that we are correctly and accurately
13 compiling what their thoughts were and their
14 responses were.

15 **DR. MAURO:** For the record, just some of the
16 feedback from SC&A regarding areas that we
17 think that might be worth exploring, and it
18 doesn't go toward talking about thorium
19 because I don't think we're going to get much
20 help on talking about thorium. For example,
21 in the chart there are, I guess, three points
22 where we'd like to know more about what went
23 on. Something that they probably would know
24 about because they lived it.

25 **MS. MUNN:** And we've only talked about two.

1 So where's the third?

2 **DR. MAURO:** I have three. The three are in
3 the drawing on the very, very top line where
4 it says the square box that says Blockson's
5 monosodium phosphate process. Then we've got
6 a more complicated box that's shown here, and
7 there was some separation activity going on in
8 there where there was some purification of the
9 stream where they pulled off some particulate
10 material to allow, the next step is the
11 monosodium liquor.

12 In other words you see moving off to
13 the right of that box is the liquor. Well,
14 the question becomes right now we're operating
15 on the premise that all the uranium is sitting
16 in that liquor, and all of the thorium is
17 sitting in that liquor.

18 Well, we suspect that to some degree
19 there's some activity going on in that box
20 where they're pulling off some particulate
21 material to help purify that stream, make it a
22 better stream is what they're trying to do.
23 The question is what did they do? In other
24 words what were those streams like? What did
25 they pull off?

1 And the product that came out, if
2 those streams did exist, what did they do with
3 them? Did they dry them? Put them in a box?
4 Dispose of them? Or were they staying wet,
5 and they ended up some place else in some
6 slurry? So that's the kind of question they
7 probably know the answer to. They wouldn't be
8 able to say anything to the effect whether
9 thorium went that way, but if we knew that was
10 dry, that's point number one.

11 **MR. ELLIOTT:** And how many steps that box
12 includes.

13 **MS. MUNN:** Yeah, what happened with it.

14 **MR. THURBER:** Was it just put down the
15 sewer?

16 **DR. MAURO:** Or did it go down the sewer,
17 yeah.

18 Now the other place where I see some
19 action that they could talk about is the next
20 tier down right in the middle of the page
21 where you see the word filter, and then to the
22 left it says filtrate returned to monosodium
23 phosphate production. Well, that's that place
24 where the monosodium phosphate, the commercial
25 product, goes back into the commercial line.

1 Now we believe that there's a good
2 chance that at least some of the uranium --
3 I'm sorry -- some of the thorium may have gone
4 to the left. In other words at that point
5 that's where you're getting the separation.
6 That's where the uranium is being separated
7 from the commercial product.

8 Now one of the questions we have is
9 was that separation of such a nature where
10 some substantial amount of thorium may have
11 gone off to the left with the monosodium
12 phosphate production. Let's say --

13 **MR. ELLIOTT:** And does that go back into
14 that other box we just talked about?

15 **DR. NETON:** That goes back into the plant.

16 **DR. MAURO:** That goes back into the plant
17 because --

18 **DR. NETON:** That goes back to Building 4.

19 **DR. MAURO:** -- yeah, and that's where
20 they're making the product. That's what they
21 do for a living over there commercially.

22 Now, now you've got this commercial
23 product. And it's a large volume. This is
24 where the volume is. This is what they're
25 making for a living. Well, inside it possibly

1 there's some thorium and --

2 **DR. NETON:** But that's no different than the
3 regular process at this point, just that the
4 uranium's been removed. Which brings up
5 Arjun's point.

6 **DR. MAURO:** That's correct.

7 **DR. NETON:** This is a uranium stream's been
8 removed. Thorium is in there. It would have
9 been there all along no matter what.

10 **DR. MAURO:** All along, it would have been
11 there anyway.

12 **DR. NETON:** So then the question is does
13 thorium concentrate at all in the balance at
14 Plant 4, Building 40. And I say the Fipper*
15 Reports show that it doesn't seem to if the
16 process is the same. But we can ask the
17 workers.

18 **DR. MAURO:** And the reason that becomes
19 important because you brought Building 44 into
20 the action as a result of the new work. Now
21 you can't say, well, it's just part of the
22 process.

23 Now the third place, and I bring this
24 up because these are questions that I guess we
25 would like answered. The third place is on

1 the very bottom of the chart where you see
2 right in the middle of the page on the bottom
3 line the word filter, and it says filtrate to
4 waste.

5 This is one of the last steps in the
6 process where the uranium itself is being
7 purified so that you get the best quality
8 yellowcake you possibly can in the end of the
9 process. So there's some kind of filtration,
10 re-precipitation step occurring here to try to
11 get a purified uranium. Now is it possible
12 that this is the last place where some thorium
13 may break out?

14 **DR. NETON:** Well, the filtrate to waste, I
15 assume that this is a liquid waste stream.

16 **DR. MAURO:** That's right, and if that's the
17 case, we need to know that. Or they may --

18 **DR. NETON:** That just goes to the sewer.

19 **DR. MAURO:** -- or they may dry it, package
20 it and dispose of it as solid waste. Perhaps
21 return it to the tailings pile.

22 **DR. NETON:** Yeah, that's a good question.

23 **DR. MAURO:** So those are the three places
24 where if we could -- I guess there are two
25 questions here. One, if it stays wet, the

1 problem goes away. Two, if the quantity of
2 thorium is small and the matrix in which it is
3 in is relatively large, well, then the
4 specific activity of the thorium in that
5 little package is not going to be as bad as it
6 is in the 55 gallon drum. The problem goes
7 away.

8 **DR. NETON:** One of the issues I think is how
9 frequently they changed out those filters
10 because it's easy to calculate sort of a
11 bounding estimate to how much thorium could be
12 in those filters on a worst-case basis. But
13 they made one drum a month basically or
14 something like that.

15 **DR. MAURO:** Yeah, it wasn't much.

16 **MR. TOMES:** I'm just going to come in on
17 this last step, on this filtrate to waste, the
18 final filter. Blockson had in all their
19 documentation the work they had done, they had
20 an action of actually, this filtrate was
21 identified as going to a sewer. And they
22 would sample it. If it was less than 0.5
23 grams U per liter, they would dump it to a
24 sewer which indicates that they were checking
25 to be sure they got all the uranium out of it.

1 And there also was a step that I
2 believe Dr. Elzerman, if I'm interpreting
3 correctly, that is probably the most likely
4 place the Thorium-230 would be separated from
5 the uranium at that step right there. Where
6 the Thorium-230 may have formed some complexes
7 that did not precipitate out in that step, and
8 it could have gotten pumped to the sewer.

9 **DR. MAURO:** And could have gone --

10 **MR. ELLIOTT:** And going to the sewer implies
11 a wet stream.

12 **DR. NETON:** Yeah, it would just be dumped
13 down a drain.

14 **DR. ROESSLER:** But going to the sewer
15 implies no concern for workers.

16 **DR. MAURO:** Yeah, I think that's a line on
17 putting this to bed by then answering these
18 questions.

19 **DR. NETON:** If this filtrate was sampled and
20 had more than -- what was it? Half a --

21 **MR. TOMES:** Half a gram.

22 **DR. NETON:** -- half a gram per liter, I
23 assume they probably feed it back into the re-
24 precipitation process.

25 **MR. TOMES:** I don't know, but I would assume

1 that they would; however, I don't think it
2 would be a significant amount because they
3 would have had additional steps if they were
4 having significant problems.

5 **MS. MUNN:** It would only be good business to
6 do so.

7 **DR. NETON:** But it's very, very good to
8 bring these up. You're right.

9 **DR. WADE:** So the need for the worker
10 outreach meeting. You also mentioned, Jim,
11 that you had looked at the literature but
12 maybe not as rigorously. Is that something
13 that the work group wants pursued or not?

14 **MS. MUNN:** I think the literature probably
15 has been pretty well beaten to death by now.
16 I would suspect both our contractor and our
17 subcontractor and certainly the agency has --

18 **MR. ELLIOTT:** We presume the subject matter
19 experts looked at it fairly --

20 **MS. MUNN:** Well, that's theoretically what
21 they are. Subject experts who already know
22 what's in the literature. My concern is in
23 the discussion here, being a novice to this
24 type of production, it still appears to me
25 that I'm hearing the same kinds of discussions

1 that I thought I was hearing at the worker
2 meeting at Blockson.

3 So there's concern with respect to
4 whether that source of information has been
5 adequately mined. I haven't seen it. I
6 haven't seen the minutes. And there were
7 several people who spoke specifically to the
8 types of conditions under which the waste
9 streams were handled. Not extensively, but
10 they spoke to them.

11 I want to make sure that we're not
12 asking questions that have already been
13 answered in previous worker meetings. Without
14 those minutes, and my apologies for not having
15 requested those earlier --

16 **MR. TOMES:** I believe there was a couple
17 brief comments made at the previous worker
18 meetings concerning waste streams. It was not
19 the focus of the questions necessarily, but
20 there was a couple, I know I asked a couple
21 questions. It was very brief, and the people
22 did not know the answer to it. So there was
23 really nothing discussed. But it was clearly
24 not, we did not focus on those issues. We
25 were focused on the general process. And I

1 believe it would be beneficial to focus on
2 these issues at the meeting because we did not
3 focus on them at all. I mean, it was just it
4 was a couple comments here and there and
5 people did not identify that they knew
6 anything about that. But perhaps if we asked
7 more specific questions, a couple of the
8 workers who actually worked in that building
9 may know.

10 **DR. ROESSLER:** How hard would it be to get
11 the minutes? It seems like we should have
12 them.

13 **DR. WADE:** This is the first action item.

14 **DR. ROESSLER:** Pardon?

15 **DR. WADE:** This is the first action item.
16 It seems that those minutes should be shared
17 with our work group.

18 **MR. TOMES:** They're on the website.

19 **DR. ROESSLER:** They are on the website?

20 **MR. TOMES:** Uh-huh.

21 **DR. ROESSLER:** Oh, under the Blockson?

22 **MR. TOMES:** Yes.

23 **DR. NETON:** I'd like to get back to what Lew
24 mentioned about the literature, and maybe
25 that's a misunderstanding what I meant by a

1 review of the literature. What I was talking
2 about was not necessarily reviewing the
3 literature on the radiochemistry of thorium,
4 but to review the literature on the raffinates
5 that were produced in the Department of Energy
6 process to determine, to put a sort of sanity
7 check on this. What are the upper limits that
8 one observes when one is not trying to
9 purposely concentrate thorium?

10 I mean, just as sort of a byproduct of
11 concentrating uranium, that one can put an
12 upper cap on what the concentration of
13 Thorium-230 in these raffinates might have
14 been. It wouldn't be the end result, but it
15 would add to this sort of weight of the
16 evidence argument that John Mauro was talking
17 about that, yeah, we don't see any place where
18 it concentrates, intentionally try to
19 concentrate thorium.

20 Let's look at some similar processes
21 and see what the raffinates contain as far as
22 thorium, and indeed, have we not bounded the
23 amount by dumping it all in the drum and
24 putting 330 nanocuries per gram into the
25 workers' breathing zone. I thought that that

1 would just add some extra weight of the
2 evidence to the argument.

3 **DR. ROESSLER:** Give an example of where they
4 were doing something where they were not
5 trying to concentrate uranium at least for my
6 --

7 **DR. NETON:** Well, the raffinate at Fernald,
8 the Thorium-230 cold door silos, Silo 3, had
9 an average concentration of about 60
10 nanocuries per gram of Thorium-230. That is
11 some of the highest uranium-bearing ores that
12 was ever produced, the Belgian Congo ores, and
13 it came up. I'm not suggesting it was exactly
14 the same, but I'm saying that this is sort of
15 what you end up with in a process where you
16 take tons of ore products and start refining
17 it.

18 **DR. MAURO:** So I think it's you're saying
19 you saw 60 nanocuries per gram of Fernald
20 raffinate and at the Blockson can, it's 80?

21 **DR. NETON:** Three hundred and thirty.

22 **DR. MAURO:** Three hundred and thirty.

23 **DR. NETON:** Assuming you have 100 percent
24 recovery. Now that may or may not be true,
25 but if it's 50 you can scale it down by half.

1 If it's a pure uranium product, it would be,
2 because it was in equilibrium with uranium.
3 Uranium has about 330 nanocuries per gram. So
4 you'd have 330 nanocuries of Thorium-230.

5 That's a fairly high amount, a third
6 of a microcurie of uranium per gram in the
7 breathing zone of the workers is quite a bit.
8 Again, I'm hard pressed to see anywhere in
9 this process where it might be higher.

10 **DR. MAURO:** I think that's an important
11 element to this whole argument.

12 **DR. NETON:** I think that it might be. We
13 won't do a definitive search, but just sort of
14 a sanity check, an upper bounding look.
15 Clearly, if we found a bunch of places it's
16 much higher than that, and I do have to state
17 with a caveat that they weren't purposely
18 trying to concentrate Thorium-230. There are
19 some processes, for example, at Mallinckrodt
20 where they were trying to make Thorium-230 to
21 send it to Mound for production purposes.

22 **MR. ELLIOTT:** For the worker outreach
23 meeting I think from this discussion and from
24 the reviews that we've had from the subject
25 matter experts on both sides, I think we are

1 able to formulate good questions. And I think
2 Jim and Tom can put those things together and
3 share them with the working group.

4 And we should carry them to the field
5 with us for this meeting with these workers
6 and have them on one page and make sure that
7 we attend to business there and focus on those
8 questions when we get to that part in the
9 presentation.

10 **MS. MUNN:** That I think would be highly
11 appropriate. And as a matter of fact since we
12 have a very short agenda here today, and this
13 topic is the key topic. So far as I know it's
14 really the only outstanding topic. Am I
15 correct?

16 **DR. MAURO:** There's one other topic that I
17 would consider to be a non-SEC issue that we
18 would benefit from some discussion today even
19 though it's a non-SEC. And this has to do
20 with the Type M, Type S discussion we had
21 before.

22 **MS. MUNN:** Yes.

23 **DR. MAURO:** The reason I say it's a non-SEC
24 because, you know, for obvious reasons.
25 Whether or not it would even benefit from some

1 discussion of that today also is certainly up
2 to the working group.

3 **MS. MUNN:** I think that would be a fine
4 thing to do. What I'm going to suggest is a
5 little unusual. So far as I know we haven't
6 done this in the past, but because we're here,
7 because we're all clear on what we want to do
8 but not clear on the specifics of what the
9 question needs to be, I would like to suggest
10 that we take about a 45-minute break and have
11 our NIOSH folks and our SC&A folks sit down
12 and write out, define for us, what those three
13 big questions are going to be that we'll ask
14 of the workers. If we can do that, then we
15 can get the questions together. We can have a
16 short break for lunch. We can come back. The
17 entire group can look at the questions and
18 agree or disagree, add to or correct, and we
19 can have a brief discussion on the Type M
20 issue. Is that satisfactory with everyone
21 here? Does that make sense?

22 (no response)

23 **MS. MUNN:** It doesn't appear to me that
24 composing the three questions is feasible in
25 our entire group and having the people on the

1 phone waiting to see what we're going to come
2 up with. That discussion probably is not
3 productive for all of us. But those folks who
4 are the experts need to be the ones who are
5 telling us what we need to know to resolve
6 this. Are you all amenable with that?

7 **DR. ROESSLER:** Uh-huh.

8 **MS. MUNN:** If that's the case, then I would
9 suggest that the larger group now adjourn
10 temporarily. That will give you until 12 noon
11 to put together the questions. Will we need
12 more than a half hour after that for lunch as
13 well?

14 **DR. NETON:** It depends on how long it takes
15 the smaller group to write the questions.

16 **MS. MUNN:** Let's adjourn temporarily. We
17 will, let's come back here at 12:45. Agreed?

18 **DR. NETON:** Okay.

19 **MS. MUNN:** And those of you who are going to
20 put the questions together, please do so. For
21 the folks on the telephone, I think you can
22 take a break until 12:45.

23 **DR. NETON:** Are we going to break the
24 connection here?

25 **DR. WADE:** Yeah, we'll break the connection.

1 We'll dial back in at 12:40.

2 (Whereupon, the working group recessed from
3 11:13 a.m. until 12:45 p.m.)

4 **THREE QUESTIONS FOR WORKERS' MEETING**

5 **MS. MUNN:** Welcome back, let's call
6 ourselves back into session. I understand
7 that we had a productive meeting with respect
8 to pulling together the three questions that
9 we specifically want to make sure get
10 addressed during the next workers' meeting.
11 Who would like to read those questions to us
12 so that we can have any discussion that might
13 evolve from that?

14 **MR. TOMES:** I can do that.

15 **MS. MUNN:** Thank you, Tom.

16 **MR. TOMES:** We have three questions with
17 some details on each question a little bit
18 here. The first one is what were the steps
19 involved in the monosodium phosphate
20 production process which occurred in Building
21 40 to partially neutralize the phosphoric acid
22 before they pumped to Building 55? And I've
23 got a couple other questions I'd like to, you
24 know, related to that, you know, more focused
25 responses that we can get from the workers.

1 For example, what happened to the solids
2 filtered out before the liquid was pumped to
3 Building 55 as a potential source. And we
4 want to know if there was any drying done in
5 Building 40 of this filtered out waste.

6 **MS. MUNN:** My guess is we'll be very
7 fortunate if we have workers who can get down
8 to that level of specificity, but
9 nevertheless, it's worth asking.

10 **MR. TOMES:** And also if there was filter
11 change-out frequency for filtering material in
12 Building 40.

13 And the second question is how was the
14 monosodium phosphate processed after it left
15 Building 55. So we're wanting to know
16 actually what happened, what they did to the
17 processed monosodium phosphate before it came
18 into Building 55, and what they did with it
19 after it left Building 55. Where it went if
20 they know that, and again, if it was filtered
21 or further processed in their regular plants.

22 And the third question we're proposing
23 to ask is how was the waste from Building 55
24 handled. Specifically, the liquid waste that
25 was generated from the final step, what was

1 done with that? Was it processed or pumped
2 out? If they know anything about that
3 particular waste stream.

4 And we'd also like to know if they
5 know any information about how filtrate waste
6 may have been handled. You know, they
7 recycled some of the filtrate in the building.
8 And at some point it's assumed that that would
9 become not useful to re-use, and they would
10 have discarded it.

11 And those were our three questions
12 right there.

13 **MS. MUNN:** I believe I recall having heard
14 some of them talk about some of the waste
15 stream having been pumped outside, but I don't
16 recall the specifics and haven't re-read, and
17 shouldn't make that comment really because I
18 haven't re-read the minutes.

19 But does anyone have any concern, any
20 further issue with respect to those three
21 questions? Do you feel they cover what we're
22 attempting to get to here?

23 **DR. ROESSLER:** I was looking at the minutes
24 from that last public outreach while I was
25 having lunch, and I haven't gotten all the way

1 through them yet, but I think we're going to
2 have a problem with terminology. I don't see
3 anything in the minutes that talks about the
4 monosodium process.

5 I think they used words, they say
6 liquor, and they have different terminology.
7 And I think we're going to have to have
8 somebody translate these questions into
9 something that the workers will identify with
10 or we're not going to get answers.

11 **MR. TOMES:** The liquor is common in the
12 literature, too, referred to as liquor in the
13 Building 55.

14 **MS. MUNN:** Are you going to be at that
15 meeting, Tom?

16 **MR. TOMES:** Yes, ma'am.

17 **MS. MUNN:** And John?

18 **DR. MAURO:** I was not planning to. It would
19 be Bill or Chick Phillips. They know a lot
20 more about it than I do.

21 **MS. MUNN:** Okay, so then either Bill or
22 Chick will be there.

23 **DR. MAURO:** Yes.

24 **MS. MUNN:** Will you folks be able to make
25 that cross-connection between terminology?

1 **MR. THURBER:** I think if one showed them
2 this diagram and said we're talking about this
3 box here that's called the Blockson monosodium
4 phosphate process. We're talking about this
5 waste stream which says, whatever it says,
6 return process.

7 **MS. MUNN:** Yeah, I was going to say we need
8 a better copy.

9 **MR. THURBER:** I didn't have my glasses on;
10 that's all. And we're talking about primarily
11 this waste stream here, you know. Tell us
12 what you know about those. I think that would
13 probably capture it, and capture it in a way
14 that they would be able to understand
15 precisely where we were going.

16 Jim?

17 **DR. NETON:** I agree. I think it's a good
18 idea to have this diagram because this is a
19 1958 vintage diagram that presumably some of
20 the workers might recognize.

21 **DR. ROESSLER:** You think there'd be also a
22 connection between the diagram and locations
23 in the building that would help them focus in
24 on what the questions are?

25 **DR. NETON:** My recollection, Building 55 is

1 pretty small.

2 **MS. MUNN:** Yeah, it's not --

3 **DR. NETON:** It's a hundred by two hundred.

4 **MS. MUNN:** It's not a big building.

5 **DR. NETON:** So we just need to differentiate
6 between what was in Building 55 and then the
7 balance of the phosphate processing area which
8 I think is Building 40.

9 **MS. MUNN:** Yeah, 40.

10 **DR. NETON:** Forty. So I think we could make
11 that distinction for one of them. It's a good
12 plan. I think we need to make sure we have
13 this available to display.

14 **DR. MELIUS:** We should have this blown up so
15 we can use it as a display thing or something.

16 **DR. NETON:** Yeah, we'll have a PowerPoint --

17 **DR. MELIUS:** Just talk about it, I mean
18 otherwise it's going to be --

19 **DR. NETON:** Agreed.

20 **DR. MELIUS:** -- difficult to --

21 **DR. NETON:** Usually we have a PowerPoint
22 presentation at these worker outreach
23 meetings, and we'll make sure that when this
24 is blown up, it can be read.

25 **MS. MUNN:** Gen, this is what the building

1 looked like.

2 **DR. ROESSLER:** Yeah, I saw, but even so --

3 **MS. MUNN:** Yeah, it really wasn't a large
4 and complex building.

5 But if it's possible for us to have
6 those questions in written form and a hard
7 copy of the process that's available for the
8 people preferably prior to the meeting or at
9 least first thing early in the meeting so they
10 have a chance to look at it.

11 **DR. MAURO:** Any possibility that prior to
12 the meeting whoever the counterpart is who
13 represents the workers, a discussion could be
14 held about what we're trying to accomplish.
15 Maybe they could help us craft the questions
16 in a way that might be more -- I don't know if
17 we have that kind of relationship.

18 **DR. NETON:** Yeah, I don't know, John. I'm
19 not sure that we do have a contact at Blockson
20 like we have at other plants. We'll check
21 into that though. If we do, it's certainly a
22 good idea.

23 **DR. MELIUS:** There's an international union
24 contact who happens to be in Cincinnati. I
25 think he's on vacation this week. But he's

1 been in touch with Vern McDougall*. It's John
2 Morowitz*. He used to work at NIOSH. They've
3 gotten involved recently. So I talked to him
4 a few months ago. He called me up about the
5 process and our process. How we handle things
6 and what was going on there. So John may be
7 able to track down, help you track down ahead
8 of time who would be a good contact and so
9 forth. And I thought when we were in that
10 meeting, I thought there were some of the
11 former union --

12 **MS. MUNN:** Mark Lewis might know those kinds
13 of people.

14 **DR. ROESSLER:** Well, in the minutes that I
15 was just looking at one of the key players was
16 Mark Lewis. He said he was formerly with the
17 union. The union was then disbanded. But it
18 occurred to me, I haven't read through all the
19 minutes, but he was sort of organizing the
20 people there.

21 **DR. MELIUS:** It's a different union though.
22 This, the International Chemical Workers, I
23 think, represented Blockson.

24 **DR. ROESSLER:** But he seemed to be the one
25 who was organizing the workers and --

1 **DR. MELIUS:** Yeah, he did. I don't know
2 about his, Mark's, continued involvement
3 because I don't think -- is he still involved?
4 I don't think so. Vern McDougall's the, NIOSH
5 has redone their outreach component, and Vern
6 McDougall, who had worked with Mark on this
7 before, but Vern is now doing it. And Vern
8 was the one that had reached out to John
9 Morowitz.

10 **DR. NETON:** Right.

11 **MR. TOMES:** He had told me he had gotten
12 contacts with the union.

13 **DR. MELIUS:** Okay, but Morowitz is right
14 here in Cincinnati.

15 **DR. NETON:** We'll work through that and see
16 what we can do with that; it's a good idea.
17 We just need to see if we can accomplish this
18 in the few weeks we have before the September
19 12th meeting.

20 **DR. MELIUS:** At the time I talked to him I
21 don't know if he's on Laurie's contact list or
22 not.

23 **MS. MUNN:** So, Jim, you can identify,
24 attempt to identify that contact and see if we
25 can get those two items in their hands, the

1 picture of the flowchart and the three
2 specific questions?

3 **MR. GIBSON (by Telephone):** I want to just
4 go on record here that kind of this is a
5 different perspective but as far as the
6 chairing the worker outreach working group,
7 I'll be attending the meeting just to see how
8 these meetings go, but I don't really recall
9 having these outreach meetings to where
10 workers are somewhat compelled or limited in
11 their responses or in their input.

12 **MS. MUNN:** They won't be here either.

13 **MR. GIBSON (by Telephone):** I understand
14 we're asking them for any specific information
15 they may have, but I would have a concern if
16 it's limited to that.

17 **MS. MUNN:** No, there is no intent to limit
18 it. Au contraire, but the earlier, the two
19 earlier meetings at Blockson were really wide
20 open and very informative. People talked
21 about whatever they wanted to talk about and
22 that will continue to be the case I'm sure.
23 It's just that without specific issues to be
24 addressed, it's difficult for people
25 themselves to focus in what is now a major

1 issue. This is an attempt to make sure that
2 in the process of their telling their stories,
3 hopefully, someone has some answers to these
4 questions, too.

5 **MR. GIBSON (by Telephone):** Specific but not
6 limited to.

7 **MS. MUNN:** Correct.

8 **DR. NETON:** The focus of this meeting was
9 originally going to be to discuss the revision
10 to the site profile which we took a lot of
11 their comments and incorporated and made
12 significant revisions to the profile and so
13 sort of roll that out for them and get
14 feedback on the general content of the site
15 profile. But like Wanda said, while we're
16 there it certainly behooves us to at least try
17 to focus on these issues that will help us
18 resolve the SEC part of it.

19 **MS. MUNN:** When we held the earlier
20 meetings, I don't think we really knew exactly
21 what we wanted to know. We just wanted as
22 much information as we could get. And we
23 still want as much information as we can get,
24 but we specifically want answers to these
25 questions because that's what's keeping this

1 work group from coming to a conclusion. And
2 we do want to get this off the table as early
3 as possible.

4 **DR. ROESSLER:** Speaking of getting it off
5 the table, what do you see would be the
6 sequence of events after the outreach meeting?
7 Will we need to have a work group come back
8 together again to then finally resolve what
9 still seems to be a question?

10 **MS. MUNN:** It would be my hope that we could
11 do that possibly at the October meeting. I'm
12 not certain. A part of it depends on how much
13 shakes out of the worker meetings. It also
14 depends on what that timeframe is for other
15 people for other items on our agenda. We have
16 a pretty heavy agenda in October.

17 **DR. WADE:** So when you're going to be able
18 to review the minutes of that meeting. The
19 meeting's on September 12th. When would you
20 normally expect to have the minutes available,
21 the transcript available?

22 **DR. NETON:** I don't think it's going to be a
23 transcript. I think it will be minutes. We
24 should have that available for our own use
25 within a week or so after that. There may be

1 some time delay for the redacted version to
2 appear, but we could use it internally. I
3 would think that we should be able -- and I
4 want to speak to Tom because he's the one, the
5 technical lead on this -- put together a white
6 paper or a position paper outlining much of
7 what we discussed earlier and incorporating
8 what we learn at the meeting and just outline
9 our position, where we are at that point. And
10 then provide it to the working group for
11 further discussion. That should be able to
12 happen I would think towards the mid to end of
13 September. Well, probably the end of
14 September if the working group meeting's on
15 the 12th.

16 **DR. WADE:** And the Board is meeting on
17 October 4th, 5th and 6th in Blockson country.

18 **MS. MUNN:** In Blockson country, yes.

19 **DR. NETON:** It sounds late to have that
20 produced, but I do think this is one of the
21 only issues that we have on the table. And I
22 don't envision this report being more than
23 under ten pages probably. I mean, it's just
24 going to outline sort of the weight of the
25 evidence we have on where we believe this

1 thorium may or may not have concentrated. How
2 firmly we can state that.

3 **MS. MUNN:** As I see it this is the single
4 outstanding item which this group needs to
5 make a recommendation to the full Board.

6 **DR. NETON:** I believe that's true.

7 **MS. MUNN:** And if we can, in fact, do that
8 prior to the October meeting, it would be most
9 helpful for everyone if we can -- think we can
10 do that, Tom?

11 **MR. TOMES:** Okay.

12 **DR. MELIUS:** That may be, but I will go on
13 record that I would be opposed to any final
14 action on this unless we've given adequate
15 time for the petitioners to review the
16 information and have it accessible to them.
17 And frankly, the track record of getting
18 things through Privacy Act review and so forth
19 has not been good. So I really think we're,
20 it would be a mistake to try to think that we
21 can complete this at the October meeting.

22 Again, it may depend on what the
23 findings are or something like that. I mean,
24 whatever, probably can't be by September 12th,
25 but to me I don't see where that's going to

1 work out. And I think we have to be, have a
2 process that's fair, open and transparent.
3 And we've not been doing that recently with a
4 number of these sites, and I think enough is
5 enough and let's be realistic about what we
6 can accomplish within the timeframe.

7 **MS. MUNN:** What can you recommend, Jim?
8 What would your recommendation be?

9 **DR. MELIUS:** Well, my recommendation is I
10 think we're going to end up doing another work
11 group meeting, and maybe that can be done at
12 the October meeting in conjunction with that.
13 But I don't think we're going to be ready, and
14 we'll have fully shared all the information in
15 time for a decision by the Board at the
16 October meeting.

17 **MS. MUNN:** Well, I'm not suggesting
18 necessarily a decision by the Board. I just
19 want to be able to lay before the Board any
20 very thorough list of outstanding items that
21 we have. And in my mind I see this one as
22 being the current issue, and a single current
23 issue. If you have a recommendation for how
24 to proceed, we're open to hear it.

25 **DR. MELIUS:** I'm fine with what you just

1 said. We should update the Board, and we do
2 it at every meeting, but --

3 **MS. MUNN:** Well, I'm concerned about your
4 concern relative to adequate notification of
5 the SEC and worker groups. How would you like
6 us to proceed in that regard?

7 **DR. MELIUS:** I don't think that's going to
8 be possible to do in time to resolve this
9 issue by the Board, a final conclusion
10 presented to the Board at the October meeting.

11 **MS. MUNN:** So I'm asking for your proposal.
12 What then do you propose?

13 **DR. MELIUS:** That it not be done until a
14 meeting after the October meeting.

15 **DR. WADE:** Well, I think we would serve the
16 public well if at the October meeting we could
17 daylight everything that we have. Let them
18 know what the issues are, what the work group
19 has done, what's available. Make sure that
20 all of that is before the public to the degree
21 it can put before the public with the
22 expectation being that the Board will need to
23 chew on that awhile. And also, there might be
24 time for the petitioners and others to react
25 to that with the vote likely coming at the

1 January meeting.

2 **MS. MUNN:** Is it possible that we could do
3 that, take care of the final vote during our
4 December call? We have a December call
5 scheduled. Is there any problem with doing it
6 at that time?

7 **DR. WADE:** It's possible. I think when the
8 Board is going to vote on an SEC petition that
9 has history and issues, I think sometimes it's
10 best to do that when the Board is face-to-face
11 and can really thrash through things, and so I
12 would, from my perspective, if it's the
13 difference between December and January, I'd
14 rather see it face-to-face in January.

15 **MS. MUNN:** Jim?

16 **DR. MELIUS:** January in Chicago sounds
17 wonderful.

18 **MS. MUNN:** That's, we're going to Chicago
19 but not repeatedly, I hope. There are a
20 couple of hundred other sites that we need to
21 be concerned with.

22 **DR. WADE:** Well, if you think about facing
23 the people at Blockson in October with a
24 complete discussion and disclosure of issues,
25 and where we stand, and documents that are or

1 soon will be available, I think we serve what
2 Jim is telling us what we need to serve. And
3 that is the interests of the petitioners and
4 claimants. Give them an opportunity to hear
5 and to comment there or subsequent to that
6 with an expectation with a Board vote in
7 January. I think that's doing the public's
8 business well it seems to me.

9 **MS. MUNN:** Agreed?

10 (no audible responses)

11 **MS. MUNN:** I'm seeing a nod of the head. I
12 don't know whether it's to me or not, but I
13 see a nod of the head.

14 **DR. WADE:** And Jim also makes -- a lesson we
15 need to learn, it's like my mother always used
16 to say, your eyes are bigger than your
17 stomach. We take on more than we're able to
18 do, and if this meeting is going to happen on
19 September 12th, having a redacted version
20 before the petitioners in time is going to be
21 tough. And that's just life. I mean, we can
22 try, but we've tried and failed before. And I
23 think we need to learn from our past failures.

24 **MS. MUNN:** So we will make as much of a
25 presentation as is possible without the actual

1 redacted --

2 **DR. WADE:** I would love to have the redacted
3 version available when you meet in October,
4 but I'm not going to bet the farm on it. I
5 think we should push for it.

6 **DR. NETON:** I still think it would be good
7 if we could get our position paper formulated
8 by the end of September.

9 **MS. MUNN:** It would be very nice.

10 **DR. NETON:** Because then it could be taken
11 up for discussion as a working group whenever
12 you felt like it. But I agree with Jim. The
13 redacted version is important to have.

14 **DR. WADE:** The work group can meet an hour
15 before one evening. They could do it on the
16 phone before. I mean, there are options
17 available to you for that to have an intimate
18 discussion of the work group. We could
19 schedule this the third day or the second day
20 and meet the evening of the first day. There
21 are all kinds of options to that, but we're
22 not going to have our business done with
23 everything wrapped up on October 5th.

24 **DR. ROESSLER:** I think I missed something.
25 Where are we meeting in October?

1 **DR. WADE:** Chicago.

2 **MS. MUNN:** Naperville.

3 **DR. ROESSLER:** I don't know how I missed
4 that.

5 **DR. NETON:** Are we going to Naperville
6 though?

7 **MS. MUNN:** Yes, we're going to Naperville at
8 the request of the work groups and the
9 senators.

10 **DR. MELIUS:** Where in Chicago?

11 **MS. MUNN:** Naperville.

12 **DR. WADE:** I think the same hotel, I think.

13 I think that's a very reasonable plan
14 of attack.

15 **MS. MUNN:** We have an understanding where we
16 are, right? Then my notes tell me I have only
17 one other item. John asked to discuss the
18 solubility issues.

19 **REVIEW OF ACTION ITEMS**

20 **DR. WADE:** Should we review the action items
21 now on this?

22 **MS. MUNN:** Please do.

23 **DR. WADE:** First is that NIOSH is going to
24 undertake a literature search surrounding the
25 issue of the concentration of Thorium-230 in

1 raffinate streams around the complex to get a
2 sense of, you know, what a plausible upper
3 bound might be and whether what we're
4 proposing, what NIOSH is proposing, makes
5 sense relative to that data background. And
6 again, obviously, as soon as that can be done
7 and shared with the work group the better.

8 NIOSH is going to check on a Blockson
9 contact to help make the wording of the
10 questions understandable that you bring before
11 the outreach meeting. And John Morowitz is a
12 potential point of contact for that.

13 And then we have the outreach meeting
14 itself that will take place on September 12th,
15 where with no limits to other options, as Mike
16 Gibson mentioned, there will be a focused
17 request based upon the questions that have
18 been prepared. And I think it would be well
19 to share those questions with the work group
20 in writing before the meeting just so
21 everybody has them.

22 By my counting noses Mike Gibson's
23 going to be at the outreach meeting
24 representing the work group.

25 **MR. GIBSON (by Telephone):** Gen, will you be

1 at the meeting?

2 **DR. WADE:** Gen will be at the meeting. SC&A
3 will be represented, and NIOSH will be
4 represented. And we'll move to share the
5 minutes of that meeting, un-redacted, to the
6 work group as quickly as possible and get them
7 redacted as quickly as possible. The
8 possibility of a work group meeting sometime
9 before or during the October Board meeting,
10 but I'll put on the agenda for the October
11 meeting a full vetting of technical issues
12 surrounding Blockson.

13 And I'll do it at a time before the
14 last public comment period so that people
15 could make public comment on what we've said
16 and what we're proposing on Blockson during
17 that meeting. And the most likely scenario is
18 voting on Blockson in January at a location to
19 be determined.

20 **MS. MUNN:** My request would be that we
21 schedule our working group meeting at the
22 October session for Wednesday evening,
23 immediately after the first day. Tuesday is
24 going to be well taken up with procedures and
25 subcommittee.

1 **DR. WADE:** So Wednesday is the first day --

2 **MS. MUNN:** Wednesday is the first day of the
3 meeting.

4 **DR. WADE:** So usually we'll have a public
5 comment period right after the meeting. So
6 after that public comment period, 15 minutes
7 rest break, and then the work group meets.

8 **MS. MUNN:** And then the work group meets for
9 hopefully no more than an hour.

10 **DR. MELIUS:** How about breakfast the next
11 morning? I'm probably not going to be there
12 Wednesday. I'm sorry. I have another NIOSH
13 engagement that day, and I don't know by the
14 time I fly out to Chicago that evening, I'll
15 make it in time.

16 **MS. MUNN:** I will expect you to be chipper.

17 **DR. MELIUS:** In the morning I will --

18 **MS. MUNN:** And you gain an hour.

19 **DR. MELIUS:** The last time I flew to Chicago
20 I was delayed. I had to give Dr. Howard a
21 ride.

22 **MS. MUNN:** So you would prefer Thursday
23 breakfast.

24 **DR. WADE:** Thursday for breakfast. I'll set
25 the starting time of the meeting accordingly.

1 **MS. MUNN:** Good, that would be much
2 appreciated.

3 **DR. ROESSLER:** How about eight?

4 **MS. MUNN:** That's not bad.

5 **DR. WADE:** Eight for breakfast. Nine-
6 fifteen the Board meeting, eight o'clock
7 breakfast.

8 **DR. ROESSLER:** The way you work us around,
9 Wanda, we can finish in an hour.

10 **MS. MUNN:** That just depends on what comes
11 back from the group in Blockson. All right, I
12 think we know what we're doing. Fairly sure.

13 **DR. NETON:** One thing Lew that you may have
14 left off which maybe you did intentionally was
15 to have this position paper out possibly by
16 the end of September, the position paper on
17 the raffinate issue. We were going to try to
18 have that out before the October Board
19 meeting.

20 **DR. MELIUS:** Twenty-four hours later SC&A
21 will have their --

22 **DR. NETON:** That's true, SC&A does need time
23 to -- we'll try to get it out as soon as
24 possible.

25 **DR. MELIUS:** I think to be fair to everybody

1 it's just I'd rather have everybody do a good
2 job and not try to meet an artificial --

3 **DR. NETON:** Agreed.

4 **DR. MELIUS:** -- deadline.

5 **MS. MUNN:** Well, in view of the fact that we
6 have a significant amount of time following
7 the October meeting before we are going to
8 make the decision, there should be adequate
9 time for an additional paper back if that's
10 necessary from SC&A. And if we're going to
11 require an additional exchange of some sort in
12 this work group, we can always convene a
13 telephone meeting if that's going to be
14 necessary so that we can be well prepared for
15 the December phone call as a final wrap up and
16 final presentation in January to the full
17 Board. Agreed?

18 (no audible response)

19 **SOLUBILITY ISSUES**

20 **MS. MUNN:** Final topic, John?

21 **DR. MAURO:** The only other non -- I won't
22 call it that, but the other issue that would
23 appear to us as being a non-SEC issue has to
24 do what are the, in the latest version of the
25 site profile, the approach that's been adopted

1 by NIOSH for doing dose reconstruction is to
2 assume that the workers that are handling the
3 uranium in the 55 gallon drum, the nature of
4 the airborne uranium oxide, the yellowcake, is
5 Type M.

6 And in the report reference is made in
7 the report, the site profile report, the
8 latest version, reference is made to some
9 citations that established the basis for
10 assuming that it's appropriate to assume that
11 this is absorption Type M. We're not
12 disputing that it is or is not Type M. But
13 when we looked into the literature behind it,
14 it was equivocal. That is we really, we're
15 not, it did not make a case that, in fact, it
16 is Type M.

17 And the reason we consider that to be
18 important is depending on the cancer type as
19 we all know, depending on whether you assume
20 it's Type M or Type S could make a big
21 difference in the dose reconstruction. So on
22 that basis we raised the question that it
23 appears that a little bit more evidence for
24 why Type M is, in fact, the appropriate
25 assumption in this particular case. Because

1 as you may know, in other places, AWE sites,
2 when we were in this situation working with
3 yellowcake or an oxide of uranium, the way in
4 which the protocol followed was the dose
5 reconstructor was instructed to assume the
6 worst type, whether it's S or M, depending on
7 the organ of concern.

8 In this particular exposure matrix the
9 instructions are to use Type M only. And we
10 raise the question based on looking at the
11 literature behind it, it doesn't appear that
12 the evidence is overwhelming that that's, in
13 fact, the case. And I guess that's as far as
14 we've interpreted it.

15 **MR. TOMES:** The one reference that it is
16 incorrect. The DOE standard, it's changed
17 since the last, you know, and I looked up the
18 comments, and in fact, the new version does
19 call it Y instead of W in their terminology.
20 But that was not really the foundation and
21 basis for why we think it's M.

22 I've got three references just to try
23 to get a handle on the compound that's
24 actually produced. I've got my first one here
25 is a Fernald document because Fernald was

1 actually in the later years of their, of
2 Blockson's operation was actually receiving
3 materials. And I got a document from them
4 that called this a uranile phosphate.

5 And I also have some documentation
6 from the research chemist at Blockson who
7 wrote the publications that we, that you and I
8 both reviewed. They indicate the cause is
9 sodium diuranate. And I also have some
10 documentation from one of the AE officials who
11 was actually present and assisting Blockson.
12 They called it a sodium uranile phosphate
13 chemical.

14 So there seems -- and it was a
15 phosphate factory so there was some type of
16 phosphate, uranium phosphate compound being
17 produced there. And there's no indication
18 that there was any of the, highly insoluble
19 uranium compounds present in that material
20 such as U-02, high-fired material, just
21 yellowcake which is a general term applied to
22 all those types of materials. It's a general
23 terminology.

24 And the term U-308 was just used in
25 the TBD because that is what the DOE required

1 the mass to be reported as, U-308, regardless
2 of what the compound was. And so we do have
3 one option in the TBD for Type S material, and
4 that is in the, we have actually two options
5 for internal dose in the TBD that use the one
6 that's most bounding because we have an option
7 there in Building 55 workers.

8 In our review of other literature and
9 some of the data from Blockson outside of
10 Building 55, we wanted to be sure that we were
11 not underestimating those workers who may not
12 have been in Building 55. And so we have a
13 default intake for calcining operations.

14 It was assumed to be the highest, and
15 that is obviously some higher-fired material.
16 And it's unprocessed, but before it's been
17 oxidized and everything. So we're assuming
18 that either one could have happened on that
19 stuff. So there's a Type S or a Type M, and
20 you simply just choose the option for a worker
21 who would give you maximum dose.

22 **DR. MAURO:** So let me see if I understand
23 it. So you're saying in that portion of the
24 operation where you're working with the
25 uranium ore that has undergone calcining,

1 which could create an oxide of uranium which
2 is Type S, the dose reconstructor would at
3 that point use the limiting chemical form?

4 **MR. TOMES:** That's right.

5 **DR. MAURO:** However, in that portion of the
6 operation in Building 55 where they were
7 working with the uranium oxide of some form,
8 whatever the form it was which sounds like it
9 wasn't necessarily the U-308 that we all know
10 and love, but it had its own chemistry.
11 There's lots of evidence that that, in fact,
12 was Type M. I guess the only suggestion I
13 would have is that the site profile would do
14 well to tell that story.

15 **MR. TOMES:** It's better to be more specific.

16 **DR. MAURO:** Yeah, otherwise, yeah, that was
17 our only concern because we didn't see that
18 with the story you just told in the site
19 profile.

20 **DR. NETON:** Tom did a good job. The whole
21 history of yellowcake is all kinds of
22 misnomers go around the DOE complex on what
23 really constitutes yellowcake. Yellowcake
24 could be any of ten different chemical forms
25 even among themselves. And U-308 compounds we

1 just learned the new ICRP document on
2 interpretation of bioassay data has reversed
3 their opinion and is now calling U-308, M.
4 Yeah, it's going back to M. It's not released
5 yet. It's not official. We have a draft copy
6 of it. They're going back to M. And you
7 correctly pointed out that it's related to
8 temperature formation and this particular
9 material, even if it were U-308, was not
10 created at a high temperature. When they say
11 high fired, they mean like in a blast furnace,
12 in a bomb, not an atomic bomb, but those bombs
13 where they actually made the uranium in the
14 compounds. This was just dried overnight.

15 **DR. MAURO:** Yeah, once you move out of the
16 calcining then you hit it and the chemistry
17 starts, that's behind you now. So the fact
18 that the original ore may have been calcined
19 and had the effect of creating a Type S, then
20 but once you go into chemistry you're saying,
21 and you're moving through the monosodium
22 phosphate, the precipitation, then the
23 calcining really doesn't have a role anymore.
24 I mean, you've left that realm. And the
25 chemical form that's coming out now in this

1 process, you're saying there's evidence that
2 that's, that stuff is, in fact, Type M.

3 **DR. NETON:** Yes.

4 **DR. MAURO:** As I said, I believe that, just
5 that your citations don't go toward that.

6 **DR. NETON:** Good point.

7 Interestingly the (unintelligible) out
8 of Wes Bolch's group at the University of
9 Florida actually did some solubility
10 characterizations very recently. It just came
11 out in Aerosol Science and Technology, 2006,
12 and they even felt that the raw materials
13 themselves were actually more like Type M for
14 the uranium compound. They did some pretty
15 interesting in situ in vitro solubility
16 studies, and it was almost M, a little bit S-
17 looking, but it was almost more characteristic
18 of resembling a Type M material. It's a very
19 interesting piece of work.

20 **DR. ROESSLER:** What was that in?

21 **DR. NETON:** Aerosol Science and Technology,
22 2006, "Characterization of Radioactive Aerosol
23 in Florida Phosphate Processing Facilities".
24 So they sampled the various processing
25 applications in phosphates, and they didn't

1 see any evidence of S. And this is the raw
2 rock. This is not the fluffy, flocculent
3 material that came out of the --

4 **DR. MAURO:** But before calcining.

5 **DR. NETON:** No, this is after calcining.
6 But the only thing that was slightly different
7 in Blockson was Tom mentioned they increased
8 their calcining temperature to make sure that
9 the organic materials were fully oxidized
10 because that would hinder the chemical
11 recovery of uranium.

12 **DR. MAURO:** Now as far as this issue now I
13 realize that this meeting, I guess, is mainly
14 concerned with the SEC aspects of this
15 question. The degree to which we could put
16 this issue to bed by let's say providing this
17 material or whatever is necessary on the O
18 drive, we can take a look at, that would go
19 toward more what I would call the site profile
20 aspect of it, and we can take a look at that
21 and act on that also if that's what the Board
22 or work group would like us to do beside
23 review the white paper or do you want to keep
24 this separate?

25 **MS. MUNN:** There's no reason to keep it

1 separate. These are all issues that have to
2 be resolved at one point or another. And if
3 this can be resolved in any truly
4 comprehensive way so that we can wrap this
5 issue up with a ribbon and not have it arise
6 again at a later time, it would be beneficial
7 to do so. What the best route for doing that
8 is, is questionable to me.

9 I'm not certain whether it's
10 appropriate to provide a page update for the
11 site profile or whether it's an issue to be
12 negotiated in the NIOSH/SC&A realm. My
13 instinct would be to include it in the
14 permanent record which would mean ostensibly
15 an update to the site profile. But if that is
16 too far outside of our normal process, what
17 does that involve?

18 **DR. NETON:** Well, typically with the site
19 profile issues we have sort of a give and take
20 going back and forth on the issue. I would
21 prefer that we would do it prior to closing
22 out, revising the site profile. I mean, this
23 is very much the way we worked Blockson
24 Chemical, I mean, Bethlehem Steel. We took
25 all the issues, and we sort of hashed them out

1 among ourselves and came to a consensus
2 opinion on all of them, and then we revise it.

3 **MS. MUNN:** Can Tom give us a white paper of
4 response to inquiry to SC&A? Something in
5 writing that we can place in the record.

6 **DR. NETON:** I think he could. The only
7 caveat is that I think that the thorium issue
8 takes top priority.

9 **MS. MUNN:** Oh, I agree.

10 **DR. NETON:** We'll get that done, and this
11 would be a second tier issue.

12 **MS. MUNN:** Second tier thing.

13 **DR. NETON:** And if it can all be
14 accomplished at the same time, that's well and
15 good, but right now we will commit to having
16 this Thorium-230 issue summarized and then as
17 soon as we can get to the solubility we will.
18 And it may be at the same time, but I can't, I
19 don't know that we can guarantee that.

20 **MS. MUNN:** It would not seem that it would
21 be an extensive effort to just put together a
22 couple of paragraphs and the citations.

23 **DR. NETON:** Well, we like to do it right
24 because we know the scrutiny under which this
25 thing would be evaluated.

1 **MS. MUNN:** And you're correct. So we'll do
2 that if we can at the same time. If we can't,
3 as soon after there as possible.

4 Are there any other questions, issues?

5 **DR. MELIUS:** Yeah, I have one question,
6 initially, for John Mauro.

7 It wasn't clear to me in reading your
8 original review which goes back to January,
9 you may not remember it, and then your
10 subsequent to a more focused review, to what
11 extent you looked at the issue of how robust
12 the dataset was for the uranium.

13 **DR. MAURO:** You know, I would have to go
14 back. My recollection is that we hadn't set
15 an issue. The degree to which we accepted on
16 face value the measurements, you're correct.
17 I'd have to go take a look and see how far did
18 we go.

19 **DR. MELIUS:** In your report you looked at
20 it, but then actually got into the issue of
21 the solubility and so forth. It sort of
22 hinges -- and comment on that, and you didn't
23 really comment that I could find. I just
24 looked again on that issue. I think, NIOSH,
25 you commented on that. I mean, you pulled up

1 their individual records and looked at that
2 and so forth. You don't need to do anything
3 now. I mean, I don't want to hold up, but I
4 would just like to --

5 **DR. NETON:** My recollection is those where
6 EML HASL measurements which were vetted before
7 the chemical processing.

8 **DR. MAURO:** I think I remember now. There
9 was a certain, like a 121 measurements. We
10 only found -- I might be crossing wires, but I
11 think we only saw 60-something of the
12 measurements. In other words we didn't see
13 them all at the time we did our review. And
14 we looked at the individual measurements and
15 saw the range of values and what was done.

16 And then we looked at your report
17 where you had more values. But the range that
18 we found in the data captured the same range.
19 Then subsequently you folks did provide us
20 with a full set, and we did look at the full
21 set. So I think that -- it's coming back --
22 we did take a pretty good look at that.

23 **DR. MELIUS:** And then my subsidiary question
24 would be given the expansion of the area
25 covered by the review, does that change your

1 view of the, you know, I was going to say how
2 robust that dataset is in terms of
3 characterizing exposures. Because that I
4 don't think you commented on. While, again,
5 it may be fine, I just wanted to raise the
6 issue now rather than later.

7 **DR. MAURO:** So in other words in light of
8 the new scope, 40 years is captured, does that
9 change the inflection of all this?

10 **DR. MELIUS:** Right.

11 **MS. MUNN:** John, can you respond to that?

12 **DR. MELIUS:** If you commented on it before,
13 I mean, 110 dose reconstructions have been
14 done, most of those for people outside the
15 scope of the SEC.

16 **DR. NETON:** Right, but we did take, to use a
17 word, surrogate data at that point, and we
18 took the highest 50 milligram per cubic meter
19 dust loading from the calcining operation, and
20 --

21 **DR. MAURO:** That's something that we don't
22 have to wait on. In other words we can go
23 back, this is not like we're waiting on this
24 new information from you folks. We can go
25 back and look at it from that perspective now.

1 **DR. MELIUS:** I didn't see closure on that.

2 **DR. WADE:** So, John, you'll provide to the
3 working group, you'll extract from your report
4 the pertinent issue and provide it as quickly
5 as possible.

6 **DR. MAURO:** And as I understand it is look
7 at the dataset of the uranium bioassay dataset
8 from this new context where there'll be 40
9 years involved the workers in Building 40.

10 **DR. NETON:** That's true, but we didn't use
11 the uranium bioassay set to reconstruct doses
12 in Building 40. We went out and obtained
13 Fipper*-type data and took the highest air
14 concentrations we could find. I think you
15 reviewed it actually.

16 **DR. MAURO:** I know.

17 **DR. NETON:** I mean, the bioassay in Building
18 55 is separate.

19 **DR. MELIUS:** My question is mostly for John.
20 And since I couldn't see a record of what they
21 reviewed, I didn't see closure on in our two
22 reports on those two issues and didn't
23 understand exactly what they did, the
24 documentation, only see part of the dataset,
25 not that they had done the whole thing. And I

1 just --

2 **DR. MAURO:** I will go back, read that
3 section of the report. My guess is I may very
4 well give you a call to make sure I understand
5 the scope of your concern and then I will look
6 at it within that perspective. So I might get
7 back to you on that.

8 **MS. MUNN:** Anything else?

9 (no response)

10 **MS. MUNN:** If not, we are adjourned until
11 8:00 a.m., October the 4th, in Naperville.

12 (Whereupon, the working group adjourned at
13 1:35 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 28, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of October, 2007.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**