

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

WORKING GROUP

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

PROCEDURES REVIEW

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held in Cincinnati, Ohio on Aug. 29, 2007.

STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTERS  
404/733-6070

C O N T E N T S

Aug. 29, 2007

OPENING REMARKS	6
ADDITIONS OR REVISIONS TO AGENDA	10
REVIEW ACTION ITEMS FROM 6/26/07 TELECONFERENCE	11
REPORT ON OUTCOME OF DISCUSSIONS WITH CONTRACTING OFFICER RE ADDENDA TO SC&A REPORTS	13
SC&A COMMENTS ON REVIEW OF FIRST MATRIX, OUTSTANDING ITEMS LIST	14
NIOSH REPORT ON GLOBAL ISSUES	132
PERS	155
DISCUSSION OF OTIB-0052 AND SC&A REVIEW "PARAMETERS TO CONSIDER WHEN PROCESSING CLAIMS FOR CONSTRUCTION TRADE WORKERS"	167
DISCUSSION OF TBD 6000	229
WRAPUP AND REVIEW OF ACTION ITEMS	267
COURT REPORTER'S CERTIFICATE	274

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.  
Senior Science Advisor  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

MUNN, Wanda I.  
Senior Nuclear Engineer (Retired)  
Richland, Washington

PRESLEY, Robert W.  
Special Projects Engineer  
BWXT Y12 National Security Complex  
Clinton, Tennessee

**IDENTIFIED PARTICIPANTS**

ANIGSTEIN, BOB, SC&A  
BEHLING, HANS, SC&A  
BEHLING, KATHY, SC&A  
BRACKETT, LIZ, ORAU  
BURGOS, ZAIDA, NIOSH  
CHEW, MEL, ORAU  
ELLIOTT, LARRY, NIOSH  
FIX, JACK, ORAU  
GUIDO, JOE, ORAU  
HINNEFELD, STUART, NIOSH  
HOMOKI-TITUS, LIZ, HHS  
HOWELL, EMILY, HHS  
KATZ, TED, NIOSH  
KOTSCH, JEFF, DOL  
MAKHIJANI, ARJUN, SC&A  
MARSCHKE, STEVE, SC&A  
MAURO, JOHN, SC&A  
MCGOWAN, BILL, UNIV. OF CINCINNATI  
NETON, JIM, NIOSH  
QUINN, TRISH  
SHARFI, MUTTY, ORAU  
SIEBERT, STEVE, ORAU  
SMITH, MATTHEW, ORAU  
THOMAS, ELYSE, ORAU

## P R O C E E D I N G S

AUGUST 29, 2007

(9:30 a.m.)

OPENING REMARKS

DR. WADE: Good morning all. This is the work group conference room. This is Lew Wade, and the entire work group isn't here yet. Ms. Munn has decided to proceed so we're going to begin with my usual sort of monologue.

This is the work group on procedures review, and the work group is chaired by Ms. Munn, members Gibson, Griffon, Ziemer, Presley as an alternate. Right now in the room we have Wanda Munn and Paul Ziemer. We're awaiting Mike Gibson and Mark Griffon. We believe Robert Presley will be on the phone. Robert's an alternate.

Are there Board members on the phone right now?

MR. GRIFFON (by Telephone): Yeah, Lew, this is Mark Griffon. I'm on the phone. I'm sorry. I've been on for a few minutes. I just didn't hear any action.

DR. WADE: Thank you.

Is Mike Gibson on the phone?

1 (no response)

2 **DR. WADE:** Is Robert Presley on the phone?

3 (no response)

4 **DR. WADE:** Are there any other Board members  
5 on the phone other than those named as part of  
6 the work group?

7 (no response)

8 **DR. WADE:** Okay, so we don't have a quorum  
9 of the Board, and it's acceptable to proceed.  
10 So we have Munn, Ziemer in the room, Griffon  
11 participating by telephone.

12 What we'll do is go around the table  
13 here and let people identify themselves, then  
14 we'll go through our normal run of people on  
15 the telephone that will be NIOSH/ORAU team  
16 members, SC&A team members, other feds who are  
17 working today as part of this call, members of  
18 Congress or their representatives, workers or  
19 anyone else who wants to be identified on the  
20 call. So let's start around the table here.

21 **MS. HOWELL:** Emily Howell, HHS.

22 **DR. MAKHIJANI:** Arjun Makhijani, SC&A.

23 **MS. MUNN:** Wanda Munn, Advisory Board and  
24 Chair of this session.

25 **DR. ZIEMER:** Paul Ziemer, Advisory Board,

1 member of the work group.

2 **MR. HINNEFELD:** Stu Hinnefeld, NIOSH/OCAS.

3 **MS. THOMAS:** Elyse Thomas, O-R-A-U team.

4 **MR. SHARFI:** Mutty Sharfi, ORAU team.

5 **MR. CHEW:** Mel Chew, O-R-A-U team.

6 **MR. MARSCHKE:** Steve Marschke, SC&A.

7 **DR. MAURO:** John Mauro, SC&A.

8 **MR. MCGOWAN:** Bill McGowan, University of  
9 Cincinnati, not a member of the committee but  
10 an observer.

11 **DR. NETON:** Jim Neton, NIOSH.

12 **MS. BURGOS:** Zaida Burgos, NIOSH.

13 **DR. WADE:** Larry Elliott is around the table  
14 but left the table just briefly.

15 This is Lew Wade, works on the  
16 Advisory Board and works for NIOSH.

17 Let's go out to the telephone and hear  
18 from other NIOSH or ORAU team members who are  
19 on the line.

20 **MS. BRACKETT (by Telephone):** This is Liz  
21 Brackett, O-R-A-U team.

22 **MR. SIEBERT (by Telephone):** Steve Siebert,  
23 O-R-A-U.

24 **MR. FIX (by Telephone):** Jack Fix, ORAU  
25 team.

1           **MR. GUIDO (by Telephone):** Joe Guido, ORAU  
2 team.

3           **MR. SMITH (by Telephone):** Matthew Smith O-  
4 R-A-U team.

5           **MR. KATZ (by Telephone):** Ted Katz, NIOSH.

6           **DR. WADE:** Other NIOSH or ORAU?  
7 (no response)

8           **DR. WADE:** How about SC&A?

9           **DR. BEHLING (by Telephone):** Hans and Kathy  
10 Behling.

11           **DR. ANIGSTEIN (by Telephone):** Bob  
12 Anigstein, SC&A.

13           **DR. WADE:** Other SC&A team members?  
14 (no response)

15           **DR. WADE:** Are there other federal employees  
16 on the call by virtue of their employment?

17           **MS. HOMOKI-TITUS (by Telephone):** This is  
18 Liz Homoki-Titus with HHS.

19           **DR. WADE:** Welcome.

20           **MR. KOTSCH (by Telephone):** Jeff Kotsch from  
21 Labor.

22           **DR. WADE:** As always, Jeff, welcome.

23                   Any other feds?

24           (no response)

25           **DR. WADE:** Members of Congress, their

1 representatives?

2 (no response)

3 **DR. WADE:** Are there any workers,  
4 petitioners or their representatives on the  
5 call?

6 **MS. QUINN (by Telephone):** Trish Quinn,  
7 Center to Protect Workers' Rights.

8 **DR. WADE:** Thank you.

9 Anyone else on the call who wishes to  
10 be identified for the record?

11 (no response)

12 **DR. WADE:** Okay, before we begin, again,  
13 some simple rules of etiquette. Please, if  
14 you're speaking, use a handset and not a  
15 speaker phone. Mute whatever instrument  
16 you're dealing with on the telephone when  
17 you're not speaking. Be mindful of background  
18 noises, and again, just think about your  
19 situation and how it's broadcast to others and  
20 it might affect the ability of the group to  
21 function most efficiently.

22 With that, Wanda, it's all yours.

23 **MS. MUNN:** Thank you, Lew.

24 **ADDITIONS OR REVISIONS TO AGENDA**

25 I hope most of you have a copy of the

1 rough agenda that I hope to be able to follow  
2 today. Anyone who's been on more than one of  
3 these meetings knows that we have far more  
4 than we can possibly get through in a single  
5 day, but we're going to get through as much of  
6 it as we can. And I have every intention of  
7 touching each of the items that I've shown on  
8 the agenda so we may have to cut some of our  
9 deliberations short just so that we can get to  
10 all of the items that are listed.

11 **REVIEW ACTION ITEMS FROM 6/26/07 TELECONFERENCE**

12 Let me go over my list of action items  
13 from our last meeting which was a  
14 teleconference held on June 26<sup>th</sup>. The action  
15 items that I have listed are for SC&A to  
16 verify the review of all procedures from the  
17 first matrix which was originally issued as  
18 final on July 23<sup>rd</sup> of 2006, and specify each  
19 outstanding issue from that list.

20 To provide the protocol used in  
21 workbook reviews and to create a matrix  
22 supplement to crosswalk all TIBs and PROCs.

23 To provide a table showing what's been  
24 reviewed and what has not.

25 To re-send the approach to PERs

1 information to work group members.

2 To re-issue a second working draft,  
3 5/21/07 matrix including numerical level of  
4 concern and indicating an asterisk for any  
5 changes from earlier assessments.

6 SC&A and our designated federal  
7 official were to discuss and resolve with the  
8 contracting officer whether addenda to  
9 existing SC&A reports are acceptable for  
10 reporting reviews of revisions to procedures  
11 resulting from earlier evaluations.

12 And, NIOSH was to report on whether  
13 the global issues of ingestion internal dose  
14 resuspension that were raised earlier have  
15 been adequately addressed in subsequent  
16 procedures and indicate where that was.

17 Are those action items in line with  
18 memory and understanding of others around the  
19 table?

20 (no audible response)

21 **MS. MUNN:** Good. Then with the hope that  
22 one of the simpler, most easy to complete of  
23 those items was the outcome of the discussions  
24 with the contracting officer, I'd ask that  
25 perhaps Lew could address that.

1           **REPORT ON OUTCOME OF DISCUSSIONS WITH CONTRACTING OFFICER**  
2           **RE ADDENDA TO SC&A REPORTS**

3                   **DR. WADE:** During my discussions with the  
4                   contracting officer, it's determined that,  
5                   yes, that addendum are an acceptable mechanism  
6                   for doing such reporting.

7                                 John, I don't know if you've pursued  
8                   that within your organization.

9                   **DR. MAURO:** The addendum to the procedures  
10                  has been re-issued. It was released, and the  
11                  matrix reflects that. In other words the  
12                  Supplement One that was delivered, I believe,  
13                  about a year ago has, in fact, been modified.  
14                  Two or three of the reviews have been updated,  
15                  and I believe everyone should have hard copy  
16                  of that addendum along with a revised matrix  
17                  that, as you may recall, we wanted to add into  
18                  the matrix, the score.

19                                 Everyone should have a copy of that.  
20                  In fact, the latest version of it that Stu put  
21                  out on Friday or Monday also has at least some  
22                  of your responses. So I think we're pretty  
23                  current and have been keeping a track on the  
24                  addendum approach.

25                   **MS. MUNN:** It looks like we're all right.

1                   **DR. WADE:** We're better than all right.

2                   **MS. MUNN:** We're better than all right. We  
3                   are ahead of schedule by ten minutes.

4                   **SC&A COMMENTS ON REVIEW OF FIRST MATRIX, OUTSTANDING**

5                   **ITEMS LIST**

6                   We're ready for SC&A's comments on the review  
7                   of the first matrix and the outstanding items.  
8                   And let's all make sure we're working from the  
9                   same matrix when we start.

10                  **DR. MAURO:** I think you're referring to this  
11                  crosswalk at this time from the first matrix  
12                  and the carryover.

13                  **MS. MUNN:** Yes.

14                  **DR. MAURO:** And there was a package that  
15                  Kathy Behling distributed for the crosswalk to  
16                  make sure that we're tracking closure. And I  
17                  believe Kathy is on the line, and she's in a  
18                  much better position than I can since she put  
19                  together the matrix dealing with the  
20                  crosswalk. And I believe that's what you're  
21                  referring to.

22                  **MS. MUNN:** Well, actually, we can do that if  
23                  we want to. I have that scheduled for later  
24                  in the discussion, but we can do that first if  
25                  it's easier for you and for Kathy.

1           **DR. MAURO:** Well, I only bring that up  
2 because I thought that's what you were  
3 referring to. I may have cross-wired on you.

4           **MS. MUNN:** No, that's fine. I have that  
5 listed after our break, but if you think that  
6 will be a relatively easy one of our attacks  
7 to get through then perhaps we should.

8           **DR. WADE:** For the record Mike Gibson has  
9 joined us. Welcome, Mike. All of the work  
10 group members are now participating.

11          **MS. MUNN:** Your call.

12          **DR. MAURO:** Well, apparently, you're  
13 referring to something else, and I'm not quite  
14 sure what that is.

15          **MS. MUNN:** I was talking about getting right  
16 into the --

17          **DR. MAURO:** Oh, the big matrix.

18          **MS. MUNN:** Yes.

19          **DR. MAURO:** That's fine. We can do that  
20 also.

21          **MS. MUNN:** I expected to do that so that we  
22 could very quickly see what has been  
23 accomplished by all the participants and take  
24 a look at NIOSH's most recent distribution of  
25 that.

1           **DR. MAURO:** That's bringing us to the big --

2           **MS. MUNN:** The big one.

3           **DR. MAURO:** -- with all the 33 procedures.

4           **MS. MUNN:** I thought we'd get some feel very  
5 quickly for how far we have to go and what  
6 we've completed here.

7           **DR. MAURO:** That being the case in terms of  
8 the way I'm tracking it --

9           **MS. MUNN:** Hold on.

10          **MR. GRIFFON (by Telephone):** I'm sorry,  
11 Wanda. This is Mark Griffon. Can you just  
12 tell me which matrix you're referring to? I'm  
13 --

14          **MS. MUNN:** We're talking about Supplement  
15 One, Rev. One. We're talking about the  
16 document that was just a re-sent with NIOSH  
17 comments on it the day before yesterday.

18          **MR. GRIFFON (by Telephone):** Okay, thank  
19 you.

20          **DR. MAURO:** I guess it's best for me to sort  
21 of start this. I'm hoping everyone can hear.  
22 Can everyone hear me on the line? I'm pretty  
23 far from the microphone. I guess I'm okay.

24                   As you're probably aware the way in  
25 which we did this is we divided up, I think

1           there were about 33 procedures that we  
2           reviewed, and we divided up amongst various  
3           experts. And what I've done is to get things  
4           started, the very first procedure that we  
5           reviewed is a procedure OCAS TIB 0010 dealing  
6           with the glove box.

7                     Mainly, these were a procedure whereby  
8           a person's working at a glove box, and he's  
9           wearing his film badge or TLD on his lapel.  
10          You're concerned about the exposure he may  
11          have gotten to, let's say, the bladder.  
12          There's an adjustment factor that's needed.  
13          And that procedure deals with that subject.  
14          And Bob Anigstein performed the review.

15                    Now we could go one of two methods.  
16          We could just summarize our findings regarding  
17          that procedure, or I guess Stu, if you prefer,  
18          since you folks are in the process of  
19          reviewing our commentaries on each procedure.  
20          So whichever way to go forward. Whether we  
21          should take the lead or whether NIOSH should  
22          take the lead. It's certainly your choice.

23                    **MS. MUNN:** Well, there's yet a third one,  
24          and that is the process that we discussed by  
25          phone during our earlier meeting, whether we

1 wanted to concentrate on the items that were  
2 already ranked as ones, twos, threes, et  
3 cetera. So my personal preference would be to  
4 spend first a few minutes concentrating on  
5 those ones and twos to see where they were and  
6 then after that proceed from the viewpoint of  
7 whether NIOSH has specifics other than the  
8 ones that they responded to.

9 I really would like to take a look at  
10 the responses that NIOSH has made to see if  
11 we're going to have a resolution to those at  
12 this meeting or whether we're going to go  
13 further. Does anyone have any problem with  
14 addressing the ones and twos first just to see  
15 where we are?

16 (no audible response)

17 **MS. MUNN:** If not, then I would prefer that  
18 we run down the rating list, and when we  
19 encounter a two have a quick response from  
20 first SC&A and then NIOSH with regard to where  
21 we are. And in that manner go through the  
22 ones and twos and then address the items that  
23 NIOSH has responded to just this week.

24 **MR. ELLIOTT:** Are you suggesting that, well,  
25 let me propose this as a modification. There

1 are several TIBs or TBDs that have been  
2 reviewed here, and the first one that John  
3 just referred to, NIOSH has not provided a  
4 response to yet. And so I don't know that  
5 it'd do great service at this point to talk  
6 about TIB-001 and a rating of one, two or so  
7 until we come back with our reaction to that  
8 criticism.

9 **MS. MUNN:** It's rated a three; and  
10 therefore, from my perspective it's not the  
11 place to start.

12 **MR. ELLIOTT:** I'm sorry.

13 **MR. HINNEFELD:** But on this document there  
14 are twos. There are twos and we've not  
15 prepared a response. We've not analyzed the  
16 finding and prepared a response on this  
17 document. So our preference, I think, would  
18 be to go to the ones where we have provided a  
19 response unless we, because, you know, I'm not  
20 completely familiar with the report.

21 SC&A's attempt to describe pretty well  
22 the finding in their report. The matrix  
23 finding is for the summary or brief statement  
24 of it. But their findings are generally  
25 pretty well developed and pretty well

1 described in their report. And we just have  
2 not gone through the exercise. We had ORAU  
3 staff work on ORAU-prepared documents. We  
4 just have our own staff available to work on  
5 these, and so we haven't provided responses on  
6 these.

7 **MR. ELLIOTT:** For completeness I would  
8 suggest that we can say for TIB-0010 we  
9 understand the comments that they've made, and  
10 we are working on those. But we are not  
11 prepared at this point to speak about where  
12 we're at with regard to our reactions.

13 **MS. MUNN:** Because I want to make sure that  
14 we cover two things. I want to make sure  
15 we're covering the items that are marked one,  
16 and the items that NIOSH has responded to.  
17 Then if we want to eliminate, my suggestion  
18 with respect to twos, I have no objection to  
19 that. But I really would like for us to take  
20 a look at all the ones to see what we actually  
21 have out there. And then take a look at what  
22 NIOSH has responded to if that's satisfactory.

23 (no audible response)

24 **MS. MUNN:** Nodding heads.

25 **DR. ZIEMER:** And just a quick question on

1 the matrix where it says NIOSH response. On  
2 those documents which are O-R-A-U procedures,  
3 those are actually responses from OCAS staff  
4 rather than NIOSH staff but reviewed by NIOSH?

5 **MR. HINNEFELD:** They have been at this point  
6 probably nominally reviewed. We just got  
7 them, and we provided them to the Board rather  
8 than spend the time reviewing it and not  
9 having them available.

10 **DR. ZIEMER:** So where it says NIOSH response  
11 --

12 **MR. HINNEFELD:** In large part that's ORAU.

13 **DR. ZIEMER:** In large part it's O-R-A-U team  
14 response.

15 **MS. MUNN:** All right, so if we're looking at  
16 the copy of Supplement One that was just e-  
17 mailed to us this week, and we're looking at  
18 the ratings only, then the first one that I  
19 see is on page six of that --

20 **DR. ZIEMER:** Supplement One, Rev. One.

21 **MS. MUNN:** Supplement One, Rev. One. It  
22 should have Monday's date on it, the 26<sup>th</sup>, I  
23 believe. On page six, ranking one is ORAU  
24 OTIB-0020.

25 **DR. MAURO:** That's correct. And I believe

1                   that particular procedure was reviewed by Hans  
2                   Behling. I'm hoping --

3                   Hans, are you on the line?

4                   **DR. BEHLING (by Telephone):** Yes, I am.

5                   **DR. MAURO:** I'll give you a second to sort  
6                   of catch up. I believe that was OTIB-0020,  
7                   and I have to flip through the report to get  
8                   the correct title, "The External Coworker  
9                   Model," and in your review at least one of the  
10                  elements of your review had a one in it, and I  
11                  guess I'm going to give you a sort of a chance  
12                  to catch up. Do you have the matrix or your  
13                  report in front of you?

14                 **DR. BEHLING (by Telephone):** I have both the  
15                 matrix and the report. And I guess I just  
16                 want to make a comment here. Obviously,  
17                 everyone hopefully has had a chance to review  
18                 both the report itself as well as the matrix  
19                 which only gives you a snapshot of the issue.  
20                 But let me just point out that some of the  
21                 comments that are in that report really go to  
22                 a basic issue here that I found to be a  
23                 problem.

24                         And that is it is an issue of  
25                         plausibility versus what might be considered

1 practical or achievable. And having been  
2 involved in auditing dose reconstructions that  
3 oftentimes involves a thorough review of what  
4 the information is that is available to a dose  
5 reconstructor out in the field, many of the  
6 comments reflect that dichotomy between what  
7 is theoretically possible versus what is  
8 reasonable and what is available to the dose  
9 reconstructor when he sits in his cubicle some  
10 place and does this dose reconstruction. And  
11 so keep in mind that this particular issue,  
12 plausibility versus practicality.

13 Finding 4.1 is the one that I  
14 identified as having a low value, and that is  
15 due to the fact that, again, it's an issue of  
16 what are the subjective elements to this? The  
17 dose reconstructor has to make an awful lot of  
18 decisions here that may or may not be  
19 available to him. And I believe that many of  
20 these decisions are likely to be very  
21 subjective in nature. Again, you have to  
22 really go through the report to come to that  
23 conclusion.

24 That is, how do you know when a worker  
25 has no records. Is it due to the fact that he

1 was monitored? Is it due to the  
2 unavailability of records that may have been  
3 lost? The difference between the 50<sup>th</sup>  
4 percentile and 95<sup>th</sup> percentile value, these are  
5 all things that you may or may not have  
6 information. When you get a folder from the  
7 DOE that says no records available for this  
8 person, how do you know whether or not he is a  
9 person who may have been only on occasion been  
10 exposed to radiation that was monitored.

11 Was he a person who was routinely,  
12 yes, I know that if you dig hard enough you  
13 can probably come up with something that might  
14 give you some clue as to whether a person was  
15 routinely exposed and not monitored versus  
16 only occasionally or never. But these are all  
17 very, very subjective issues that somehow or  
18 other the dose reconstructor has to come  
19 conclude before he makes a decision whether to  
20 assign the 50<sup>th</sup> percentile, the 95<sup>th</sup>  
21 percentile.

22 And even there you have to know  
23 whether or not this is likely to be  
24 compensated, whether POC is equal to or  
25 greater than 45 percent. So those are really

1           the bulk of the issues that define this  
2           particular TIB-0020. And I believe they're  
3           all basically identified and the responses  
4           from NIOSH, obviously they're responses, but  
5           again, I'm going to have to back away and say,  
6           well, somebody else has to make the decision  
7           whether or not this is reasonable.

8                         And quite frankly, having -- and I've  
9           sort of divorced myself at this point from the  
10          auditing process of dose reconstruction. But  
11          Kathy is very much involved at this point, and  
12          I've conferred with Kathy on this issue. And  
13          I said have you ever seen TIB-0020 being used,  
14          and the answer is no. And so the question  
15          again comes into play whether something that  
16          can in theory be done versus one that is  
17          practical and usable.

18                        **MS. MUNN:** Hans, thank you for an overview.  
19          May I hold us up for just a moment and point  
20          out to everyone that although we were focusing  
21          on the number one in the rating column, that  
22          we actually have a half dozen almost OTIB-0020  
23          issues here, and probably one of them should  
24          not be discussed in segregation from the  
25          others. So if we might have just a few

1 minutes to give everyone an opportunity to  
2 review both the SC&A comments and the NIOSH  
3 comments for all of the OTIB-0020 items  
4 instead of just this single one it might be  
5 beneficial to everyone.

6 **DR. MAURO:** I have a suggestion because in  
7 going over the material and reading it one of  
8 the things that I noticed is that every OTIB  
9 has a certain objective and is trying to  
10 accomplish something that's important to the  
11 dose reconstruction process.

12 And I noticed that now we're jumping  
13 right in, going into a number, OTIB-0020, and  
14 then we're zeroing in into one element in it.  
15 So it's very difficult to dive right into that  
16 specific without sort of stepping back for a  
17 second and say, okay, what is this OTIB about?  
18 And what's it trying to accomplish?

19 And for example, if you look at the  
20 big book, and you go to the checklist, you  
21 quickly see, okay, there's a lot of scores  
22 here. But one particular score came out with  
23 a one. The point Hans is making there's a  
24 specific aspect to this particular OTIB that  
25 deals with a particular subject that is

1                   troublesome to us, and we assigned it a one.

2                   So I think maybe the best way to  
3                   communicate or get on the same page is maybe a  
4                   quick 30-second sound byte, what is this OTIB  
5                   about? What is it trying to accomplish? So  
6                   everybody's oriented. And then why is that a  
7                   concern, namely a judgment that is embedded in  
8                   this particular protocol?

9                   There's a certain degree of judgment  
10                  that needs to be made by the DR that is  
11                  subjective. And our concern is that that  
12                  being the case you create a situation where  
13                  it's possible that different auditors or  
14                  different dose reconstructors may very well  
15                  come to a different judgment on a particular  
16                  matter, whether to use the 50 percentile  
17                  versus a 95<sup>th</sup> percentile so there are various  
18                  subjective judgments.

19                  And I think what needs to be discussed  
20                  with NIOSH here is the degree to which that is  
21                  a real concern or whether or not it's well in  
22                  hand. So I think maybe this process we're  
23                  doing which we're inventing as we go, maybe  
24                  the best way to go is that when we hit a  
25                  procedure that has a one, real quickly get an

1           idea of what the procedure is about and why  
2           that particular one might be important.

3                     Maybe it would be helpful -- I don't  
4           know if everyone else agrees -- if, Hans, if  
5           you could sort of step back and just give a  
6           quick overview of this particular procedure.  
7           And then within that context why that one  
8           might be an important issue that we need to  
9           discuss.

10                    And I guess, Stu, you folks have  
11           responded to that and your sense, of course,  
12           is that, well, perhaps it's not as serious a  
13           problem as we may have made it out to be. I  
14           think that will be a productive way to  
15           proceed.

16                    **MS. MUNN:** It would be a productive way to  
17           proceed after we've done what I've just  
18           suggested that we do which is let's take a  
19           moment and everybody read all of the  
20           commentary that we have on the matrix with  
21           regard to OTIB-0020. That will take you back  
22           to, given the most recent copy that we're  
23           looking at, OTIB-0020 begins on page five.

24                    **MR. GRIFFON (by Telephone):** Wanda? Can I  
25           ask? I have the matrix, but I don't have the

1 NIOSH responses in the matrix so I think I  
2 don't have the most recent version. Do you  
3 know --

4 **MS. MUNN:** Do you have your e-mail up?

5 **MR. GRIFFON (by Telephone):** I do, yeah, do  
6 you know when it was sent?

7 **MS. MUNN:** It was sent on the 26<sup>th</sup>. Sent  
8 Monday morning very early.

9 **DR. WADE:** Now there's no NIOSH comments on  
10 the first couple of pages so it might confuse  
11 you. On the first page there's no NIOSH  
12 comments, but as you get into it there are.

13 **MR. GRIFFON (by Telephone):** Sent on the 26<sup>th</sup>  
14 from Stu?

15 **MR. HINNEFELD:** From me.

16 **MR. GRIFFON (by Telephone):** All I saw is  
17 OTIB-0052.

18 **MS. MUNN:** No, that's a separate thing.

19 **DR. WADE:** Can you send it?

20 **MS. MUNN:** Arjun is telling me it's the 27<sup>th</sup>.

21 **MR. GRIFFON (by Telephone):** All I have on  
22 the 27<sup>th</sup> from Stu is the initial responses to  
23 OTIB-0052 findings.

24 **DR. MAKHIJANI:** I can send it to you if you  
25 have your --

1                   **MR. GRIFFON (by Telephone):** Yeah, if you  
2 could forward it again, thank you.

3                   **DR. ZIEMER:** Is there a separate report on  
4 this one? There are on some of the TIBs.  
5 What's the electronic reference for that one?

6                   **DR. MAURO:** The actual hard copy report, the  
7 original report -- let me step back. It might  
8 be helpful. This is task three where our job  
9 is to review procedures. And we were  
10 reviewing procedures in groups of about 30.  
11 The original set of 30 were reviewed, by and  
12 large closed out, there may be some mop up.

13                   Then the second set, and a report came  
14 out. And that report actually came out in, I  
15 believe it was dated on the order of June  
16 2006. Now during the last meeting when we  
17 were about to engage this particular set of  
18 procedures, I volunteered to -- listen, it's  
19 been a year since, you know, we wrote that  
20 report, and we realized in getting ready for  
21 that meeting that we've learned a lot. A lot  
22 of things have changed; we've learned a lot.

23                   And we also had a matrix. And the  
24 matrix did not -- it's a big matrix, 37 pages,  
25 and so one of the things I volunteered, I

1           said, listen, why don't we do two things.  
2           Let's edit our June 2006 version of this  
3           report and re-issue it with the revisions?  
4           And it turns out two, three or four procedures  
5           were revised, and we re-issued the report.

6                       And it's actually dated now August  
7           2007. The delivery date was August 17<sup>th</sup>, so  
8           it's relatively recent. But by and large it's  
9           very similar to the original one except for a  
10          few procedures. In addition --

11          **MS. MUNN:** Did we get the page changes over  
12          into the matrix?

13          **DR. MAURO:** And the matrix, yeah, captures,  
14          it's up to date. And the matrix that came out  
15          captures all of the changes that were there.  
16          In addition, it adds in the score card.  
17          Remember we wanted to put the score card in?

18          **MS. MUNN:** We agreed we would do that.

19          **DR. MAURO:** And we did that, and even more  
20          was done. NIOSH had a chance at least to take  
21          a run, at the 11<sup>th</sup> hour I would imagine, to try  
22          to be responsive to as many that they could.  
23          So that's where we are right now. So  
24          hopefully, everyone has the matrix that's 37  
25          pages, and everyone has the August 2007

1 version of this what's called Supplement One  
2 Procedures. The second set of 30, it turns  
3 out I think it's 33, procedure reviews. I'm  
4 trying to sort of set the stage. It's  
5 complicated.

6 **MS. MUNN:** Right.

7 **MS. BEHLING (by Telephone):** Excuse me, this  
8 is Kathy Behling. In answer to Paul's  
9 question also, the file name was called  
10 Transmit Draft S-C-A-dash-P-R-dash-pass three-  
11 dash-0-0-0-1-dash-rev-dash-1, and it was a PDF  
12 file.

13 And, John, you are correct. When I  
14 re-submitted the matrix, I did, the matrix  
15 does reflect this Rev One and the page changes  
16 on the Rev One. And I also included for those  
17 changes that I made to ensure that there's a  
18 vertical line on the left hand margin so that  
19 you can see what has changed.

20 **DR. MAURO:** That's in the main body of the  
21 big report. As you flip through the pages  
22 you'll see a vertical line, and that's the  
23 place where the changes are made.

24 **DR. MAKHIJANI:** If anybody doesn't have the  
25 report, I have the e-mail in which it was

1 transmitted to me. I can send it to anyone  
2 who wants it.

3 **MS. MUNN:** Stu, would you like to take a run  
4 at what John has suggested with respect to  
5 what OTIB-0020 is really all about and go  
6 through the responses that we have here?

7 **MR. HINNEFELD:** OTIB-0020 is sort of a  
8 guiding document more so for people preparing  
9 later site-specific OTIBs that have actual  
10 coworker data in them and is for a dose  
11 reconstructor to pick up and use. And so it  
12 pretty much describes this is how we will take  
13 these datasets and build coworker  
14 distributions. That's primarily what it's  
15 used for.

16 The issue you raised though, the one  
17 about 50 percent versus 95 percent is an issue  
18 in the use of coworker in general. So if we  
19 can address it here which would be a lot more  
20 efficient than addressing it every time we  
21 pick up a site-specific TIB. And I think --

22 Mutty, step in and say something if I  
23 say something wrong here because Mutty does  
24 dose reconstructions certainly far more than I  
25 do.

1                   But when choosing in this situation,  
2                   as a general, we know the sites, the DOE  
3                   sites, that give us what they have. When  
4                   you're talking about when a person doesn't  
5                   have monitoring data, it's because the DOE  
6                   didn't find it and send it to you or was it  
7                   lost or monitoring was lost. We don't know  
8                   that, people who were monitored and lost. We  
9                   know the sites, the DOE sites, that provide us  
10                  a full report. We pretty much know those, so  
11                  they gave us what they have, and so we go with  
12                  that.

13                  Once we have that information though,  
14                  we typically don't just get the monitoring  
15                  information in a void. We got some  
16                  information either from the claimant himself  
17                  or from maybe it's in a DOE record. Some of  
18                  the records may include some things that gives  
19                  you an idea what their job was.

20                  And so mainly we rely on job title to  
21                  make a judgment about is this person someone  
22                  who would have been a radiation worker because  
23                  quite likely there were a large number of  
24                  people who today we would probably consider,  
25                  well, they were a radiation worker or at least

1 a periodic radiation worker, and they should  
2 have been monitored who were not monitored at  
3 the time so you won't get any record for them.

4 So in most instances where the job  
5 appears to be, any job where they could be  
6 even periodically exposed, those people get  
7 the higher percentile. In other words, if  
8 they would be regularly exposed, they would  
9 get the 95<sup>th</sup> percentile.

10 And it's only when we can decide with  
11 some confidence that the person was really an  
12 administrative worker who wouldn't be a  
13 radiation worker in today's nomenclature, that  
14 we would give them the 50<sup>th</sup> percentile which is  
15 still, you know, that 50<sup>th</sup> percentile was the  
16 monitored people. That's still a pretty  
17 generous assignment for someone that we  
18 conclude probably wasn't exposed.

19 So that's how we arrive at that  
20 selection. I don't know that we've got  
21 anything more formal written than that, but it  
22 does have a dose reconstructor who makes the  
23 judgment. The peer reviewer's judgment, a  
24 peer reviewer from the dose reconstruction  
25 organization can also say, you know, you

1           judged wrong. And then there's a Health  
2           Physics review from the Health Physicist on  
3           OCAS' side. So three different people have to  
4           concur that that this person really, there's  
5           sufficient evidence that this person wasn't  
6           exposed in order to give them the lower  
7           percent.

8           **DR. MAURO:** I think one of our concerns was  
9           that when those judgments are made, and I  
10          understand the ground rules that you just laid  
11          out, when we have a DR that comes in 46  
12          percent, that judgment becomes critical. And  
13          it's at that place where I felt that if  
14          there's any ambiguity, this is the place where  
15          you could have a reversal if that judgment  
16          wasn't bulletproof.

17                 I guess that's where we came in; why a  
18          one was important here. There are going to be  
19          times when those judgments don't make a  
20          difference, but there are going to be times  
21          when they do make a difference. It wasn't  
22          apparent to us whether or not there was  
23          anything a little bit more structured in terms  
24          of that judgment.

25          **MR. HINNEFELD:** Well, I think Matt Smith who

1 prepared the response on this, I believe  
2 Matt's on the line.

3 Matt, do you have anything more you  
4 wanted to offer?

5 **MR. SMITH (by Telephone):** Stu, you did a  
6 good job summarizing the responses I wrote up.  
7 Again, I would tell the group to keep  
8 everything in context. When it comes to  
9 prescriptive guidance, as Stu stated, that's  
10 where site-specific OTIBs would come into  
11 play.

12 Regarding the general 50<sup>th</sup> and 95<sup>th</sup>  
13 percentile issue, there's a written response  
14 on that as well. And if you folks want to  
15 look at the final table in OTIB-0020, you'll  
16 see there a comparison of some different data  
17 analysis approaches, the one being the OTIB-  
18 0020 method if you will. And the other one  
19 being a maximum likelihood approach which I  
20 know has been discussed before.

21 And I think you'll see that the OTIB-  
22 0020 approach is quite favorable across the  
23 board. As Stu mentioned, even the 50<sup>th</sup>  
24 percentile values are giving us a good cushion  
25 of claimant favorability.

1                   Other than that, again, the dose  
2                   reconstructors are not working in a vacuum in  
3                   a cubicle. They have not only other documents  
4                   to look at. They have what we term site DR  
5                   leads. For instance, Mutty is the DR lead for  
6                   Rocky Flats. So they have a, if you will, a  
7                   senior dose reconstructor to refer to and to  
8                   bounce questions off of regarding how the data  
9                   looks.

10                   And then beyond that there's also, as  
11                   Stu mentioned, a peer review process. And  
12                   unless there's further question, I think I'll  
13                   leave it at that.

14                   **DR. BEHLING (by Telephone):** This is Hans  
15                   Behling. I just wanted to again go back and  
16                   address the issue of the subjective nature,  
17                   and I think I'm really focusing on earlier  
18                   years when, especially early years when cohort  
19                   badging was a matter of fact in the way of  
20                   monitoring workers where people who should  
21                   have been monitored were not monitored, and  
22                   they may have been decided on because they're  
23                   (unintelligible) exposed group, but clearly  
24                   were exposed.

25                   And subjective interpretation on the

1 part of the dose reconstructor to decide what  
2 portion or what periods of time does the  
3 worker qualify for the statement that he  
4 should have been monitored but was not  
5 monitored or by today's standards he needed to  
6 be monitored, et cetera, et cetera.

7 That's really the issue that I want to  
8 bring out here on this particular TIB is that  
9 we're not dealing with a single issue here but  
10 a variable issue that changes over time  
11 because of various practices that were in  
12 vogue in the early years in the '40s, '50s and  
13 '60s that were subsequently much more  
14 restrictive later on when people were, as a  
15 whole, regarded as all potential exposures,  
16 and therefore, the issue that we have to  
17 address here is not a single issue but one  
18 that changes over time.

19 **DR. NETON:** Hans, this is Jim Neton. I just  
20 got a question. I understand your concern  
21 about the potential misapplication of 50<sup>th</sup>  
22 versus the 95<sup>th</sup> with the dose reconstructors.  
23 But I think as Stu pointed out we tend to be  
24 extremely conservative in our approach to  
25 selecting those values, and there's multiple

1 checks along the way.

2 I guess my question is of all the dose  
3 reconstructions SC&A has done has there been  
4 any evidence to indicate that we have  
5 improperly or possibly improperly assigned 50<sup>th</sup>  
6 versus 95<sup>th</sup>? Because in my mind the proof is  
7 in the practice.

8 **DR. BEHLING (by Telephone):** Well, as I  
9 started out by saying to date I don't think  
10 we've ever seen a dose reconstruction report  
11 that even makes use of OTIB-0020.

12 **DR. NETON:** As selecting the 50<sup>th</sup> versus the  
13 95<sup>th</sup>? No.

14 **MR. SHARFI:** One of the main differences we  
15 don't reference specifically OTIB-0020 since  
16 we reference the site-specific --

17 **DR. NETON:** Exactly, so we --

18 **MR. SHARFI:** -- coworkers.

19 **DR. NETON:** -- we have clearly used the 50<sup>th</sup>  
20 versus the 95<sup>th</sup> in multiple cases. And that  
21 was the issue we were discussing here.

22 **MR. HINNEFELD:** But in terms of the ones  
23 they've reviewed, I don't know. A lot of the  
24 ones they reviewed were dose model, you know,  
25 dose model. So it may be that there has not

1                   been a coworker that's been selected --

2                   **DR. NETON:** I think that might be a good  
3                   thing to do because, again, the proof is in  
4                   the facts here. I think we certainly believe  
5                   we've got a conservative approach, and I don't  
6                   know any way around that. I don't know what  
7                   the solution would be other than to look at  
8                   some of these things and see. Have we not  
9                   appropriately assigned a dose?

10                  **MR. SHARFI:** I would add on OTIB-0020, it's  
11                  a general coworker application TIB. When  
12                  you're doing DR, you do need site-specific  
13                  information to make decisions and to put a  
14                  general blanket, across-the-board, complex  
15                  decision process into a TIB that's not site  
16                  specific almost hinders you from using  
17                  claimant and site-specific information. So  
18                  areas like that might be more suited to the  
19                  site-specific coworkers if there's knowledge  
20                  that they've done batch monitoring or stuff  
21                  like that. Or if you know specifically that  
22                  they badged everybody, that stuff can be very  
23                  more site specific rather than putting them  
24                  into a complex-wide TIB when this TIB just  
25                  covers how to develop and the general use of

1                   coworker. It's not really designed for site-  
2                   specific application.

3                   **DR. MAURO:** Am I hearing that for all  
4                   intents and purposes this TIB is general  
5                   guidance, but in practice it really doesn't  
6                   come to the surface? That is, what I'm  
7                   hearing is the reality is every case is being  
8                   dealt with on the merits of that particular  
9                   site and its dataset as opposed to drawing  
10                  upon some overarching universal guide such as  
11                  this one. So perhaps --

12                  **MR. SHARFI:** For instance like you have the  
13                  Rocky Flats external coworker would have  
14                  referenced OTIB-0020 in development of that  
15                  coworker set, but the DR would not have  
16                  referenced OTIB-0020. They would have  
17                  referenced the site-specific coworker. So  
18                  it's maybe one removed from the original DR.

19                  **DR. MAURO:** To ask an embarrassing question  
20                  perhaps this is a procedure that really is  
21                  really not all that relevant?

22                  **MR. SMITH (by Telephone):** Well, it is a  
23                  relevant procedure because it serves as the  
24                  keystone for the follow-on series of external  
25                  coworker TIBs that have been developed. And

1 everyone in the room there stated the correct  
2 thing, and that language is located in Section  
3 One, The Purpose, where it does talk about  
4 using OTIB-0020 in conjunction with separate  
5 TIBs that provides a site-specific coworker.  
6 So it is a keystone document.

7 **DR. MAURO:** Okay, so I just want to make  
8 sure I understand. So in effect this is the  
9 keystone that sets the philosophy and then the  
10 philosophy is implemented on a case-by-case  
11 basis according to that philosophy.

12 **MR. SMITH (by Telephone):** That's right.

13 **DR. MAURO:** And the degree to which the way  
14 in which it's implemented is consistent,  
15 really emerges on the actual application for a  
16 particular site. So that's really where the -  
17 - in effect, the concern that we have would  
18 become realized. I guess maybe another way in  
19 what we're saying is that it is the right  
20 question.

21 Have we come across cases where we  
22 felt that the 50<sup>th</sup> percentile was used when we  
23 think that perhaps the 95<sup>th</sup> percentile should  
24 have been used. I don't know if that's  
25 something you want to talk about here related

1 to this particular procedure or is that better  
2 suited to be discussed as part of the DR  
3 review when we get into our Task Four review  
4 process? I think that's where it belongs as  
5 opposed to this underpinning issue.

6 **MR. HINNEFELD:** Yeah, the forum for  
7 discussion can be either one I suppose. I  
8 think in order to have a discussion though  
9 we'll have to do some preparation and, you  
10 know, look through, we should be able to  
11 identify of the ones that have been reviewed,  
12 did any of them reference a site-specific  
13 coworker TIB. In which case that would be an  
14 instance where this approach would have been  
15 used. So I mean, we could do something like  
16 that in preparation for that discussion when  
17 we're doing (unintelligible). I don't really  
18 know that we have an opinion on what to do  
19 there.

20 **DR. BEHLING (by Telephone):** Stu, this is  
21 Hans. Maybe you can respond to this specific  
22 issue or question I have. What is the trigger  
23 that would say we should look at OTIB-0020 as  
24 a way of reconstructing this person's dose?  
25 Let me start out by saying you get a file on a

1 person who has a claim, and the DOE file says  
2 there are no data for this person either in  
3 bioassay or external monitoring.

4 And the first reaction would be, well,  
5 this person was not a rad worker, and let's  
6 just for the sake of claimant favorability  
7 assign him the maximum dose based on the TIB-  
8 0004 which involves occupational environmental  
9 exposure and be done with it. We've seen  
10 plenty of those. Now what is the trigger by  
11 which this particular TIB would be used in  
12 lieu of, say, assigning strictly environmental  
13 dose and be done?

14 **MR. HINNEFELD:** Well, the trigger would  
15 trigger the use of a site-specific coworker  
16 TIB. It wouldn't trigger the use of OTIB-  
17 0020. It would trigger the use of a site-  
18 specific coworker TIB that was prepared on the  
19 guidance in OTIB-0020. So the trigger would  
20 be the information available about the  
21 employee's, essentially, their job title.  
22 That is the most important thing that would be  
23 looked at is their job title, and do we have  
24 sufficient information about their job title  
25 and perhaps their location of work.

1                   Although job titles are normally a  
2                   little more reliable than work location.  
3                   People tend to move around. Is that  
4                   information sufficient for us to conclude that  
5                   this person wouldn't be considered a  
6                   radiological worker today, was not really  
7                   exposed, and so the environmental would be the  
8                   right approach. So that's the trigger. It's  
9                   largely, the most important thing is job  
10                  title.

11                 **DR. BEHLING (by Telephone):** How about in  
12                 the absence of a coworker model? And again,  
13                 there are provisions in this TIB that says,  
14                 well, if you don't have a coworker model to  
15                 work with, you may also elect to have or to  
16                 apply what are called or what are stated as  
17                 reasonable upper limits. And again, this is a  
18                 very, very subjective term, the reasonable  
19                 upper limits for someone where there's no  
20                 coworker data to work with. Again, it sounds  
21                 nice, but I would sort of look at this and say  
22                 that's a very heavy request to be put on a  
23                 dose reconstructor for defining what is a  
24                 reasonable upper exposure for an unmonitored  
25                 individual.

1           **MR. HINNEFELD:** Well, that would have to be  
2 case specific, and I don't know that we  
3 actually do that very much. I know we very  
4 often have had cases we put on hold to develop  
5 a site-specific site profile, or site-specific  
6 coworker dataset.

7           **DR. NETON:** So I think, Hans, that guidance  
8 would be fleshed out in the site-specific TIB.  
9 Again, remember this is a general guidance  
10 document on how one approaches using, filling  
11 in gaps in data. And what comes to mind to me  
12 is the Chapman Valve site profile where we had  
13 a real sparse amount of data, and we took the  
14 highest value ever measured in the urine and  
15 used that to reconstruct these workers' doses.  
16 But that was not a decision that would be made  
17 by a dose reconstructor. That was fleshed out  
18 in the site-specific profile. So OTIB-0020  
19 doesn't try to lock you in to a generic  
20 approach. It provides reasonable guidelines,  
21 but then it says there are other alternative  
22 mechanisms that one may use.

23           **DR. ZIEMER:** It sounds like this particular  
24 TIB, the question you end up asking is the  
25 guidance appropriate? Because the actual

1 application comes out in each specific site or  
2 case. The guidance, I think, Hans, you're  
3 asking a question, the details on how to apply  
4 it aren't given because you don't have that  
5 unless you know what site it is that you're  
6 talking about. So it seems to me you still  
7 end up stepping back and saying is this  
8 appropriate guidance.

9 **DR. BEHLING (by Telephone):** What I'm always  
10 afraid of when I see too much subjective  
11 interpretation is consistency. The way I  
12 would like to test that is to give a single  
13 case to ten different dose reconstructors and  
14 see how ten people interpret the guidance  
15 given here in their own way and see what is  
16 the level of consistency among those people  
17 who are independently trying to go through  
18 this maze of potential options for them to  
19 think in doing a dose reconstruction.

20 **DR. ZIEMER:** What I'm hearing is those ten  
21 people wouldn't be sent to this document.  
22 They would be sent to a secondary document.  
23 And the question is, is the secondary document  
24 appropriate based on this guidance, I guess it  
25 seems to me would be the question unless I'm

1                   misunderstanding its use.

2                   **MR. SMITH (by Telephone):** In addition to  
3                   that, Dr. Ziemer, the dose reconstructor is  
4                   always going to use what's in procedure six  
5                   which is the external dosimetry procedure.  
6                   And in there is a table called Table 5.2 which  
7                   is a replication of Table 1.1 in OCAS' 0-0-1.  
8                   And that contains the hierarchy of data that a  
9                   dose reconstructor would use. Coworker data  
10                  is one of those choices.

11                  And it's absolutely correct. If  
12                  coworker data proves to be the desirable  
13                  choice, you're going to go to a specific  
14                  document. If that document's not available,  
15                  then as Dr. Neton said, other data that you  
16                  might find in the site profile as well as  
17                  documents that continue to come in and get  
18                  catalogued in our site research database might  
19                  be referenced.

20                  **DR. MAKHIJANI:** I guess part of the  
21                  puzzlement as I look at this is maybe in the  
22                  four bullets that are in the procedure. Just  
23                  thinking back on our experience of  
24                  specifically looking at Y-12 and Rocky Flats  
25                  external dose questions, the procedure

1 specifies four different kinds of unmonitored  
2 workers who wouldn't be monitored by today's  
3 standards, unmonitored but would be monitored  
4 today, worker may have been monitored but data  
5 not available, and may have partial  
6 information. Partial information I think is  
7 reasonably clear.

8 But in the other three categories I  
9 think that's where the judgment call comes in,  
10 and if I remember, many of our arguments  
11 around or discussions around Y-12 and Rocky  
12 Flats revolved exactly around the question of  
13 how do you know which bin that they fall into  
14 when there's a lot of uncertainty. And maybe  
15 that's sort of where the procedure doesn't  
16 seem specific enough in narrowing down how you  
17 make that choice. At least just from somebody  
18 who didn't participate in writing the review,  
19 it seems to me that that may be a large part  
20 of the problem.

21 **DR. MAURO:** And especially in the earlier  
22 years.

23 **DR. MAKHIJANI:** Yes, I should qualify that  
24 by saying that it would be especially in the  
25 '50s or '40s and '50s.

1           **MR. HINNEFELD:** and I think the place to  
2 look at that question would be on the site-  
3 specific coworker TIBs that were prepared and  
4 see what information was available for that  
5 site and is it appropriate guidance for people  
6 who are going to use this site-specific OTIB  
7 which is what would be used in dose  
8 reconstruction. Is that sufficient? I think  
9 I'm a little, I don't know how you'd do it in  
10 a procedure that's generally broadly  
11 applicable.

12           **DR. MAKHIJANI:** Well, Stu, in reviewing  
13 other procedures that kind of have similar  
14 issues, I felt that providing an example in a  
15 procedure that's very general, or set of  
16 examples, is very helpful because it shows you  
17 the kinds of things you're talking about  
18 without necessarily narrowing it down and  
19 being prescriptive.

20           **DR. NETON:** The problem with that is it  
21 tends to pigeon-hole the whole process because  
22 there's a wide range of ways we deal with  
23 this. I can think of the one extreme which is  
24 everyone gets the 95<sup>th</sup> percentile, Bethlehem  
25 Steel and those where we couldn't even find a

1                   job title to determine who walked through  
2                   those areas.

3                   And then on the other extreme, people  
4                   who were administrative office workers at  
5                   Hanford that never even entered the fence  
6                   line. They worked in the town, and then  
7                   that's another extreme where we can say, well,  
8                   we looked. Clearly, environmental seemed  
9                   appropriate.

10                  Then you get into people that were not  
11                  monitored at all, could have had some  
12                  exposure, and then we'll pick the 50<sup>th</sup>, but  
13                  there's a whole range there, and that's what  
14                  it's trying to accomplish, to accommodate all  
15                  those different scenarios. I don't know that  
16                  you can --

17                  **DR. MAKHIJANI:** Wouldn't those two examples  
18                  of those two extremes be useful in this  
19                  procedure so it's not --

20                  **DR. NETON:** In fact, that's what Stu was  
21                  saying. Those are part of the site profiles.  
22                  The site profiles actually do that, but it  
23                  could go in there. Whenever you put examples  
24                  that tends to lock people into certain  
25                  scenarios, and then it's what about this then,

1 and what about that, and those are all  
2 discussed at document preparation time in the  
3 site profiles. And those documents go through  
4 multiple layers of review as well.

5 **DR. ZIEMER:** I've determined in hopes that  
6 this doesn't become the working group on --  
7 what is this procedure? Twenty. OTIB-0020,  
8 it seems to me that we kind of have a feel for  
9 what this procedure is. As I looked at the  
10 reviews, there's a lots of threes there. In  
11 fact, I think all threes of them is this  
12 particular one.

13 And I don't know if we know the  
14 importance of the one at the moment, but we  
15 kind of have a context for it. And I'm  
16 wondering if it wouldn't be useful to proceed  
17 and sort of set this aside for the moment. I  
18 don't think we can resolve it necessarily.  
19 The one at the moment represents a kind of  
20 concern to make sure that the procedure or the  
21 OTIB is properly used. And I think we've  
22 heard that proper use of that plays out in  
23 other OTIBs as I understand it.

24 So I'm wondering if it is inefficient  
25 to focus too much more time on this at the

1 moment until we get the overall picture which  
2 I know you wanted to go through maybe a number  
3 of these and see where the ones are. And this  
4 is one of the ones. But it doesn't look to me  
5 like it's necessarily going to be resolved  
6 sort of momentarily.

7 **MS. MUNN:** Unfortunately, it doesn't.

8 **DR. ZIEMER:** Unless we have the bigger  
9 context of the use here --

10 **MS. MUNN:** I do want to get --

11 **DR. ZIEMER:** I think we have kind of a feel  
12 for the context of this particular one,  
13 numerical one, and I'm wondering if it would  
14 be helpful to look at the other ones that you  
15 had in mind.

16 **MS. MUNN:** I think it would, but before we  
17 leave this, I think the discussion has brought  
18 to the forefront the key issue as it appears  
19 to have evolved here. That key issue being  
20 shall we use general guideline procedures or  
21 must general guideline procedures contain the  
22 amount of specificity that creates rigid  
23 application of the procedure.

24 My personal feeling is that general  
25 guidelines are very helpful. They are a

1 baseline from which other applications can be  
2 derived. It's a pointer to show the way and  
3 method for defining limitations. It appears  
4 to me the procedure as I recall it, not having  
5 read it in several months, comes close to  
6 that.

7 But if that's the key question, we  
8 need to define it. If it's not, then we need  
9 to define what is the key question here.

10 **DR. ZIEMER:** Well, I think I agree with what  
11 you're saying and that guidelines, per se,  
12 don't necessarily need that specificity. Let  
13 me mention sort of the classic cases where a  
14 regulation says that doses are to be as low as  
15 reasonably achievable. What does that mean?  
16 It means something different in every  
17 situation, and you can't spell it out except  
18 philosophically at the front end. And it may  
19 be that the philosophical statement here is  
20 not, well, it probably isn't clear at the  
21 front end that that's really what it is. But  
22 it may be that the procedure itself needs  
23 some, I don't recall. We'd have to go back  
24 and look at the front end an explanation of  
25 what this is, that this is a general guidance

1 or something. Maybe it already says that. I  
2 don't recall.

3 **MR. HINNEFELD:** I'd have to look.

4 **MS. MUNN:** Hans --

5 **DR. ZIEMER:** But anyway, it may need some  
6 fixing based on this, but and maybe even that  
7 particular case that got the one may need some  
8 clarification that says that this is sort of a  
9 philosophical statement and it's played out in  
10 specific cases.

11 **MS. MUNN:** Hans and John, would you be  
12 comfortable with our taking another look at  
13 the procedure to see if it's clearly defined  
14 in the manner we've described here? And if  
15 not, the addition of some words making clear  
16 that this is as it's been characterized, a  
17 keystone not the actual procedure for  
18 directing how to proceed? Is that acceptable  
19 to you?

20 **DR. MAURO:** I'll offer one thought on it  
21 seems to me that the significance really comes  
22 to life in the application on real cases at  
23 real sites. If we find that, holy mackerel,  
24 gee, we have a whole bunch of real people at  
25 real sites where judgments were made that we

1 don't entirely agree with. That is, you may  
2 have used the 95<sup>th</sup> percentile or 50<sup>th</sup>  
3 percentile where in our opinion, in our  
4 review, audit of the case, it should have been  
5 the 95<sup>th</sup> percentile.

6 And by the way, that might be  
7 important because in this particular case it  
8 creates a situation where there's the  
9 possibility for reversal, and especially if we  
10 have a number of those and they merge. Then  
11 we have to ask ourselves the question if we  
12 all agree, yes, that's a problem and that  
13 needs to be fixed in these real cases. And  
14 we'll discuss it.

15 Then the deeper question goes, well,  
16 is the problem because of this procedure  
17 because this procedure did not give the dose  
18 reconstructor the directions that could have  
19 helped him be a little bit more rigorous in  
20 making these judgments. Or is the procedure  
21 fine.

22 It's really that, I'm not sure. In  
23 other words if there is a breakdown some place  
24 where judgments are being made and no optimum  
25 judgments in terms of being claimant

1 favorable, and we actually find out that's a  
2 real issue that we need to deal with, we won't  
3 know that until we engage real cases and real  
4 sites. Like Chapman Valve is a perfect  
5 example.

6 I think Jim is right. Here's a case  
7 where the philosophy that was intended  
8 embedded in this was carried and in what we  
9 considered to be a perfectly appropriate  
10 approach. In other words we picked the  
11 highest value. So the judgment in  
12 implementing that procedure at Chapman Valve,  
13 what happened was, great, you picked the  
14 highest number. You really couldn't have been  
15 more conservative.

16 But there may be other places where  
17 the judgment was made in a way that we may not  
18 agree. And then we have to ask ourselves the  
19 question -- I don't know the answer to this --  
20 if we agree there was a problem on a real  
21 case, is the problem because of this  
22 procedure? And is there anything we can do in  
23 this procedure that would help avoid that  
24 problem in the future? So we really can't do  
25 much more than that right now.

1                   **DR. BEHLING (by Telephone):** Let me also add  
2 something, and I agree with everything you  
3 said, John. Let me make a broad statement. I  
4 think with the procedure if it is implemented  
5 in the proper way is as good as it's going to  
6 get. I fully realize that there are certain  
7 deficiencies in past monitoring practice, and  
8 certain assumptions have to be applied in  
9 those instances.

10                   And my concern only here in writing up  
11 some of these issues is that will there be  
12 always a reasonable and claimant-favorable  
13 approach taken when you end up with a claim  
14 for which there is no monitoring data and the  
15 potential exists as in bullet number one. The  
16 worker was unmonitored and even by today's  
17 standards did not need to be monitored. Well,  
18 if one could firmly understand that to be a  
19 fact, then it's clear what the decision is.  
20 Don't bother, just assign environmental  
21 exposure and be done with it.

22                   On the other hand, for instance, when  
23 I looked at the Paducah Gaseous Diffusion  
24 Plant, I realized that early on there was  
25 cohort badging. And there were probably many

1 people who subsequently in 1960 starting on  
2 were monitored. And lo and behold, the doses  
3 there were actually higher in some instances  
4 for people who were previously unmonitored.  
5 Therefore, the assumption that we only started  
6 to monitoring mostly people who were maximally  
7 exposed may or may not have been the truth  
8 there, and therefore, you may have in previous  
9 years, prior to '60, not bothered to badge  
10 people who should have been badged.

11 But if, let's assume that they  
12 terminated their employment prior to that  
13 moment in time and you left with nothing other  
14 than a blank slate that says this person was  
15 no monitored, and he may have been labor, what  
16 do you do in those instances in trying to give  
17 a conservative default value to that person's  
18 dose reconstruction?

19 **DR. WADE:** Could I suggest maybe a path  
20 forward. I mean, I think there are possibly  
21 two actions that result from this. The one I  
22 think is that NIOSH should review the tape and  
23 make sure that it's clear in defining what it  
24 is and what's its intentions are. It's a  
25 general guidance document that points you to

1           some specific TIBs. And if that's the case,  
2           fine. If it needs to be crisp up the wording  
3           I think that's fine. I think that's  
4           appropriate for the subcommittee that reviews  
5           dose reconstruction at SC&A to keep an eye on  
6           these issues as they review dose  
7           reconstructions. And should they find  
8           evidence of the fact that there are questions  
9           or problems, then they should be raised to  
10          subcommittee and dealt with at that level.

11          **MR. SMITH (by Telephone):** I guess on that  
12          issue of that first action I would point the  
13          group to the final sentence of Section One  
14          which is the purpose section of this TIB.  
15          It's also repeated in the comment response.

16          **MS. MUNN:** Can you speak just a little  
17          louder and --

18          **DR. WADE:** And can you tell us what that  
19          sentence --

20          **MR. SMITH (by Telephone):** The final  
21          sentence on the purpose section states, "This  
22          TIB is to be used in conjunction with separate  
23          TIBS or other approved documents that provide  
24          site-specific coworker data."

25          **DR. ZIEMER:** Which is what we

1 (unintelligible).

2 **MR. SMITH (by Telephone):** That may take  
3 care of action number one.

4 **MS. MUNN:** That's the statement I wanted to  
5 hear. I don't know whether that's the  
6 statement that SC&A wanted to hear.

7 **DR. WADE:** So now we're left with action  
8 two.

9 **MS. BEHLING (by Telephone):** This is Kathy  
10 Behling, and with regard to, I've looked at  
11 almost 150 dose reconstructions at this point  
12 in time, and we carefully look at all of the  
13 information that is being used whether it's  
14 coworker data. We review all of the  
15 procedures and all of the source documents,  
16 most of the source documents that are being  
17 used in order to determine if we agree with  
18 the assumptions used by NIOSH. So we are  
19 definitely looking at any assumptions whether  
20 they're 50<sup>th</sup> percentile assumptions or 95<sup>th</sup>  
21 percentile assumptions with regard to coworker  
22 data.

23 **DR. MAURO:** Kathy, this is John. In light  
24 of that is it your sense that places, I  
25 presume that as I recall there are times when

1 we disagree with the percentile that may have  
2 been adopted in a particular dose  
3 reconstruction. And in your sense is there  
4 anything that could be done to 0020 that might  
5 have provided the guidelines that could have  
6 made it a little bit more non-subjective? Or  
7 do you think that that's not the problem.

8 In other words when we see that we may  
9 have some disagreement on which percentile was  
10 used, do you think the problem lies in this  
11 OTIB-0020 or is it really something that a  
12 judgment, 0020 did everything it could do.  
13 The problem really becomes how it was  
14 implemented on a particular case.

15 **MS. BEHLING (by Telephone):** I guess I have  
16 a little bit of difficulty in answering that  
17 because as Hans indicated, I have never seen  
18 in the cases that I've looked at where they  
19 specifically cited OTIB-0020 for the basis for  
20 the coworker data.

21 I have seen cases where they use site-  
22 specific coworker data and in those cases up  
23 to this point in time, we haven't seen a lot,  
24 but so far everything that I've looked at  
25 seemed to be reasonable and claimant

1 favorable. And so I can't really state that I  
2 can go back to this OTIB-0020 and indicated  
3 that there has been a problem.

4 **DR. WADE:** So maybe we have no action items.

5 **MS. MUNN:** We have no answer?

6 **DR. WADE:** No action items.

7 **MS. MUNN:** Oh, thank you. I am interpreting  
8 that as agreement that the final sentence we  
9 just heard covers the crux of the problem that  
10 SC&A has with this issue.

11 **DR. MAURO:** What I just heard is that where  
12 the rubber meets the road on the real cases we  
13 have generally found that the correct  
14 judgments were made in terms of what  
15 percentile to operate at. And that being the  
16 case I'd have to say that, in effect, it means  
17 that it validates OTIB-0020. Notwithstanding  
18 the fact that there may be some interpretation  
19 in ambiguity here that could be improved, but  
20 nevertheless at least in the cases that we've  
21 looked at, the judgments that were made in the  
22 real cases seem to be -- and, Kathy, correct  
23 me if I'm wrong -- by and large the correct  
24 judgments.

25 **MS. MUNN:** Made on the basis of other OTIBs

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

--

**DR. MAURO:** Other OTIBs which, of course, ultimately were based on this philosophy.

**MS. MUNN:** Correct.

**MS. BEHLING (by Telephone):** What I would say is we have not seen a great deal of cases that have used the coworker models. I think it's just the more recent cases that are starting to use more of the coworker model data. What I've seen so far seems to be reasonable. If there's going to be maybe an action item, I would possibly recommend that during the selection of cases that we review maybe this becomes a selection criteria was coworker data used. And we can look at this issue more closely or at least see more cases that uses coworker model data.

**MR. GRIFFON (by Telephone):** This is Mark Griffon. I have one question, Wanda. When I'm looking at this, I mean, when I look at the title of this TIB, it looks to be a fairly important document. When I look at the meat of it, I'm not sure it rises to that level.

But my question is I agree with what was said with regard application to the

1 individual dose reconstruction level, but I'm  
2 curious whether this TIB is used by the site  
3 profile authors because it seems to really  
4 apply to the people that are developing the  
5 coworker models up front for the site-specific  
6 coworker model.

7 If you look in Section 6 of the TIB,  
8 there's a sentence there which I, you know,  
9 I'm very curious about which says that, it's  
10 like the third sentence there that says, "A  
11 sampling of the data are compared to claim-  
12 specific data submitted to NIOSH by the DOE  
13 sites," to basically to assess whether the  
14 electronic data is usable as a coworker model.

15 So when I look at this title I'm  
16 thinking, okay, this is the criteria by which  
17 NIOSH determines whether the data is  
18 sufficient and under what circumstances a  
19 coworker model can be developed from the data  
20 they have for a particular site. And then  
21 under what circumstances they'll say it's  
22 inadequate or that kind of judgment will be  
23 made.

24 But I don't see many of those sort of  
25 triggers in there that tell me, okay, what are

1                   your ground rules. What, you know, is there a  
2                   certain statistical analysis that you want to  
3                   do that says if we have, if the data looks  
4                   like this, we're just going to determine that  
5                   it's inadequate.

6                   There might be gray areas, but at a  
7                   certain point we would make a sort of  
8                   overarching, policy-level criteria that at  
9                   least the data have to, have to meet these  
10                  certain criteria to be usable as coworker data  
11                  or something like that. Or that you have to  
12                  have a certain amount of information on the  
13                  employees. You know, do you have sufficient  
14                  job information or information about where the  
15                  people would have worked to determine whether  
16                  a coworker model could be applicable for that  
17                  site.

18                  And I don't see any of that really in  
19                  this TIB to tell you the truth. But I guess  
20                  my one question that I would ask NIOSH is do  
21                  the site profile authors abide by this TIB?  
22                  Are they using this TIB in any way to guide  
23                  them when they develop the coworker models up  
24                  front?

25                  **MR. SHARFI:** Look at the site-specific

1 coworkers TIBs. I believe in almost every  
2 case the first reference will this TIB.

3 **MR. GRIFFON (by Telephone):** Okay, okay, so  
4 they do, and they would abide by that phrase I  
5 just read which is to check these data against  
6 claim data. Because, I mean, in a few of our  
7 SEC reviews I wonder if that has happened.

8 **MR. SMITH (by Telephone):** And, Mark, even  
9 on Rocky Flats when we were going over OTIB-  
10 0058, this was a specific area that was looked  
11 at even in the earliest provisions of that  
12 TIB, and further work was done on this  
13 specific issue. And there's one area that is  
14 always addressed in a separate type-specific  
15 coworker TIB. You know, Hans mentioned  
16 Paducah. I pulled up the Paducah coworker  
17 just now, and it's addressing all those items  
18 that Hans just brought up on the phone.

19 **MS. MUNN:** We do that in the earlier --

20 **MR. GRIFFON (by Telephone):** I just thought  
21 I'd mention this because all the discussion  
22 seems to be around individual dose  
23 reconstruction. But I think this TIB's pretty  
24 applicable to the site profile development  
25 process.

1                   **MR. SMITH (by Telephone):** Yes, it is.

2                   **DR. MAURO:** As I recall when we went through  
3 the Rocky Flats process, a lot of our  
4 discussion centered around OCAS coworker  
5 models. In fact, that's most of what we  
6 discussed.

7                   Now the question I pose to everyone  
8 around the table and on the phone, is there  
9 anything that could have been put into this  
10 particular OTIB-0020 that would have helped to  
11 avoid the months of debate that we  
12 encountered? In other words in the end as you  
13 recall lots of revisions were made to the  
14 Rocky Flats coworker model, whether they were  
15 internal or external, I believe that was one  
16 of the outcomes that there were changes made  
17 in light of the discussions.

18                   And the question then becomes would a  
19 lot of that have been somehow avoided if, in  
20 fact, more explicit guidance was given. Or in  
21 retrospect, never mind then, but in  
22 retrospect, now that we've been through the  
23 Rocky experience, and we know where the  
24 sensitive subjects were, is there anything  
25 that could be done to OTIB-0020 in light of

1 the lessons learned from Rocky and its  
2 coworker OTIBS that could be done to 0020 to  
3 improve the process.

4 Maybe in reality, yeah, there might be  
5 a problem with this OTIB and the way to  
6 determine that is there anything that we could  
7 do now that would help avoid similar  
8 situations as we encountered on Rocky.

9 **MR. SMITH (by Telephone):** My short response  
10 to that is no. The methodology used in all of  
11 the revisions to OTIB-0058 were the same, and  
12 they were always based on OTIB-0020. And  
13 again, very claimant-favorable methodologies  
14 as you'll see in looking at the final table in  
15 that TIB.

16 **MS. MUNN:** Extraordinarily favorable.

17 **MR. SMITH (by Telephone):** The changes that  
18 occurred with OTIB-0058 were due to the  
19 repeated revisions of some of the input data  
20 coming into the front end of the coworker  
21 modeling process.

22 **DR. MAURO:** So it wasn't the philosophy. It  
23 really was the dataset upon which the OTIB was  
24 based. That's an important point.

25 **MS. MUNN:** That seems to be the recurring

1 issue is how well the data available for the  
2 various sites can be applied since there's an  
3 enormous variation. We've already seen a  
4 staggering amount of variation between the  
5 amount of information that we have and the  
6 application of that information to the site-  
7 specific issues that arise. They seem to be  
8 very broadly distributed.

9 Mark, are you okay with the suggestion  
10 that the subcommittee sort of check from time  
11 to time to assume that OTIB-0020 seems to be  
12 applied appropriately to the other sites?

13 **MR. GRIFFON (by Telephone):** Yeah, I mean, I  
14 think generally it comes up in our site  
15 profile reviews and when we cover cases in the  
16 subcommittee it will come up that way.

17 **MS. MUNN:** If you're comfortable with --

18 **MR. GRIFFON (by Telephone):** Yeah, I'm fine  
19 with that overall. I would answer John's  
20 question in one way thought. I believe, and  
21 this is only my feeling, that Section 6 in  
22 OTIB-0020 could be -- and I'm just going over  
23 this real time as we're on the phone so it's  
24 been awhile since I looked at OTIB-0020, but  
25 my sense is that some more specificity would

1           have helped.

2                   And maybe this is in retrospect, you  
3           know, after Rocky Flats, but some more  
4           specificity as to what it meant or what should  
5           be done in terms of, it says, "A sampling of  
6           the data are compared," you know, that hardly  
7           tells us much about the sampling. So maybe  
8           more specific guidance as to what extent.  
9           What's required as far as a sampling? Is  
10          there a percentage? Is there a, you know.  
11          How is this sampling done?

12                **MS. MUNN:** Well again, Mark, isn't that  
13           going to depend largely on the dataset that  
14           you have available to you? That can vary  
15           enormously.

16                **MR. GRIFFON (by Telephone):** There may be  
17           some site-specific issues, but I think overall  
18           you want an approach across the board that's  
19           going to be, you know, you want some overall  
20           guidance. I would say when developing a  
21           coworker model, you should at least include  
22           this in your approach to sampling from the  
23           claimant data to compare against your coworker  
24           data. I don't know.

25                   That's just a thought, but otherwise,

1 Wanda, I agree with you that we can take these  
2 up in the subcommittee and site profile  
3 reviews when they come for site-specific  
4 issues.

5 **MS. MUNN:** All right, we can take that as an  
6 action item for the subcommittee. As far as  
7 your issue with respect that more definitive  
8 directions regarding how to proceed with  
9 sampling, I ask NIOSH if they have views on  
10 that that they would share with us.

11 **MR. HINNEFELD:** I guess not sitting here at  
12 the table. We'd have to consult with the  
13 people who have been preparing these, you  
14 know, the coworker datasets and some of that  
15 and just see what exactly are we talking  
16 about.

17 **MR. GRIFFON (by Telephone):** I know some of  
18 it's a case by case, but I think from the  
19 standpoint of having to come, you know, think  
20 of down the line when you're going to have to  
21 defend this coworker model what general  
22 criteria do you want to be able to meet I  
23 think is kind of the way I'm looking at it.  
24 You know, but this is what we do for every  
25 coworker model we develop.

1                   And then there's going to be  
2                   variations as Wanda said. Every set of data's  
3                   going to be different and every site's  
4                   different. I understand that. But maybe it's  
5                   worth spelling some of those out in this  
6                   general guidance that this is what we look to  
7                   achieve in every one of these.

8                   **MS. MUNN:** So can we go away from this item  
9                   with two specific action items? One for the  
10                  subcommittee to incorporate this into what  
11                  we're looking at there. The other for NIOSH  
12                  to check the wording of Section 6 of the OTIB  
13                  to see if there should be more specificity to  
14                  the direction with respect to sampling of  
15                  data. Is that fair?

16                  **MR. GRIFFON (by Telephone):** Sounds okay,  
17                  yeah.

18                  **DR. WADE:** I've got the two action items  
19                  captured.

20                  **MS. MUNN:** Very good. We're all exhausted.  
21                  It's time for a 15-minute break. Please do,  
22                  15 minutes.

23                  (Whereupon, a break was taken from 11:00  
24                  a.m. until 11:15 a.m.)

25                  **DR. WADE:** We're back in session. Mark, are

1                   you with us?

2                   (no response)

3                   **DR. WADE:** Mark Griffon?

4                   (no response)

5                   **DR. WADE:** Mark, are you on mute?

6                   (no response)

7                   **DR. WADE:** Mark, if you are not on mute  
8 where are you? He'll be with us shortly.

9                   **MS. MUNN:** I hope so. In his absence our 15  
10 minutes is up. Let's return to our summary of  
11 tasks three Supplement one, Rev. one.

12                   The next item I see that has any ones  
13 in the rating column that have any kind of  
14 response is on page 24 of 37. ORAU PROC-0022.

15                   **DR. MAURO:** I'm sorry. This is John. Right  
16 now I'm looking at my chart --

17                   **DR. ZIEMER:** OTIB-0017.

18                   **DR. MAURO:** OTIB-0017 on page 11?

19                   **MR. HINNEFELD:** It has no NIOSH response.

20                   **DR. MAURO:** But there's no response, okay.  
21 So we don't want to go there then.

22                   **MS. MUNN:** No, not right now. We'll touch  
23 on it to see how the responses are coming  
24 after we've gone over the responses we already  
25 have. If we can get anything whittled down so

1 that it comes off this matrix, or it's reduced  
2 to at least one item on the matrix, it will be  
3 --

4 **DR. MAURO:** I'm sorry. You were saying that  
5 the next place is where?

6 **MR. SMITH (by Telephone):** Page 24.

7 **MS. MUNN:** PROC-0022, reference to the ORAU  
8 procedure for our Privacy Act compliance.  
9 There are two separate findings there, and we  
10 had responses from NIOSH.

11 Stu, do you want to review your  
12 response to see how SC&A accepts it?

13 **MR. HINNEFELD:** This is a procedure is for  
14 requesting additional information, and I think  
15 that would be utilized when we get late  
16 information like we have a claim about  
17 employment like at a DOE site or visits to  
18 other DOE sites that were not part of the  
19 original claim. I'm trying to catch up here  
20 again.

21 The first finding has to do with  
22 reference an incorrect procedure maybe.

23 **MS. MUNN:** Refers to the ORAU procedure for  
24 Privacy Act compliance. Needs to be correct  
25 and consistent. And the next one suggests

1 that PROC-0022 provide an overview for  
2 requesting information as referred to task  
3 two, task four, task five, assumes the  
4 reader's familiar with each task.

5 **DR. ZIEMER:** I'm a little confused here.  
6 The rating column has disappeared from my  
7 chart.

8 **MS. BEHLING (by Telephone):** This is Kathy  
9 Behling. Yes, when we get into the quality  
10 assurance procedures which is where you are  
11 right now, in fact, I think Steve Ostrow is on  
12 the phone and he can help me out here. We  
13 developed a checklist that's different than  
14 the checklist for some of the PROCs. The  
15 quality assurance checklist simply has, it  
16 asks questions and the response is either yes,  
17 no or not applicable, and there's no ranking  
18 or rating associated with those. So  
19 therefore, quite honestly I'm trying to think  
20 back as to why there was a one in parentheses  
21 behind this --

22 **MS. MUNN:** Well, I know why it was. That's  
23 from our discussion previously that you mean  
24 that's from Supplement One. That's the first  
25 supplement.

1                   Sorry, that's my, I was looking in the  
2 wrong column, too.

3                   **MS. BEHLING (by Telephone):** Yeah, these  
4 quality assurance procedures do not have  
5 rankings.

6                   **MS. MUNN:** We're not going to look at those  
7 then at this moment. I need to backpedal  
8 myself.

9                   I have listed PROC-0061, OTIB-0028.  
10 It's OTIB-0028 --

11                   **DR. ZIEMER:** It's the same issue. I think  
12 it's the version rating.

13                   **MS. MUNN:** We had ones on 24, but there was  
14 no response yet. That's in preparation. We  
15 had, 28 has responses to it. They had low  
16 ratings.

17                   **DR. MAURO:** All of these are QA. Here we  
18 are starting on page 24, for example, may have  
19 started earlier. Let me see if I can find  
20 where it actually starts. Page 22.

21                   **MS. MUNN:** Yeah, I've moved this back. I'm  
22 back on page 13. I'm sorry about that. I  
23 jumped us ahead into the quality procedures.  
24 I'm back where we do have rankings and  
25 responses. As I said earlier, I want to make

1           sure we do get an opportunity to look at the  
2           NIOSH response regardless of the  
3           classification and to make sure that if  
4           there's a resolution that we can reach here  
5           today that we do that.

6                        As I see it, the next response that I  
7           have is on page 13 for OTIB-0028. The summary  
8           ratings were four and four, but we do have a  
9           response from NIOSH. It says a page change is  
10          going to be initiated, will include all the  
11          files used. Can we assume that that meets the  
12          criteria anticipated from SC&A?

13                   **DR. MAURO:** I'm just getting myself a little  
14           oriented here. These are the ones I believe  
15           that were prepared, there are several here by  
16           Joyce. The one we're looking at has to do  
17           with thorium. Is that correct?

18                   **MS. MUNN:** Yes, Type M Thorium.

19                   **DR. MAURO:** Right and the question --

20                   **MS. BRACKETT (by Telephone):** This is Liz  
21           Brackett. This OTIB was written because the  
22           values in IMBA are not correct because it  
23           carries all the daughters through with it so  
24           we had to come up with alternative dose  
25           conversion factors. And this OTIB was

1 verification that the number that we were  
2 using. And I somehow missed, I only listed  
3 two of the files when there were actually four  
4 of them. That was one of the problems.

5 **DR. MAURO:** It turns out our comment on what  
6 I call, there are three in a row, one dealing  
7 with thoron, one dealing with thorium and one  
8 dealing with wounds. These were all reviewed  
9 by Joyce. All of which got very favorable  
10 reviews. There were no ones, twos, I believe  
11 they're only threes. But there was some  
12 general observations.

13 And I believe what you're referring to  
14 is there were certain references. I believe  
15 the one you're referring to is there are  
16 certain documents that Keith Eckerman provided  
17 that were the underpinning for the approach  
18 used. And Joyce said from her review  
19 everything looked fine, but she'd sure like to  
20 look at those original source documents that  
21 Keith Eckerman used to come up with the dose  
22 conversion factors, but she didn't have any  
23 problem with it. They looked like they were  
24 valid, but it would be helpful if we could see  
25 those source documents. I think that was the

1 extent of the comment.

2 **MS. MUNN:** So a page change including the  
3 lifting of the file will meet your criteria?

4 **DR. MAURO:** Yes.

5 **MR. HINNEFELD:** Well, I can do that. Do you  
6 want to see the files as well?

7 **DR. MAURO:** Well, yeah, that's what Joyce  
8 asked for.

9 **MR. HINNEFELD:** Liz, if you would send those  
10 to me, I will send them on to John.

11 **MS. BRACKETT (by Telephone):** Okay, thanks.

12 **DR. WADE:** What files are they exactly?

13 **MS. BRACKETT (by Telephone):** These are  
14 files that Keith Eckerman generated from the  
15 software that he uses to drive the dose  
16 conversion factors. It's the output from his  
17 program.

18 **DR. WADE:** Could I have his name again?

19 **DR. ZIEMER:** Keith Eckerman.

20 **MS. BRACKETT (by Telephone):** ORNL.

21 **MS. MUNN:** All right, so our only  
22 expectation will be that page change and this  
23 item will then clear.

24 **MR. HINNEFELD:** The page change and the  
25 files.

1           **MS. MUNN:** Right.

2                     There is the third item on OTIB-0028  
3 on the next page --

4           **DR. MAURO:** What page number? I'm sorry.

5           **MS. MUNN:** Just the very next page. There  
6 are three items on OTIB-0028. The first two  
7 are on page 13, the next one is on page 14.

8                     It says what should be used when it's  
9 an intake of 232 or 238 and that's different  
10 from five. And the response is ORAU's not  
11 aware of a different ever being applied. If  
12 needed we will contact Eckerman. Is that  
13 adequate for the issue?

14          **DR. MAURO:** Yes.

15          **MS. MUNN:** The next response we have is down  
16 that same page on OTIB-0011. We have two  
17 items there with responses to them. Sounds as  
18 though NIOSH is asking for clarification --

19          **DR. MAURO:** Perhaps I can help on these two  
20 items. This had to do with tritium bioassay  
21 and individuals that would be working in an  
22 area where they're exposed to tritium, and  
23 there were some intermittent bioassay samples  
24 collected that might have been spaced by many,  
25 many months. So in theory the person could

1           have been working in the tritium environment  
2           and the clearance for the tritium I think has  
3           a ten day half life. So in theory if you  
4           don't take sufficient bioassays, you could  
5           miss an intake.

6                     And the first comment had to do, and  
7           it's a four. It wasn't a very major, was that  
8           it wasn't clear how do you deal with a void.  
9           And I believe the comment was very simple. It  
10          became clear when we read the workbook. In  
11          other words there's a workbook that goes with  
12          this one.

13                    And when we saw the workbook, the  
14          workbook provided very explicit guidance,  
15          exactly what do you do when you have a void in  
16          the sampling sequence. But it wasn't until we  
17          read the workbook that we realized  
18          everything's okay. So that's why it was a  
19          four. It would be helpful if the actual  
20          procedure, the OTIB, provided that explanation  
21          in the text that you wouldn't have to go  
22          through the workbook before you understood  
23          exactly that everything's okay. That was the  
24          comment that was made. So it's a relatively  
25          minor comment.

1                   **MR. HINNEFELD:** Well, there is some language  
2 in the section called time periods with no  
3 monitoring. So there is something there. I  
4 guess it wasn't sufficiently clear.

5                   **DR. ZIEMER:** The response, the ORAU  
6 response, appears to address it.

7                   **MR. HINNEFELD:** There is some wording there,  
8 and maybe since the workbook is clear and  
9 there's some wording there, maybe that's  
10 sufficient.

11                   **DR. MAURO:** That's why it was a four and  
12 maybe we missed it.

13                   **MS. MUNN:** We're okay?

14                   **DR. MAURO:** Yes.

15                   **MS. MUNN:** We're okay, those two.

16                   **DR. ZIEMER:** Do you need to double check  
17 that, John?

18                   **DR. MAURO:** I'll go back and take a look,  
19 sure. Make sure that the words are there and  
20 sufficient. But quite frankly, as long as  
21 it's in the workbook, in fact, let's talk  
22 about this a bit.

23                                 If the workbook is fine but maybe the  
24 procedure is not as thorough, in other words,  
25 the workbook has to be complete because it's

1 mechanistic; it's all there. And there maybe,  
2 so there's information in the workbook is  
3 always richer and more explicit than what's in  
4 the text of the OTIB by the very nature of the  
5 workbook. So in my mind I like the idea, may  
6 it would make life easier for everyone, is  
7 they complement each other, and they're really  
8 part and parcel of the same thing. That is,  
9 the write up together with the workbook  
10 constitutes the procedure. And if we look at  
11 it that way, then there really is no comment  
12 because, you know, when we did this review, we  
13 actually, we looked at them as if they were  
14 separate. But maybe the better way to think  
15 about it is this. These complement each  
16 other. And if there is any ambiguity in the  
17 actual text of the OTIB that's resolved in the  
18 workbook. As far as I'm concerned the problem  
19 goes away. I don't know if the rest of the  
20 working group would agree with that  
21 interpretation.

22 **MS. MUNN:** I understood that to be the  
23 philosophy at the time we put the workbooks  
24 together but perhaps I was in error. Do we  
25 have any heartburn with that philosophy?

1 (no response)

2 **MS. MUNN:** If not, then --

3 **DR. ZIEMER:** Now I'm wondering if maybe the  
4 reviewer, maybe your reviewer wasn't aware of  
5 this later section and made the comment in --

6 **DR. MAURO:** Well, I'll --

7 **MS. MUNN:** The next item is the one  
8 immediately below it, also a four. ORAU OTIB-  
9 0019.

10 **MS. BRACKETT (by Telephone):** Do we still  
11 want another one associated with 11? I don't  
12 know if you want to finish that.

13 **DR. ZIEMER:** She's talking about that one,  
14 Liz.

15 **MS. BRACKETT (by Telephone):** Oh, she just  
16 said 19. I'm sorry.

17 **DR. ZIEMER:** You meant 11, didn't you?

18 **MS. MUNN:** Yes.

19 **DR. ZIEMER:** It's a tritium one.

20 **MS. MUNN:** Yeah, I meant 11.

21 **DR. MAURO:** I think the issue here has to do  
22 with the modeling. That is when tritium is  
23 taken into the body and then it shows up in  
24 the urine, there is this delay period. And  
25 the comment was I believe that that delay is

1 not explicitly taken into consideration. But  
2 the response, and I will stand corrected by  
3 the folks who are expects on the ICRP model is  
4 that it assumes instantaneous mixing  
5 deliberately. And so that's the way ICRP  
6 intended it to be in spite of the fact there  
7 is this delay intake and when it gets to the  
8 urine. So as long as everyone, that's the  
9 ICRP. I wasn't aware of this. This was  
10 explained to me. As long as the ICRP model  
11 assumes instantaneous mixing and so your  
12 intaking a -- You're assuming it's in the  
13 urine, that's not a problem with the model.  
14 And ICRP did it this way. Please, anyone more  
15 familiar with this subject than I am, correct  
16 me if that --

17 **DR. ANIGSTEIN (by Telephone):** This is Bob  
18 Anigstein. I'm not sure if I understood your  
19 comment correctly. Did you say that there is  
20 instantaneous mixing throughout the body?

21 **DR. MAURO:** Yes.

22 **DR. ANIGSTEIN (by Telephone):** Oh, this is  
23 for tritium only.

24 **DR. MAURO:** Tritium only.

25 **DR. ANIGSTEIN (by Telephone):** Okay, forget

1 it, sorry.

2 **DR. ZIEMER:** And you're not saying that  
3 there is. You're saying that the model  
4 assumes that there is.

5 **DR. MAURO:** In reality there is.

6 **DR. ZIEMER:** It only matters if somehow you  
7 collected a urine sample the first minute  
8 after an intake.

9 **DR. MAURO:** Right.

10 **MS. BRACKETT (by Telephone):** Or, well, if  
11 you collected it within two hours.

12 **DR. ZIEMER:** All right, two hours.

13 **MS. BRACKETT (by Telephone):** It's not going  
14 to have an impact on the dose calculation, but  
15 it will --

16 **DR. MAURO:** That's why it's a five. In  
17 other words it's got a five. It was a  
18 comment, an observation that the reviewer  
19 wanted to just point out and alert quite  
20 frankly. It's unfortunate that it surfaced to  
21 this degree. I don't think that it's  
22 important. So I don't think we need to go any  
23 further.

24 **MS. MUNN:** The next response we have is to  
25 OTIB-0019. It was rated a four.

1           **DR. MAURO:** Bob Anigstein, are you on the  
2 line?

3           **DR. ANIGSTEIN (by Telephone):** Sure am.

4           **DR. MAURO:** I believe this is the one we  
5 talked about this morning or yesterday.

6           **DR. ANIGSTEIN (by Telephone):** Yes, yes.  
7 OTIB-0019 actually falls into a very similar  
8 category to OTIB-0020 which we discussed at  
9 length earlier in terms of that it's a  
10 guidance. It's not really a guidance to the  
11 dose reconstructors as I understand it. It's  
12 a guidance to the site expert to create a  
13 separate TIB for each site which then will be  
14 used by the dose reconstructors.

15          **MS. BRACKETT (by Telephone):** Yes, that's  
16 correct.

17          **DR. ANIGSTEIN (by Telephone):** The problem  
18 we had -- just one second. The issue with the  
19 OTIB-0019 is that it gives a very  
20 straightforward methodology for taking the  
21 known data, the coworker data, and assigning  
22 to each data point, assigning it a percentile.  
23 You simply rank them.

24                   And the example they give is let's say  
25 you have ten data points. Then the lowest

1 value is given point 0.05 because 0.05 is  
2 halfway between zero and 0.1. So it gets a  
3 five percentile. The second one would get a  
4 15 percentile and so on up to the tenth which  
5 would have a 95<sup>th</sup> percentile. And, of course,  
6 if you have more data points you use a similar  
7 but finer gradation.

8 Then the OTIB instructs that these get  
9 plotted. Each one of these percentiles gets  
10 assigned a Z score. So by definition the 50<sup>th</sup>  
11 percentile gets a Z score of zero, and as a  
12 result of a normal distribution, the 84<sup>th</sup>  
13 percentile will have a Z score of 1 because  
14 that's one sigma, and all the others will have  
15 corresponding Z scores.

16 Then there is, I use something like  
17 Excel which probably people would normally  
18 use, to do a regression analysis, and you plot  
19 the best line, the best straight line through  
20 those points. And then from that line you  
21 would have two parameters, and one would be  
22 the 50<sup>th</sup> percentile would come out of that  
23 line. And the other one would be the slope of  
24 the line would be the geometric standard  
25 deviation. So all of this is straightforward

1 statistics, and it was originally reviewed by  
2 Dr. Harry Chlmynski who has a doctorate in  
3 statistics. And he found the statistics to be  
4 fine.

5 The point we did object to is it then  
6 goes on to say, well, make sure that it's a  
7 lognormal distribution because what you plot  
8 is the logarithms of the values of the doses,  
9 the doses or intakes. And to make sure it's a  
10 lognormal distribution, you calculate the R  
11 squared. And normally, in ordinary statistics  
12 when you have two independent, you have a  
13 measurement that has two values attached to  
14 it, two independent variables.

15 And you then do an R squared to  
16 determine the amount of correlation between  
17 these two variables. And if you have an R  
18 square of 0.9, that's considered a good  
19 correlation. If you have an R square of 0.7,  
20 it's reasonable and probably valid,  
21 acceptable.

22 That does not apply in this instance  
23 because you already have guaranteed that  
24 regardless of the form of the distribution by  
25 ranking the values and assigning a Z score to

1           each value, you've already guaranteed that  
2           there will be a monotonically increase in  
3           function. Meaning that each, any time you  
4           have value K, and then you have value K plus  
5           one, the value will be higher, and it will  
6           also have a higher Z score.

7                        So whether there's a straight line or  
8           not, you'll always have this curve that starts  
9           at the bottom left and goes to the top right.  
10          So you will always get a good R square even --  
11          and Harry Chlmynski quotes some papers and the  
12          discussion this morning -- that they made up  
13          some perfectly arbitrary distributions, and  
14          they always get an R square of 0.98. That's  
15          the authors of this work that refers to. So  
16          this is simply not a valid test on whether or  
17          not you have a lognormal distribution.

18                       There are other tests. There's a  
19          number of statistical tests that can be  
20          applied to determine how likely it is that a  
21          distribution is lognormal, but they are not  
22          mentioned here in this OTIB. So that's the  
23          brunt of the criticism.

24                       And then the nature of the outcome is  
25          that if you're trying to take, say, the 95<sup>th</sup>

1 percentile of that from the distribution as  
2 opposed to the real 95<sup>th</sup> percentile, meaning  
3 that you had a hundred values, then the 95<sup>th</sup>  
4 percentile would be the 95<sup>th</sup> value starting  
5 from the bottom, you might get very different  
6 values if the thing sufficiently deviates from  
7 lognormal. It even has a high-end tail. So  
8 that's our objection to this.

9 **MS. BRACKETT (by Telephone):** But there is  
10 another test that isn't documented in the OTIB  
11 where we do, the information that's included  
12 on the spreadsheet that comes out of this,  
13 there's the fitted 50<sup>th</sup> and 84<sup>th</sup> percentiles,  
14 and there's the actual, you know, looking at  
15 the ranking to look at a comparison of them to  
16 see if they are very different.

17 **DR. ANIGSTEIN (by Telephone):** Yes, I  
18 noticed that. That they do, that it does  
19 specify, as a matter of fact, it doesn't even,  
20 the OTIB does not make clear. Thus, it does  
21 give you two different ways of calculating the  
22 84<sup>th</sup> percentile and the GSD which was the ratio  
23 of the two. And it's not clear to the reader  
24 why there are two different ways, but as you  
25 explained, that should be, one with inside

1 knowledge would know, yeah, that must be what  
2 they're doing. But that should be made  
3 clearer in the OTIB, I think.

4 **MS. BRACKETT (by Telephone):** There is a  
5 procedure that gives more details of doing the  
6 calculations, Procedure 95, that was written  
7 kind of a sub-document to this one that gives  
8 the person running the statistics the specific  
9 details of how to do it. I'm not sure if  
10 that's covered in there, but that does go  
11 along with this and does give more detail.

12 **DR. ANIGSTEIN (by Telephone):** I see. Okay,  
13 that's good to know. I do not believe we  
14 reviewed that procedure.

15 **MS. BRACKETT (by Telephone):** It came a bit  
16 after this one.

17 **DR. ANIGSTEIN (by Telephone):** I see. Okay,  
18 that would explain it.

19 **MS. MUNN:** So what is our action here? Is  
20 someone going to verify that the follow-on  
21 procedure, that was the issue?

22 **MS. BRACKETT (by Telephone):** It won't  
23 settle the issue because it doesn't address  
24 other, it doesn't address any other tests. It  
25 just does give a little more information about

1                   how the statistics are run.

2                   **DR. ZIEMER:** Liz, is that procedure the one  
3                   called Generating Summary Statistics for  
4                   Coworker Bioassay Data?

5                   **MS. BRACKETT (by Telephone):** That sounds  
6                   like the right title, yes.

7                   **DR. ANIGSTEIN (by Telephone):** I mean, as a  
8                   sort of a lay statistician I would just  
9                   mention that there's something called a W test  
10                  which is one that can be applied to determine  
11                  lognormality, and there are several others.

12                  **DR. NETON:** There's also the Kolmogrov  
13                  Smirnov test.

14                  **DR. ANIGSTEIN (by Telephone):** Yes.

15                  **DR. NETON:** It seems to me we need to go  
16                  back and just look at this again, and in light  
17                  of what Bob just talked about with the R  
18                  squared values.

19                  **MS. BRACKETT (by Telephone):** Sure. One  
20                  thing I will mention is we've discussed many  
21                  times what would be the alternative to  
22                  lognormal. This is to determine if it's a  
23                  lognormal, but we haven't really come up with  
24                  any better alternative to what it could be.  
25                  Because then if you determine it's a different

1 distribution, then you have the issue of how  
2 you enter the output into IREP since it only  
3 has a limited number of distributions.

4 **MS. MUNN:** Can we have an offline discussion  
5 of our technical people to see if you can  
6 resolve this?

7 **MS. BRACKETT (by Telephone):** Sure.

8 **MS. MUNN:** And report back to us at our next  
9 meeting. It would be very nice if the two of  
10 you could resolve whether there is, indeed, a  
11 problem or whether it is taken care of and  
12 just not obvious to the casual reader. I'll  
13 expect a report back at our next meeting.  
14 Okay? Can you do that?

15 **DR. ANIGSTEIN (by Telephone):** Fine by me.

16 **MS. BRACKETT (by Telephone):** Who's making  
17 the report?

18 **MR. HINNEFELD:** We will. We'll task around  
19 the program.

20 **MS. BRACKETT (by Telephone):** Okay.

21 **MS. MUNN:** Thank you.

22 **DR. ANIGSTEIN (by Telephone):** Excuse me. I  
23 didn't get the name of the lady who had just  
24 discussed the statistics.

25 **MS. BRACKETT (by Telephone):** This is Liz

1 Brackett with the O-R-A-U team.

2 **DR. ANIGSTEIN (by Telephone):** Liz Brackett.

3 **MS. MUNN:** The next response that we have  
4 has a rating of three, ORAU OTIB-0033, and we  
5 have a NIOSH response. The OTIB was developed  
6 to give guidance to the judgment the DRs must  
7 document their rationale for selected  
8 categories based on information in the  
9 worker's file. Is that acceptable to SC&A?

10 **DR. MAURO:** To step back a little bit on  
11 OTIB-0033. What this is is, unfortunately,  
12 this is part and parcel to a bigger score. It  
13 has to do with coming up with, when you don't  
14 have adequate bioassay data, and you don't  
15 have sufficient air sampling data, but you do  
16 have a Health Physics program in place whereby  
17 the DOE order is in effect. You've identified  
18 different sections of a facility that  
19 radioactively contaminated area, airborne  
20 contamination area where you have a degree of  
21 control over access to areas with airborne  
22 radioactivity.

23 That's the setting. That is, that  
24 we're in a situation where you have in place a  
25 well documented radiation protection program.

1 Now stay with me for a minute. The idea here  
2 is, I think this is an important issue because  
3 it goes to the fundamental approach for  
4 creating surrogate data or surrogate approach  
5 to doing dose reconstruction where when you  
6 have very limited information about the  
7 exposure a worker may have experienced -- and  
8 certainly if I'm mischaracterizing it, help me  
9 out -- but and so what happens as follows.

10 So we have a facility that has a  
11 robust radiation protection program, then a  
12 degree of confidence that access to areas with  
13 elevated airborne radioactivity is controlled.  
14 Under those circumstances one could argue that  
15 it's very unlikely that anyone working at that  
16 facility will have entered an area for  
17 protracted periods of time where the  
18 concentration of the radioactivity in the air  
19 is above the maximum physical concentrations,  
20 the MPCs. So that's a given as we have this  
21 control in place.

22 Now, one could argue that, all right,  
23 if we want to place, here we have a worker.  
24 We want to place an upper bound on what he  
25 might have inhaled. We have a lot of options.

1 We say, listen, one of the things we can do,  
2 we don't have any bioassay data for him, but  
3 one thing we can say with a high degree of  
4 confidence is that because he worked for this  
5 facility at a time when there was a robust  
6 radiation protection program, there's no way  
7 he was exposed 2,000 hours per year to  
8 radionuclides at a level in the air that were  
9 above one MPC for the worst radionuclide, like  
10 Strontium-90. So that sort of puts a lid on  
11 it. That sort of sets the stage. That's  
12 OTIB-0018 by the way.

13 Then you said, well, hold it. Hold  
14 it. So we're not going to assume that a  
15 worker was exposed 2,000 hours per year at an  
16 MPC of the worst possible radionuclide. We've  
17 got to find a way to tone it down to make it a  
18 little bit more realistic so that we can make  
19 decisions regarding compensation and denial on  
20 a more realistic basis. And that's where 33  
21 comes in.

22 Thirty-three comes in and says, well  
23 listen, this is what we're going to do.  
24 Depending on the year and a number of other  
25 parameters that characterize this person's job

1 function and the years in which he worked,  
2 we'll assume that he's at some percentile of  
3 an MPC of exposure. That is maybe ten percent  
4 of an MPC or five percent of an MPC.

5 So there's an overall strategy that's  
6 adopted here that brings you to a place that  
7 says even though we don't have bioassay data  
8 for this particular worker, we probably can  
9 place a plausible upper bound on what he may  
10 have chronically been exposed to while working  
11 at this facility at this time. And it  
12 effectively means that we'll take the MPC to  
13 the worst radionuclide he might have been  
14 exposed to, and then, depending on a number of  
15 parameters related to his job function and the  
16 year that he worked, we're going to assume  
17 he's at some percentile of the MPC and then do  
18 a dose calculation.

19 Now, the criticism that we had related  
20 to this is there's a lot of judgment here, and  
21 not only that, it's confounded by some of the  
22 criticisms we have with OTIB-0018. So it's  
23 hard for us to discuss OTIB-0033 in a vacuum  
24 because OTIB-0033, all it really says is,  
25 well, apply this adjustment factor to OTIB-

1           0018, you know, the MPC, under these  
2           circumstances or use this adjustment factor.

3                   So our concern, and this is one way  
4           perhaps to really get our arms around a  
5           multiple set of OTIBs. The whole idea that  
6           doses can be reconstructed for workers without  
7           any bioassay data based on a premise that  
8           there was a radiation protection program under  
9           DOE Order 15, whatever the DOE Order is. And  
10          thereby there's assurance that their access  
11          controls were there. And then given that,  
12          that in itself is, there's some questions that  
13          we should discuss.

14                   But then superimposed on that is the,  
15          what I consider to be, the somewhat arbitrary  
16          selection of adjustment factors like 0.1 or  
17          0.5 of an MPC based on a variety of parameters  
18          that one could assign to that worker. And so  
19          our concern goes toward that. That is,  
20          there's an awful lot of judgment. There's an  
21          awful lot of presumptions embedded in what I  
22          call the OTIB-0018-slash-OTIB-0033 strategy  
23          for reconstructing internal doses.

24                   And I guess I'd have to put it back  
25          out to NIOSH whether or not I've accurately

1 characterized that combo of OTIBs and your  
2 sense on whether or not that is, in fact, a  
3 weakness that you see also.

4 **MS. BEHLING (by Telephone):** Excuse me,  
5 John. Can I just add to some things that you  
6 said?

7 **DR. MAURO:** Please, yes.

8 **MS. BEHLING (by Telephone):** I'd just like  
9 to make it very clear to the work group.  
10 OTIB-0033, as you indicated, applies a graded  
11 approach to the OTIB-0018. And OTIB-0018 is  
12 an overestimating approach that was designed  
13 to replace or that is used, in fact, quite  
14 often right now, OTIB-0002. And OTIB-0002,  
15 the difference now is OTIB-0002, you were not  
16 allowed to compensate using OTIB-0002. But  
17 the combination of OTIB-0033, this graded  
18 approach along with the OTIB-0018 does allow  
19 that dose reconstructor to compensate a case.

20 **MS. BRACKETT (by Telephone):** That's not  
21 correct.

22 **MS. BEHLING (by Telephone):** Okay.

23 **MS. BRACKETT (by Telephone):** It's still an  
24 overestimating technique, and it's not  
25 intended to use for compensable cases.

1           **MS. BEHLING (by Telephone):** Thirty-three is  
2 not?

3           **MS. BRACKETT (by Telephone):** No.

4           **MS. BEHLING (by Telephone):** Because the  
5 title of 33 I thought is Assumption for  
6 Processing Best Estimate Cases, but it's still  
7 not to be used for compensating? Is that  
8 correct?

9           **MS. BRACKETT (by Telephone):** Well, that's a  
10 good point because it brings in OTIB-0014  
11 also, which can be used for best estimates.  
12 But the overestimating assumptions are still  
13 not to be used for compensable cases. It was  
14 written during the time where for a short time  
15 we were doing compensable cases based on these  
16 types of things, but that's not the case now.

17           **MS. BEHLING (by Telephone):** Okay, because I  
18 have seen cases where they've applied, and I  
19 was under the impression that the OTIB-0033,  
20 once you apply that graded approach, you could  
21 compensate because I have to go back and  
22 convince myself that I was quite sure that  
23 we'd seen some cases where there have been  
24 compensations using OTIB-0033.

25           **MS. BRACKETT (by Telephone):** Well, as I

1           said, when it was first written there was a  
2           short time when that was being done, but that  
3           should not be the case now. That's not the  
4           intent of it.

5           **MS. BEHLING (by Telephone):** Okay, maybe  
6           that should be something that's clearly stated  
7           in this OTIB-0033 at this point.

8           **DR. MAURO:** That's important. Our  
9           understanding, and even I think the language,  
10          in 33 was, that was the reason why 33 was  
11          written so that you would not, that you had a  
12          way to reconstruct doses a little bit more  
13          realistically and compensate or deny --

14          **DR. ZIEMER:** It does have best estimate in  
15          the title.

16          **DR. MAURO:** Yeah, so I guess that needs to  
17          be fixed. If, in fact, 33 in combination with  
18          18 is, in fact, being used as an upper bound  
19          for denial only, that's very much different  
20          than our understanding.

21          **MS. MUNN:** How can we fix it?

22          **MR. HINNEFELD:** Well, there might be two  
23          things to fix here. One is to sort out the  
24          debate and, if necessary, change the title on  
25          this OTIB. If it's strictly an overestimating

1 OTIB, it shouldn't have this in the title. I  
2 think there may be some historical  
3 explanation. I think I might know what the  
4 history is or why this was used in  
5 compensating cases. But I want to make sure I  
6 get it right so I'll do that.

7 And then the other issue may be a  
8 broader discussion of the combination of 18  
9 and 33 and what ever, you know, take a look at  
10 the combined issues on those and see what we  
11 can do in terms of a combined response and why  
12 we believe the approach is a good approach. I  
13 mean, that might be the other thing to do.

14 **MS. MUNN:** So you're going to do a two-  
15 pronged review. One to see whether changes  
16 need to be made directly to 33, and also to  
17 verify that it is being property incorporated  
18 into the overall activity of dose  
19 reconstruction.

20 **MR. HINNEFELD:** Well, in combination with 18  
21 what we want to do is take the finding, review  
22 18 as well. Review the findings for 18 and  
23 review the findings for 33 and see what we can  
24 come up with in terms of a consistent  
25 response.

1           **DR. MAURO:** And within that context we had a  
2 much more serious (unintelligible) 18 than we  
3 did with 33. In other words, 33 there was  
4 this adjustment factors which you could say  
5 were reasonable, you know, applying this  
6 adjustment. Because people aren't going to be  
7 exposed to the MPC, but it's hard to escape  
8 18. Because, see, 18 is interesting.

9           It says that, listen, we have a  
10 general air sampling so that we know what the  
11 airborne radioactivity is in different areas  
12 in the plant. And on that basis we could say  
13 with a high level of confidence that if a  
14 person, you know, a person's not going to be  
15 allowed to enter an area with concentrations  
16 in air that approach or exceed.

17           In fact, in recent times I believe  
18 respiratory protection is required when you're  
19 ten percent of the MPCs. So in recent times  
20 it's not going to happen. But our problem,  
21 and you'll see we're sort of crossing into 18  
22 but you can't help but do it, is that general  
23 air samplers, we are finding that there's no,  
24 there's very little relationship between the  
25 Becquerels per cubic meter you get off of

1           general air sampler and the Becquerels per  
2           cubic meter that you get off a lapel.

3                   And therefore, we question whether or  
4           not you could even use OTIB-0018's data that  
5           you would get from a general air sampler as a  
6           reliable indicator of what a person's exposure  
7           might be. And Hans has done some research on  
8           this, and when we get to 18, you'll see that -  
9           - I think this is an important concept --  
10          general air samplers have very serious  
11          limitations when it comes to dose  
12          reconstruction, and we the information in the  
13          review of 18 in this very document we're  
14          looking at now.

15                   And I think that is a very important  
16          subject that needs to be discussed. Now  
17          whether you want to do that now or when we get  
18          to it, but they're linked. The two are linked  
19          and 18 really is where we have the greatest  
20          concern, more than we have with 33.

21                   **MS. MUNN:** We established NIOSH is going to  
22          look at it and see how the two mesh so we'll  
23          expect that report as well.

24                   The next response we have is not even  
25          rated, but it has responses for OTIB-004. Was

1                   whether to allow further reassignment of the  
2                   parameters not available.

3                   **MR. HINNEFELD:** This is a question about the  
4                   use of breathing rate. You know, 1.2 is kind  
5                   of what's normally used in calculating, in  
6                   using breathing rate. So the question is does  
7                   a person breathe hard for eight hours a day  
8                   and includes some portion of heavy breathing  
9                   and some portion of that. So that's  
10                  incorporated. Some amount of heavy breathing  
11                  is incorporated into the one-two meter.

12                  **DR. MAURO:** I don't know if it was given a  
13                  score.

14                  **MS. MUNN:** No, it doesn't have a score.

15                  **DR. MAURO:** There may be a five here. In  
16                  other words this --

17                  **MS. MUNN:** Well, we have a whole gaggle of  
18                  comments on OTIB-004, and since we have a half  
19                  dozen, actually seven, eight, nine, ten, we  
20                  have ten comments on four. And it would be  
21                  very nice if we could take a moment, read  
22                  through NIOSH's response and see if they're  
23                  adequate for the concerns that were raised  
24                  when the findings were first put forward.  
25                  Let's take a moment to take a look at those.

1           **DR. ZIEMER:** And the reason these weren't  
2 rated is?

3           **DR. MAURO:** I'm trying to find it.

4                   Kathy, by any chance -- I'm just  
5 trying to find the page number so I can take  
6 another look at four because I was part of the  
7 review team.

8           **MS. MUNN:** It's on 15.

9           **DR. ZIEMER:** In their report it's pages 138  
10 to 40.

11           **MS. MUNN:** Thirty-seven, 38 and all the way  
12 down to 45. So there's ten pages of report  
13 data.

14                   (Whereupon, the work group reviewed the  
15 report.)

16           **MS. MUNN:** So can we address and agree on  
17 any of these?

18           **DR. MAURO:** Yeah, I can go through them now.  
19 I was trying to get myself re-oriented.  
20 Mark's found them and Mark's found them pretty  
21 quickly.

22                   We'll start with the very first one on  
23 page 15, the third row down. This has to do  
24 with the breathing rate. We've been having  
25 this discussion on breathing rates for quite

1           some time. We recognize that 1.2 cubic meters  
2           per hour is the recommended and ICRP.

3                   However, at the same time one of the  
4           concerns that we raised -- and this came up on  
5           a number of occasions when we deal with AWE  
6           facilities -- and OTIB 004 is basically  
7           dealing with uranium facilities. Where our  
8           understanding is, this is pretty hefty, heavy-  
9           duty work. They've lifting, moving uranium  
10          logs and billets and rolling. So I guess this  
11          is a general observation regarding that class  
12          of work.

13                   AWE facilities that are doing uranium  
14          metal working. The physical activity is  
15          intense and so as a general comment whether or  
16          not that default assumption is, in fact, a  
17          good one when it comes to this class of  
18          workers. That's the concern. I think it came  
19          up before. On Bethlehem Steel I think we went  
20          with 1.7 cubic meters. Now whether or not you  
21          want to make it universal, that was our  
22          concern.

23                   **DR. NETON:** I think what happens here  
24          though, how much of an overestimate do you  
25          want. This is an overestimating technique.

1 We've already acknowledged this is an upper  
2 bound exposure, upper bound chronic exposure  
3 that requires an overestimate. How many  
4 layers does one want to put on top of these  
5 already overly estimating techniques.

6 **DR. MAURO:** Let's step back. What OTIB-004  
7 does, the really important heart of it, is  
8 what you want to do is you want to place an  
9 upper bound on what AWE worker might  
10 experience for the purpose of denial. I  
11 believe that's still the case. And when all  
12 is said and done what's done is they reviewed  
13 the literature on AWE facilities and how much  
14 uranium is in the air.

15 And they said, well, you know, looks  
16 like chronic exposure at 100 MAC is an upper  
17 bound, and we agreed with that. That's a good  
18 number. So I don't want to leave the  
19 impression that we didn't have a serious  
20 problem with this one. The commentaries are  
21 almost like what I would say, by the way, you  
22 may want to take a look at this. So with  
23 regard to inhalation though, 100 MAC we  
24 consider to be a solid value.

25 The other thing that's, that's very

1 important in OTIB-004 is that we're worried  
2 about external exposure. And what was done  
3 there is that they were assuming that, okay,  
4 here we have an ingot of uranium. And we're  
5 going to assume a person is standing one foot  
6 away from it 2000 hours per year. As far as  
7 we're concerned that is off the charts.

8 So I want to make sure that everyone  
9 here understands that when it comes to the two  
10 fundamental pathways by which workers are  
11 exposed. That is airborne dust floating  
12 during the uranium metalworking operation and  
13 the external exposure from being working  
14 adjacent to uranium. The methods used in  
15 OTIB-004 we consider to be valid.

16 Now we have the second order, that are  
17 commentaries. Given that context we can  
18 quickly go through, the first one had to do  
19 with the breathing rate. Jim, I hear what  
20 you're saying and I understand, and I have no  
21 problem with that.

22 **DR. NETON:** Probably just a little more  
23 because if you think about these 100 MAC  
24 values, it's more than likely these are  
25 already at the 95<sup>th</sup> percentile of a possible

1 range --

2 **DR. MAURO:** In fact, we did an analysis.  
3 It's about the 90<sup>th</sup> percentile.

4 **DR. NETON:** It's in the upper range. So if  
5 then if one is superimposed on top of that  
6 what we consider the best estimate of their  
7 inhalation. We've got this range of values of  
8 huge, I mean, way out there in the number of  
9 standard deviations involved with probably  
10 what would be the best estimate. When you  
11 look at it in that context these other  
12 modifiers are trivial corrections, John, in  
13 the overall --

14 **DR. MAURO:** I agree with you.

15 **DR. NETON:** If you go from 1.2 to 1.7 to  
16 modify the oronasal breathing pattern. The  
17 second order correction on something that's  
18 already been out there.

19 **DR. MAURO:** I agree with you.

20 But there are places where we do have  
21 some concerns on OTIB-004. Some are more  
22 important than others. One has to do with the  
23 recycled uranium. Embedded in OTIB-004 is,  
24 okay, at some of these facilities I'm going to  
25 have recycled uranium after 1952 or '53,

1                   whatever the date is.

2                   And again, we didn't give this a high  
3 rate, but the basis for the recycled uranium  
4 composition. Parts per million is not cited.  
5 I believe there was limited discussion, and I  
6 can see by your response you're currently  
7 looking at that. That is, I could see.

8                   We leave the breathing area and go  
9 down, I guess, toward the bottom of the page.  
10 I see an OTIB on recycled uranium is currently  
11 under development. So I guess what I'm  
12 hearing is that any questions we have related  
13 to the basis for the value selected in OTIB-  
14 004 as a default composition of recycled  
15 uranium. The basis for this is under  
16 development or has been developed since we did  
17 this review. That may be the case.

18                   **MR. HINNEFELD:** It is under development.

19                   **DR. MAURO:** It is under development. So  
20 that's where we are on that. Since it's under  
21 development I guess then the question becomes  
22 once that's done there needs to be a level of  
23 assurance that, yes, the values in OTIB-004  
24 are, in fact, compatible and consistent with  
25 what one would consider to be an upper bound.

1 I told Jeff I recently looked at some of the  
2 plutonium recycled numbers on ten parts per  
3 billion. In other words, no AWE facility ever  
4 received any uranium that was greater than, I  
5 believe, ten parts per billion of uranium.  
6 That was sort of like a spec. Now that's not  
7 with Paducah or anything like that but AWE's  
8 that big metalworking. And so I've since  
9 learned that. Now I haven't gone back to  
10 check to see if that's the number you have  
11 here.

12 **MR. HINNEFELD:** I don't even know.

13 **DR. MAKHIJANI:** But 10 ppb is in TIB-004,  
14 but I have a question. Was TIB-004 restricted  
15 to metalworking only and not the chemical  
16 facilities where you might have had the  
17 raffinate problems and concentrations and out-  
18 of-spec plutonium?

19 **MR. HINNEFELD:** It was at one time. Joe  
20 Guido's on the line. He might be able to shed  
21 some more light on this.

22 **MR. GUIDO (by Telephone):** Yeah, we're not  
23 the, there's uranium ore raffinates that's not  
24 being used.

25 **DR. MAKHIJANI:** No, no, it wasn't about ore

1 raffinates, but would it be --

2 **MR. GUIDO (by Telephone):** Uranium ore or  
3 raffinates?

4 **DR. MAKHIJANI:** Would it be used at some  
5 facility where any chemical processing of  
6 uranium was happening? Any for other than  
7 metal was present?

8 **MR. GUIDO (by Telephone):** There's a matrix  
9 in the back of OTIB-004 that shows the  
10 facilities, and it's applicable to, and we can  
11 look through those and see. I'm not sure what  
12 you mean by other processing.

13 **DR. NETON:** It must have been. It had to be  
14 pure uranium I think because otherwise the 100  
15 MAC for uranium wouldn't apply because, you're  
16 right.

17 **MR. GUIDO (by Telephone):** It's a uranium  
18 facility, but I'm not sure --

19 **DR. MAKHIJANI:** I don't know these well  
20 enough to be able to say --

21 **MR. GUIDO (by Telephone):** -- uranium metal  
22 facilities --

23 **DR. MAURO:** Well, I can say this. When I  
24 reviewed the literature that stands behind the  
25 100 MAC, amongst the literature was, for

1 example, Harshaw Chemical Company which did  
2 have levels well above 100 MAC, and Harshaw  
3 was refining uranium. In other words it  
4 wasn't limited to just metalworking. So it  
5 wouldn't be bounding.

6 **DR. NETON:** We kind of looked at these.  
7 There was an upper tier called the Big Five or  
8 Seven. And there were a number, and they were  
9 big producers, Mallinckrodt, Harshaw, but we  
10 know immediately below there was a whole  
11 second tier that didn't fall under that  
12 category at all, and that's where the intent -

13 -

14 **DR. MAURO:** And within that context I would  
15 agree that 100 MAC is the right number, but --

16 **DR. NETON:** They're higher than 100 MAC air.

17 **DR. MAURO:** But this time we have an average  
18 now.

19 **MR. SHARFI:** But Harshaw's not one of the  
20 listed sites.

21 **DR. NETON:** It's not. It wouldn't be. I  
22 think it's even discussed somewhere in that  
23 TIB that the rationale was that they were  
24 second tier, called mom and pops, minor  
25 players in the uranium cycle there. It would

1 apply to the original producers.

2 **DR. MAURO:** That was my understanding also  
3 when I looked at it, and that's why I came  
4 down with 100 MAC as being certainly a  
5 reasonable upper bound for the purpose of  
6 denial.

7 So to go back, we're up to the part of  
8 the bottom of page 15 dealing with recycled  
9 uranium. And the bottom line on that is as  
10 long as, the way we see it, as long as the  
11 selected values in OTIB-004 for default do, in  
12 fact, represent a plausible upper bound, a lot  
13 might be contained in the recycled uranium at  
14 metalworking facilities. That's fine because  
15 right now when I looked at it, I wasn't able  
16 to make that judgment. Since doing this  
17 review which was, I believe, about a year ago,  
18 I have learned a bit about recycled uranium.  
19 And I guess the question is if they used ten  
20 parts per billion of it, that's probably the  
21 right number. So that solves that. So maybe  
22 we solved the problem. It's covered. Ten  
23 parts per billion. Now I don't know about the  
24 others. I don't remember the neptuniums and  
25 the techniciums where they came in. But

1 plutonium was always the driver anyway because  
2 we're dealing with the inhalation pathway  
3 here.

4 **DR. MAKHIJANI:** Well, that's not entirely  
5 true, John. And neptunium could be sometimes.  
6 It depends on the circumstances. Would that  
7 be right in your experience?

8 **DR. MAURO:** Yeah, okay.

9 **DR. NETON:** It's not a huge dose  
10 contribution. We limited it to ten parts per  
11 billion. I think it's what, like ten percent  
12 of the total dose or something like that.

13 **DR. MAKHIJANI:** That's correct.

14 **DR. NETON:** That was the basis for that ten  
15 parts per billion.

16 **MR. GUIDO (by Telephone):** This is Joe. The  
17 numbers in there is based on ten ppb  
18 Plutonium-239. It's in Table 3-1. It gives  
19 you the ppb and the fraction.

20 **MS. MUNN:** So to try to wrap this up the  
21 only real outstanding issues of major  
22 significance of 004 have to do with TIB-0053  
23 currently under development. When that  
24 occurs, when that's done, do you have any feel  
25 at all for what the timeline looks like?

1                   **MR. HINNEFELD:** I don't, no.

2                   **MS. MUNN:** When OTIB-0053 is done, it will  
3 be made available to all of us, and SC&A will  
4 take a look at it to see if it resolves the  
5 issues that we have listed here, all of them  
6 with respect to TIB-004. Is that the correct  
7 action?

8                   **MR. HINNEFELD:** Well, there's an issue of  
9 oronasal breathing in here which is --

10                  **DR. NETON:** I think that falls into the same  
11 category as breathing, you know. In fact,  
12 we're going to discuss this at the Board  
13 meeting coming up time permitting, the  
14 oronasal --

15                  **DR. ZIEMER:** As a practical matter, for  
16 example, on heavy breathing, it can't  
17 practically be carried out on a chronic basis,  
18 can it? There's some limit as to how long a  
19 person --

20                  **DR. MAURO:** You hyperventilate.

21                  **DR. ZIEMER:** Yeah, do we have a similar  
22 figure on even moderate or what's the  
23 intermediate? I mean, the light breathing  
24 includes some heavy and moderate, but as a  
25 practical matter I'm not sure a person can

1 engage a moderate level for --

2 **DR. NETON:** That's correct.

3 **DR. ZIEMER:** -- eight hours a day or ten  
4 hours a day or whatever it is.

5 **DR. NETON:** I've got a report that's in  
6 draft form where we've gone through and looked  
7 at a number of these global issues. I'm kind  
8 of getting ahead of the agenda, but it is true  
9 that in the literature you cannot breathe at a  
10 heavy rate for a sustained pace otherwise you  
11 hyperventilate. And that's the data on that.

12 **DR. ZIEMER:** But those workers have to stop  
13 and rest if only to get their breathing back  
14 to normal.

15 **DR. MAURO:** Before they pass out.

16 **MS. MUNN:** Hopefully, we'll hear a lot about  
17 that after lunch, right?

18 **DR. NETON:** One or two sound bytes more than  
19 that. I could talk more about the oronasal  
20 than the ingestion pathway.

21 **DR. ZIEMER:** Even if you could do moderate  
22 breathing eight hours a day, that's not going  
23 to change the final number by more than a few  
24 percent anyway.

25 **DR. NETON:** It would be pretty much

1                   proportioned to the breathing rate if you had  
2                   an air model. Now, this, of course, is not  
3                   relevant when you have a bioassay-driven  
4                   calculation. It's only in the air models  
5                   where it becomes a possible problem. But it  
6                   could change the numbers now 20 percent, 30  
7                   percent. But again, I could talk about that  
8                   when we get into the other issue I think.

9                   **MS. MUNN:** I think we should because looking  
10                  at the time, I had hoped we would be able to  
11                  get through the OTIBs.

12                 **DR. MAKHIJANI:** I'm just gong to add a  
13                 request about this particular one. Could we  
14                 confirm that we're only dealing with metal  
15                 facilities in this TIB? Because otherwise I  
16                 think --

17                 **DR. NETON:** Yeah, I agree with you. If it's  
18                 being applied to facilities that process  
19                 (unintelligible). Now it could be a facility  
20                 that processed pure uranium materials and  
21                 dissolved it and --

22                 **MR. HINNEFELD:** Unless it was recycled.

23                 **DR. NETON:** Right.

24                 **MR. HINNEFELD:** Because if it was recycled  
25                 there's another complication.

1           **DR. MAKHIJANI:** Just to clear up that  
2 potential, it doesn't look like non-metal  
3 facilities, but just to confirm that.

4           **MR. GUIDO (by Telephone):** The document  
5 mentions, I mean, the Sections 3.0 is uranium  
6 metal handling facilities, I mean, it's all  
7 here in forged uranium metal handling  
8 facilities.

9           **DR. NETON:** Yeah, I'm pretty sure it's one  
10 of the two. I can't think of anybody outside  
11 the big original ones that actually did any  
12 ore processing.

13           **MR. GUIDO (by Telephone):** I was looking for  
14 a caveat in it that says, I mean, I think it,  
15 I'm trying to read through to see what exactly  
16 it says that you can't do it. I know all the  
17 sections it's talking about uranium metal  
18 facilities. That was the understanding;  
19 that's what this was for.

20           **MS. MUNN:** But the current wording  
21 identified metal --

22           **DR. MAKHIJANI:** My only question was does it  
23 exclude, that it should, with these numbers,  
24 it should exclude chemical processing of  
25 uranium. And I haven't read the whole thing

1 recently, but maybe that caveat should be in  
2 there if it's not in there.

3 **MR. GUIDO (by Telephone):** That's what I'm  
4 looking for, an exclusion.

5 **DR. NETON:** Well, I mean, the list is there,  
6 and by definition, and it's excluded if none  
7 of those are chemical facilities. We'd have  
8 to look through and make sure.

9 **DR. MAKHIJANI:** That's the only request that  
10 I have.

11 **DR. WADE:** We captured.

12 **MS. MUNN:** So NIOSH is going to look at it  
13 to assure that it's metal only.

14 **DR. WADE:** And excludes chemical processing.

15 **MS. MUNN:** All right.

16 **DR. MAURO:** There are a couple of additional  
17 issues related though that we would probably  
18 want to close out because we're almost there.

19 **MS. MUNN:** Good.

20 **DR. MAURO:** On page 16 of the matrix,  
21 starting on the one, two, the third row from  
22 the bottom, there are two concerns that are  
23 raised. One has to do with the medical X-  
24 rays. In effect, what's happened here is we  
25 expressed concern that, and this is a cross-

1 cutting issue, the approach that is used right  
2 now for doing dose reconstructions for medical  
3 surveillance programs where workers get their  
4 initial X-ray, and then every year they get an  
5 X-ray.

6 We have a standing concern regarding  
7 the protocol in, I guess it's OTIB the work  
8 that he did. I forget the number. We're very  
9 much in agreement with the default set of  
10 numbers that are being used for her  
11 examination. In other words there's a  
12 coworker table that says her examination for  
13 breast, lung or whatever, here's the dose.  
14 And it gives it for chest X-ray, lateral and  
15 also fluoroscopic. So the unit exposures, we  
16 looked at that. We had one of our  
17 specialists, a fellow named Harry Pettingale\*.  
18 We looked very carefully at that.

19 The overarching concern we have though  
20 is that there are issues related to retakes  
21 whereby multiple measurements are made. And  
22 then there's a general philosophy I believe  
23 that has been embraced, and maybe you've  
24 already resolved it your satisfaction, that  
25 there's a lot of other opportunities for

1 workers to receive X-rays during the course of  
2 his employment that were not taken into  
3 consideration.

4 And in our review, I guess it's OTIB-  
5 009, I think its, no, it's not OTIB-009. Our  
6 review of OTIB-0060, 61. Procedure where  
7 we've identified the particular issues or  
8 questions that we've raised. So anyway, it  
9 emerges here again because for all intents and  
10 purposes in this OTIB you've adopted that. In  
11 other words this OTIB-004 when it comes to a  
12 medical section adopts that procedure. So  
13 thereby the comments we have on the medical  
14 procedure carry over to this also.

15 Whether or not it's appropriate to  
16 discuss here, I just want to alert the Board  
17 that that, there are a series of questions and  
18 concerns we have related to medical X-ray dose  
19 reconstruction and them already delineated in  
20 our review of the applicable OTIB that also  
21 have applicability here. And maybe we'd leave  
22 it at that.

23 **MR. HINNEFELD:** I think Procedure 61 is on  
24 the --

25 **DR. MAURO:** It's on the agenda.

1           **MR. HINNEFELD:** It'll go beyond OTIB-004 --

2           **DR. MAURO:** It'll go cross --

3           **MR. HINNEFELD:** -- so we can address that --

4           **DR. MAURO:** -- we'll address that later.

5                         Finally, there are a series of  
6           concerns we have that after you shut down,  
7           okay, you finish doing your metalworking  
8           operation, and you've got residual  
9           radioactivity on surfaces and then there's  
10          going to be exposure to the residual  
11          radioactivity. There's a method that's been  
12          adopted here that has in the end it comes out  
13          with a good number.

14                        In other words the dust loading that  
15          the person is chronically exposed to from  
16          resuspension after he goes, what in effect is  
17          done here by the way, they said, listen, we  
18          know we're going to go with the 100 MAC during  
19          operations. But then once operations stop,  
20          we'll assume that what's in the air the next  
21          day when you stop work is at 50 MAC, and then  
22          it declines at one percent per day.

23                        Then you've got time-integrated  
24          exposure. That goes away. But from  
25          resuspension, here's the amount that you

1 inhale. I'm thinking back now that that ended  
2 up with a result that seems reasonable because  
3 we looked it. We came at it from another  
4 perspective and checked some numbers.

5 And Bob Anigstein's probably on the  
6 line. He's the one who checked it and said in  
7 the end you come up with a time-integrated  
8 intake from the residual radioactivity that  
9 seems to be appropriate, reasonable and  
10 bounding.

11 But mechanistically, taking 50 MAC as  
12 your starting point and then the one percent  
13 per day sort of, the way we look at it,  
14 fortuitously ended up with a result of the  
15 time-integrated intake during the residual  
16 activity period was a pretty good number. I  
17 would say that the fundamental assumption upon  
18 which it's based really did not have a basis,  
19 you know, the 50 MAC and then the one percent  
20 per day. And so in a funny sort of way we  
21 agree with the outcome, but the method to get  
22 there was troubling to us.

23 **MR. HINNEFELD:** I think residual is one of  
24 those issues that's now one of our global  
25 issues.

1           **DR. MAURO:** This is different than the way,  
2 in all the other the residuals is across the  
3 board. In fact, I'm looking at TBD-6000 right  
4 now, and it's addressed there. In fact, it's  
5 addressed everywhere. And by and large the  
6 method that keeps being used is this method is  
7 one that was used.

8           There's another method that's used at  
9 again cross-cutting is this idea that you have  
10 dust in the air at some level, and that it's  
11 falling. And the reason why surfaces get  
12 contaminated is the dust is falling at its  
13 terminal settling velocity for five micron  
14 AMAV particles which is 0.0075 meters per  
15 second.

16           Now one of our recurring problems is  
17 that the activity -- and I think you solved  
18 the problem at Bethlehem Steel. In other  
19 words you abandoned that approach and have  
20 come up with an empirical relationship that  
21 works. And what we keep seeing over and over  
22 again in so many different places that 0.0075  
23 deposition rate that's still everywhere. So I  
24 guess --

25           **DR. NETON:** Stu's right. That's an

1           overarching issue that was identified at  
2           Bethlehem Steel. We dealt with it within  
3           Bethlehem Steel, but we committed it might be  
4           in the wrong place. It was committed in the  
5           Bethlehem Steel closeout that we would go back  
6           and look at this issue at other sites. And in  
7           fact, we haven't addressed it here. So it's  
8           still here.

9           **DR. MAURO:** I think that concludes the  
10          concerns that were raised on OTIB-004.

11          **MS. MUNN:** All right, we have action items  
12          recorded for it, and I'll get them out to you.

13                 Right now it is lunch time. I had  
14          hoped to be able to get at least a few words  
15          in about all of the OTIBs and any comments  
16          that have been made for the PROCs. But as  
17          stated before, we have more on our plate than  
18          we can possibly handle today. And some of the  
19          items that we have listed for the afternoon  
20          are really crucial for us to at least touch  
21          on.

22                 It's my suggestion that at this  
23          juncture we stop for lunch, and that we try to  
24          follow the rest of the agenda that we've laid  
25          out following lunch with the expectation that

1 the next time we meet, we will, in addition to  
2 the action items we've listed, attempt to  
3 begin where we stopped here which is at the  
4 end of OTIB-004, pick up with OTIB-0018 and  
5 follow through the matrix from that point at  
6 our next meeting. Does anyone have any real  
7 grief with that?

8 **MR. HINNEFELD:** It won't compound that too  
9 much if we continue to generate responses  
10 those document findings we haven't generated  
11 responses for.

12 **MS. MUNN:** Au contraire, the more responses  
13 that we have the better.

14 Is that okay with everybody?

15 (no audible response)

16 **MS. MUNN:** All right, let's plan on doing  
17 that. Those of you who have action items for  
18 our period after lunch may want to take a look  
19 at them because we do want to try to go there  
20 if we can. And we already know that 52 is  
21 going to be a long discussion, probably  
22 requires more time than what we have here.  
23 But we want to make sure it is addressed.  
24 It's on everybody's to-do list right now so  
25 let's make sure we get to that. We'll talk

1 about global issues first. I don't think  
2 there's much to say about the ERs either.

3 **DR. WADE:** TBD-6000, that's on the agenda  
4 for next week's call so it would be good so it  
5 would be good if we could get a sense of where  
6 SC&A is.

7 How long are we breaking for?

8 **MS. MUNN:** We are adjourned for lunch. We  
9 will resume at 1:45.

10 **DR. WADE:** So we're going to break the line  
11 and at 1:45 or a little bit before we'll be  
12 back so dial in then. Thank you.

13 (Whereupon a break for lunch was taken from  
14 12:35 p.m. until 1:45 p.m.)

15 **NIOSH REPORT ON GLOBAL ISSUES**

16 **MS. MUNN:** The first item of business that  
17 we have following lunch is a NIOSH report on  
18 global issues. Jim, I do not, or Stu, who is  
19 going to do this.

20 **MR. HINNEFELD:** We're going to tag team  
21 this.

22 **DR. NETON:** We're going to tag team.

23 **MS. MUNN:** All right, that's good. I don't  
24 even have in front of me a list of what we've  
25 identified as global issues that you're

1 currently addressing.

2 **DR. NETON:** Well, that was my question. Do  
3 we want to speak to global issues as reflected  
4 in procedure reviews or there's an entire list  
5 which I'm not, frankly, prepared to talk about  
6 today.

7 **MS. MUNN:** Only as is applicable to the  
8 charge of this particular working group.

9 **MR. HINNEFELD:** There were three specific  
10 topics, residual contamination, ingestion and  
11 then the third was internal dose from fission  
12 products.

13 **MS. MUNN:** One more time.

14 **MR. HINNEFELD:** Residual contamination, how  
15 to reconstruct that, doses from ingestion, and  
16 then internal dose reconstruction from fission  
17 products intake.

18 **MR. HINNEFELD:** I sent shortly after the  
19 telephone meeting I sent to the work group,  
20 and I hope I sent a copy of the ORAU TIB, ORAU  
21 TIB-0054, which describes internal dosimetry  
22 from mixed fission products in the  
23 (unintelligible). I sent it without any  
24 commentary. And I in the interim have gone  
25 through it, and I can briefly describe what

1 the approach describes.

2 The authors essentially ran a computer  
3 simulation program that would simulate the  
4 burn up and activation of the fuel elements in  
5 the fuel and the housing, for lack of a better  
6 word, that was wet for exposure in something  
7 like four or five or a few designs of  
8 reactors. Hanford Reactor was one. FFTF\* was  
9 another.

10 Anyway, a selection of reactors with  
11 the thought that the reactors that were  
12 selected and were simulated in this fashion  
13 would represent essentially all of the  
14 reactors that you would encounter in the DOE  
15 system. They all fit into this grouping. The  
16 simulation with a code, I believe it was  
17 called origin, and it essentially simulates  
18 the burn up of the fuel and activation of the  
19 other elements in the production of fission  
20 products for runs at particular power levels  
21 for particular (unintelligible).

22 Having completed that the arrived at  
23 inventories of fission products and activation  
24 products which as you can imagine are very  
25 extensive. And then through a series of

1           assorted screening and evaluation techniques  
2           narrowed that number to worry about down to  
3           smaller and smaller groupings. The first  
4           value you take off, you take off the ones that  
5           have essentially inconsequential half life and  
6           don't have a radioactive daughter.

7                     You don't worry about radioactive  
8           daughters. Worry about how much of it was  
9           generated. Some of the fission products  
10          there's not very much there. And then to,  
11          some of them have fairly, I won't say benign,  
12          but a fairly low dose. And then finally worry  
13          about dose conversion factors to find the  
14          handful or so that are dosimetrically  
15          significant. And then once you have that  
16          handful of radionuclides that you're actually  
17          going to analyze, you're going to apportion  
18          the total activity that the person took in,  
19          you know, as quantified by gross beta or gross  
20          gamma bioassay for instance, quantify the  
21          total activity and spread among those  
22          dosimetrically significant radionuclides.

23                    Now in so doing you build in a lot of  
24          favorability and no raffinating because you've  
25          taken the activity that was really associated

1 with the less dosimetrically significant  
2 radionuclides, and you attribute it to the  
3 dosimetrically significant ones. So you're  
4 building quite a lot of favorability in doing  
5 that. And eventually you arrive at an  
6 essentially a suite of a handful or  
7 radionuclides and a marker radionuclide that  
8 you kind of feel it's your one.

9 And you can say, okay, if I've got so  
10 much Cesium-137, that means I have 50 percent  
11 of that other nuclide and 30 percent of  
12 (unintelligible). And then that's how you  
13 interpret and ascribe that beta or gamma  
14 radioactivity from the bioassay or premiere  
15 sampling into a selection of radionuclides for  
16 dose reconstruction.

17 Briefly, that's what it does. There's  
18 a lot, there are a lot of numbers and table in  
19 the TIB, and I think it would take quite a lot  
20 of review probably by SC&A or whomever you,  
21 whoever's assigned to do it to kind of follow  
22 through and interpret. It's not something, I  
23 don't think we can talk about it in any  
24 meaningful fashion. But if there's, you know,  
25 in order to deal with that issue of fission

1 product dose, yeah, dose from fission products  
2 since it's on the table, I think that's the  
3 way we would have to go. Is to say is this  
4 technique, is this a suitable technique.

5 And then further than that this  
6 document was prepared after some dose  
7 reconstructions were done at Savannah River  
8 because the issue originally surfaced in  
9 Savannah River dose reconstruction reviews.  
10 That's where it originally surfaced. And  
11 Savannah River was done before this TIB was  
12 prepared, but it's the technique and the  
13 thought process is the same. You take a  
14 dosimetrically significant radionuclide,  
15 ascribe the activity to that radionuclide, and  
16 then you have essentially provided at least a  
17 favorable aspect of what the intake was.

18 So the whole thing is wrapped up not  
19 in a review of OTIB-0054, but also in did  
20 those dose reconstructions from Savannah River  
21 use a suitable analog or a bounding analog of  
22 that approach although not quite as  
23 complicated.

24 Did I do it okay?

25 **MS. MUNN:** The attachments certainly appear

1 to be well presented in depth.

2 **MR. HINNEFELD:** The document's like 77 pages  
3 long, but almost 50 pages of that are just  
4 tables.

5 **DR. MAURO:** Stu, how is it intended, I know  
6 we have the OTIB-0018, the 33 that we're  
7 talking about. Where does this protocol fit  
8 into the grand scheme of coworker?

9 **MR. HINNEFELD:** Well, this would be for  
10 bioassay data that was recorded as total beta  
11 or gross beta, for instance, or total gamma.  
12 And there's even a way, there's apparently at  
13 one of the sites, I believe it was at Hanford,  
14 there was a certain chemistry that was done on  
15 bioassay samples that would eliminate some  
16 debate and keep this other suite -- yeah,  
17 chemical separation data. And so this even  
18 does that, and so if that's the data you have,  
19 you use one suite of numbers. If it's a gross  
20 beta number, you use a different suite of  
21 numbers. And if it's at total alpha number,  
22 you use a different suite of numbers. So you  
23 have the bioassay measurements which would  
24 give you an indication of what was being  
25 excreted, which model you use on, you know,

1 which model you use, I think, gets wrapped  
2 into which, you know, the select suite.

3 **DR. MAURO:** I know when I was looking at, I  
4 didn't review this document. I did get a copy  
5 of it. Transportability, I recall when I was  
6 looking at issues like that in a different  
7 context there certain radionuclides enter  
8 primary cooling, for example, of a reactor  
9 whether it's light-water reactor had greater  
10 propensity to escape. For example, as I  
11 recall Cesium-137 moves more rapidly than  
12 Strontium-90.

13 So the different radionuclides have,  
14 notwithstanding the differences in dose  
15 conversion factors and the differences in  
16 fission yield quantity after a certain amount  
17 of burn-up, there's another dimension which is  
18 the degree to which it's likely that that  
19 radionuclide is going to escape with the fuel,  
20 enter the primary coolant and become airborne  
21 through some leakage or by some means. And  
22 that's another sort of filter that could have  
23 to play here that may not make your approach  
24 even more conservative or less conservative.

25 **MR. HINNEFELD:** I believe it is addressed.

1 I only really, frankly just read this this  
2 week, and I believe it is addressed in a sort  
3 of a release fraction. Whereas, a volatile  
4 like iodine or tritium would have a one as a  
5 release fraction. Certain elements would have  
6 a 0.5 and some might have 0.1 or something  
7 like that. I believe it is.

8 **MS. MUNN:** Well, you impressed me by the  
9 sagacity shown by including 5.2.2.2. Any time  
10 you include the FSTF in your analysis, I find  
11 it --

12 **MR. HINNEFELD:** We got some brownie points  
13 on that.

14 **MS. MUNN:** Thank you so much. Is this one  
15 of the procedures that we have on your list,  
16 John?

17 **DR. MAURO:** No.

18 **MR. HINNEFELD:** I don't believe so.

19 **MS. MUNN:** It is not. I'm assuming then in  
20 order to fulfill our requirement of this work  
21 group it is incumbent upon us to suggest that  
22 this be included on the list. Is that the  
23 feeling of the other members of this body?

24 **DR. ZIEMER:** This just came out this year.

25 **MR. HINNEFELD:** It's pretty recent. It's

1 pretty recent.

2 **MS. MUNN:** It's brand new, yes.

3 **DR. WADE:** Are we approaching a new year to  
4 assign procedures to SC&A?

5 **MS. MUNN:** I believe we are. We've already  
6 looked at most of what you're going to be  
7 looking at next year.

8 **DR. MAURO:** Yeah, we have delivered all the  
9 procedures that we owe you people.

10 **MS. MUNN:** For this year.

11 **DR. MAURO:** This year, and in fact we've  
12 even tacked on this TBD-6000 as sort of an  
13 add-on because we have the resources to do it.  
14 Now this would be like the first of perhaps  
15 another set of 30 that might come in the next  
16 year.

17 **DR. WADE:** October first is not so far off.

18 **DR. MAURO:** Or we can try to work it in, but  
19 I am getting a little concerned that we might  
20 be straining the resources of Task Three.  
21 Because we were fortunate to have Task Three  
22 came in within budget, well within budget,  
23 which allowed us to add in the TBD-6000. To  
24 add this in also, you know, it's hard to say  
25 whether we can handle it.

1           **DR. WADE:** If it's the work group's  
2 preference, we could negotiate that. If you  
3 can wait until October 1<sup>st</sup>, we can do that as  
4 well.

5           **MS. MUNN:** I wouldn't expect that it would  
6 require being done in this fiscal year, but --

7           **MR. HINNEFELD:** In order to work on it  
8 though, you have to task them to it even  
9 though most of the work would occur next  
10 fiscal year.

11          **MS. MUNN:** That's probably the case. Paul,  
12 what's your feeling?

13          **DR. ZIEMER:** It seems to me we could, we're  
14 close to the starting fiscal year. You're not  
15 going to --

16          **MR. HINNEFELD:** Well, the fiscal year starts  
17 the day before the next work group meeting.  
18 We're meeting on October 2<sup>nd</sup>. The fiscal year  
19 starts October 1<sup>st</sup>.

20          **MS. MUNN:** That's correct.

21          **MR. HINNEFELD:** So you could make the  
22 assignment. You can make the tasking on that  
23 day at that meeting.

24          **DR. WADE:** Or we could do it now. I mean, I  
25 can handle it contractually. If you tell me

1                   you want this to be done next year, then we  
2                   could have the Board, if you like, react to  
3                   that on the call next week, and we could be  
4                   ready to go.

5                   **MS. MUNN:** My preference would be to have  
6                   this group recommend to the Board that this  
7                   particular, that OTIB-0054, be on the list for  
8                   the coming fiscal year. Is that --

9                   **DR. ZIEMER:** I agree with that. Is this  
10                  OTIB actually being used now? Or what's its,  
11                  has it been approved for use?

12                  **MR. HINNEFELD:** Yes.

13                  **DR. ZIEMER:** Then we need to get it in the  
14                  list.

15                  **DR. WADE:** And it's OTIB-0054.

16                  **DR. ZIEMER:** And this is going to be used  
17                  where you have gross beta bioassay or worked  
18                  in reactor facilities --

19                  **MR. ELLIOTT:** Or worked with fuel.

20                  **MR. HINNEFELD:** Right, reactor source terms,  
21                  right.

22                  **MS. MUNN:** Fission and activation product  
23                  assignment for internal dose-related gross  
24                  beta and gross gamma analyses. Very good, we  
25                  will make that recommendation to the Board.

1           **DR. WADE:** We have work group reports next  
2 Tuesday, so if you would include that, I will  
3 capture it as an action item.

4           **DR. MAKHIJANI:** This is a minor addendum to  
5 what John said about status quo this year. We  
6 have two reports coming within this whole  
7 year. One is the typesetter, and the other  
8 one is not yet written, but it will be here  
9 before the first of October.

10          **MS. MUNN:** Very good.

11                       Next item.

12          **DR. NETON:** The remaining two issues we  
13 spoke about the fission product are ingestion  
14 and residual contamination. I'll start with  
15 the ingestion pathway. We have undertaken a  
16 pretty extensive literature search. I think  
17 I've briefed the Board on this several  
18 meetings ago, but just to summarize where  
19 we're at did a literature search to look at  
20 all potential pathways where one could ingest  
21 material.

22                       Specifically we're focusing on the  
23 workplace and looked at transfer factors from  
24 surface to mouth, peri-oral to mouth, that  
25 sort of thing, and developed what I guess I'd

1 call a kind of model, you know, all these  
2 pathways connecting together and coming up  
3 with distributions on each of those parameters  
4 based on the literature search.

5 We haven't finished this. I mean, the  
6 research is done. The model's developed.  
7 What remains to be done is to edit the  
8 document that was written describing how we  
9 did this, and then to do some test runs with  
10 this model to look, to evaluate how well our  
11 current approaches in dose reconstruction  
12 model or account for the ingestion pathway.

13 I think we think right now it looks  
14 like that we've been fairly generous in doing  
15 claimant favorable in our approaches. This  
16 model I think will end up validating that. If  
17 not, then the model would have to be used to  
18 modify the procedures accordingly to account  
19 for what was deemed to be ingested in the  
20 workplace.

21 It's not done yet though, but the bulk  
22 of this is done. It's a draft. We had a  
23 contractor helping us work on this. So that  
24 needs to be tidied up, edited and the  
25 validations run, done before we can finalize

1 it.

2 **MS. MUNN:** We hope for it by next work group  
3 meeting?

4 **DR. NETON:** In October? Probably not.  
5 There's a lot of computing and conflicting  
6 things going on right now that would be hard  
7 to --

8 **MS. MUNN:** The work group meeting which  
9 probably will occur between October and  
10 January.

11 **DR. NETON:** No, it's months. It wouldn't be  
12 October, but after October I think there'll be  
13 more time available to finalize this.

14 **DR. ZIEMER:** Jim, what are you modeling? Is  
15 it transfer from hands to --

16 **DR. NETON:** Surface to hands, hands to  
17 mouth, cigarettes to mouth --

18 **DR. ZIEMER:** -- coffee cups to licking your  
19 lips --

20 **DR. NETON:** -- as much as we could find out  
21 --

22 **DR. ZIEMER:** -- in a contaminated  
23 environment, licking your mustache.

24 **DR. NETON:** It turns out a fair amount of  
25 that was available in the industrial hygiene

1 literature which I had. I guess I was always  
2 thinking the rad literature is much more full  
3 of things like that, but there's been some  
4 studies done, specifically in the workplace to  
5 the extent where we could develop some  
6 distributions about those parameters. But  
7 anyway, I can't give you firm date, but it  
8 won't be October.

9 **MS. MUNN:** But you'd be more comfortable  
10 with saying by the January meeting you'll have  
11 an opportunity to have something.

12 **MR. ELLIOTT:** I think we learned from our  
13 experience in the TIB-6000 modeling effort,  
14 too, test the model. That's the biggest piece  
15 here, I think, left to do. Right, Jim?

16 **DR. NETON:** Right.

17 **MR. ELLIOTT:** That takes more time than we  
18 anticipate, at least generally anticipate.

19 **DR. MAURO:** I just happened to review the  
20 TIB-6000 section dealing with ingestion, and I  
21 happened to have hit on one spot that I'm  
22 looking at right now. And are you using the  
23 RES-RAD 2.4 ten to the minus nine --

24 **DR. NETON:** I think that's what's in there.

25 **DR. MAURO:** -- per meter squared. It's a

1 fraction? Because we're researching that at  
2 the same time. So interestingly enough, a lot  
3 of the work we're doing on TBD-6000 probably  
4 is going to have a lot of applicability here,  
5 too. And we'll have that work very soon.  
6 We're -- I know we're going to talk about  
7 this, but there's --

8 **DR. ZIEMER:** What do you test it against?

9 **DR. NETON:** We're just looking at it against  
10 what we can find in the current site profiles  
11 just to look to see how --

12 **DR. ZIEMER:** If it would change  
13 significantly.

14 **DR. NETON:** Yeah.

15 **DR. ZIEMER:** Are there any real-world  
16 datasets that you can test against?

17 **DR. NETON:** I had hoped actually this was my  
18 --

19 **DR. ZIEMER:** I mean, where do the transfer  
20 numbers come from?

21 **DR. NETON:** There are some field  
22 measurements out there. For example, in the  
23 early fall-out days people were working on a  
24 contaminated aircraft. And they actually did  
25 some measurements item by air-type

1                    measurements. Those sort of things. There's  
2                    some Oak Ridge studies about transfer to  
3                    cigarette, people smoking on break, that kind  
4                    of stuff. We gleaned as much as we could from  
5                    the literature on that.

6                                       What I hoped to do, which didn't pan  
7                    out, my thought was that a lot of uranium --  
8                    and by the way, this was mostly relevant to  
9                    uranium because it's where the AWE's where we  
10                    don't have bioassay data. If you have  
11                    bioassay data, this is not an issue. Where  
12                    you don't have bioassay data at the uranium  
13                    facilities, I thought that we could take a  
14                    place like Fernald, for example, and just look  
15                    at what's not being screened in the urine of  
16                    these workers.

17                                       People who weren't exposed and working  
18                    day-in/day-out in the plant, and one could put  
19                    an upper limit on the amount that is ingested  
20                    based on that. You would assume a certain  
21                    amount would come out in the urine if they  
22                    were ingesting. And at one time SC&A and  
23                    NIOSH were debating whether it's 100  
24                    milligrams a day.

25                                       I always thought that was high, and I

1 thought, well, surely if you ingested 100  
2 milligrams of uranium per day it would come  
3 out in the urine of the workers who were  
4 monitored routinely. Well, that didn't work  
5 out for a number of reasons, you know, the  
6 solubility issues and those sort things,  
7 missed dose. It just was not a practical  
8 approach. I thought we were going to have  
9 this great publication on that, but it just  
10 didn't work out. So we ended up going with  
11 sort of the (unintelligible).

12 **DR. MAURO:** The EPA did a lot, you know, the  
13 EPA has their 50 milligrams per day number,  
14 and I remember reading that literature. A  
15 fellow named Calibresi\* that did a lot of  
16 work. What he did was he measured, I think it  
17 was how much silicone is in the feces of  
18 people that were working in gardens. In other  
19 words, know much milligrams per gram of dirt  
20 of silicone is in the dirt. And the only way  
21 you're going to get silicone coming out the  
22 other end is because you ate some of the dirt.

23 **DR. NETON:** Now, I disagree with that. I  
24 think that study is flawed because they didn't  
25 account for the amount that was ingested and

1                   subsequently swallowed. So he's got both  
2 pathways he's measuring --

3                   **DR. MAURO:** So the breathing it in is  
4 swallowing, too.

5                   **DR. NETON:** Yeah, I think there's a flaw in  
6 that study. But anyway, this is where we're  
7 at.

8                   The residual contamination we're not  
9 nearly as far along as this. We developed, as  
10 John talked about earlier, a model for  
11 Bethlehem Steel where we actually took  
12 residual contamination, inhalation of material  
13 that's suspended from residual contamination  
14 that we're talking about here.

15                   At Bethlehem Steel we actually took  
16 some air sample data, silicone data. But at  
17 Simonds Saw & Steel where the plant was, where  
18 they were not rolling any uranium, just had  
19 air sample data which presumably would be  
20 anything in the air at that point would be a  
21 result of people just walking around, doing  
22 their normal path without blowing uranium into  
23 the air from rolling it. We developed some  
24 pretty good ratios there we thought that we  
25 could apply to places like Bethlehem Steel.

1           SC&A's comment on that was that looked  
2 okay. It was probably applicable to a  
3 Bethlehem Steel. It would transfer down to  
4 that type of facility, but they weren't  
5 convinced that it was generically applicable  
6 at all these different sites. So we're in the  
7 process of looking for more data to support  
8 this, and if need be, modify the values to  
9 account for different operations, that sort of  
10 thing.

11           The data are fairly sparse. It's very  
12 rare where you have data where it's really not  
13 in operation. They're taking air samples to  
14 document the resuspension factors. We are  
15 working on this, but we're not as far along as  
16 we were with the ingestion model.

17           **DR. ZIEMER:** Well, the issue of room  
18 clearance was discussed this morning as one  
19 percent per day. Does that arise in this  
20 context, too? Are you looking at settling out  
21 or those kind of factors or is this just  
22 resuspension?

23           **DR. NETON:** This is resuspension material  
24 that is I can pretty much assume once they  
25 shut down operations we knew that the air

1           clears pretty quickly at uranium facilities.  
2           So what you're left with is a blanket of  
3           uranium.

4                        There's two issues. One is how much  
5           is re-suspended from what was deposited and  
6           how much is actually removed from the plant  
7           over time due to just cleaning operations and  
8           such. And that's the more difficult of the  
9           two, yeah, the dilution factor.

10           **DR. ZIEMER:** Particle distribution of a re-  
11           suspended material might not be the same as  
12           the original, but the heavy stuff come back up  
13           into the breathable air?

14           **DR. NETON:** That's a good question. I don't  
15           really know. We believed it was empirical.  
16           We took air sample data that was generated at  
17           Simonds Saw and Steel. But you're right --

18           **DR. ZIEMER:** Well, if the air sample data's  
19           got the full spectrum of heavy stuff down, I  
20           would think re-suspended, intuitively, I'm  
21           feeling like it ought to be a much smaller  
22           aerodynamic particle size.

23           **DR. NETON:** That's a good point. We did  
24           take the air that was re-suspended. We  
25           presume it's re-suspended because the plant

1 operations have been shut down for some time  
2 yet they were still continuing to monitor the  
3 air in the plant. So we had pretty good  
4 confidence that this was just based on  
5 resuspension plant activity. But you do raise  
6 a very good point is that is the re-suspended  
7 aerosol a finer aerosol.

8 **DR. ZIEMER:** Yeah, and therefore likely to  
9 get to the deep lung or something.

10 **DR. NETON:** This is probably one of the  
11 difficulties. It's not easy to come up with  
12 some concrete numbers.

13 **DR. MAURO:** Has (unintelligible) literature  
14 health.

15 **DR. NETON:** Yeah, we've looked at that sort  
16 of stuff, too. You have used a Bethlehem  
17 model at some point.

18 **DR. MAURO:** Yeah, we did.

19 **DR. NETON:** I can't recall the mechanics of  
20 that model now, but it was a pretty  
21 complicated model.

22 **MS. MUNN:** But all three items are working  
23 in progress. No timeline possible for any of  
24 them right now.

25 **DR. WADE:** Or we're going to hear by

1 January.

2 **MR. ELLIOTT:** We'll come back to where we're  
3 at status-wise --

4 **MS. MUNN:** Thank you.

5 **MR. ELLIOTT:** -- but can't predict today.

6 **MS. MUNN:** Anyone else have any comment on  
7 global issues before we move on to PERs?

8 (no response)

9 **PERs**

10 **MS. MUNN:** Apparently not. Who's going to  
11 tell us where we are with P-E-Rs?

12 **MR. HINNEFELD:** I guess I am. I think the  
13 context here was the discussion is this topic  
14 or another set of documents or procedures for  
15 the work group to concern themselves with.  
16 Just done a little bit of evolution in the PER  
17 process because of our conversation with the  
18 Department of Labor. And if a PER was as  
19 envisioned, it would allow us that when we  
20 adopt a change in dose reconstruction  
21 techniques, it would allow us to consider the  
22 universe of claims that may have been  
23 completed using the old, no longer used, and  
24 to provide to the Department of Labor a  
25 listing of that population.

1                   Here are the ones that could  
2                   potentially change outcome because of this  
3                   dose reconstruction. And the idea was that  
4                   the Department of Labor would be sending a  
5                   bunch of letters to people whose cases were  
6                   closed and tell them that it was going to be  
7                   reopened just to have another denial come  
8                   back.

9                   Well, we've not been very effective at  
10                  getting these turned out and over to the  
11                  Department of Labor. And they feel like they  
12                  have a lot of liability with changed  
13                  techniques out there with dose reconstructions  
14                  from old techniques that the dose  
15                  reconstructors go out there and do what has to  
16                  be done. They are pretty assertively now  
17                  returning those cases to us for rework. So we  
18                  have a larger population of reworked dose  
19                  reconstructions to do.

20                  So there is still a little bit of work  
21                  that we do get to do up front, to do that  
22                  screening and winnowing of that approach, of  
23                  the numbers. Dave Allen's the guy who's in  
24                  the middle of that. And so there is still a  
25                  certain few things you can do. For instance,

1 if the change is the maximum of four rem a  
2 year, it's the highest increased dose you  
3 could get out of the change.

4 And you take a dose reconstruction if  
5 you give this person four rem a year extra to  
6 their target organ, and they still don't look  
7 compensable, then I think they probably will  
8 go along this, okay, this one can drop out and  
9 doesn't have to be reworked. So there's very,  
10 there's a far more limited kind of screening  
11 we'll be able to do today.

12 So with respect to a PER and whether  
13 it's good fodder for the working group, what  
14 it would look like would be, what the document  
15 would look like would be, it would a  
16 description of here's the dose reconstruction  
17 technique change that we're evaluating, Super-  
18 S plutonium.

19 Based on this, you know, and here are,  
20 we might say this is the maximum change it can  
21 make to a non-respiratory organ. And based on  
22 that anybody with a non-respiratory organ  
23 cancer whose probability of causation was  
24 below, what, 20 percent or something because  
25 maximum change could only bring them up to 45

1 percent, and doesn't need to be done. I mean,  
2 there may be some analysis like that.

3 **MR. ELLIOTT:** I have to say something at  
4 this point. The charge of this working group  
5 to look at procedures and I think is fully  
6 appropriate to applied to PERs. However, I  
7 would ask that you treat this as you do in the  
8 subcommittee and Board's review of completed  
9 dose reconstructions.

10 By that I mean that you would need to  
11 examine a completed PER, not pick up a PER in  
12 progress where we're -- like Super S the  
13 example Stu just gave you, where we are  
14 working through about 3,400 claims right now.  
15 We need to get through those 3,400 claims and  
16 say that we're done. And then I think it's  
17 your ample opportunity to examine how we  
18 performed our work under a PER.

19 I don't know if Emily will chime in  
20 here or not, but I think these claims are  
21 still in, even though they've had, in some  
22 cases, a recommended decision, in other cases  
23 a final decision, once they're remanded back  
24 to us for rework, then they're still in the  
25 adjudication process. And we need to treat



1 expectations of this?

2 **MR. ELLIOTT:** We certainly would commit to  
3 get back to you as soon as, with a list of  
4 completed PERs, we'll add to that as we  
5 complete them.

6 **MS. MUNN:** That I think is precisely what we  
7 need to look at in this group until we reach a  
8 point where there's something other to be done  
9 than that.

10 **MR. ELLIOTT:** I'd like for the working group  
11 and the Board to understand that there's  
12 different, in these claims that have had a  
13 decision there's a unique category that Stu  
14 referred to earlier that DOL feels they have a  
15 strong liability with, and that is the  
16 category where there's a recommended decision,  
17 and there's a timeframe of, I think it's one  
18 year, that they have to come to closure, to a  
19 final decision. And so in our priority of  
20 work under PER, that category is given a  
21 higher priority. We're working those first  
22 within each PER.

23 **MS. MUNN:** Very good.

24 **MR. ELLIOTT:** I'm sorry. Did you have  
25 something you wanted to --

1           **DR. NETON:** I was just going to say they've  
2 actually become very much less interesting  
3 based on our new approach because we are  
4 requesting most of them back for rework. Part  
5 of that reason is because DOL requires some  
6 pretty good stringent standards to be placed  
7 on our proof that they weren't affected.

8           And what's happened is we've had a  
9 number of simultaneous changes to the point  
10 where we can't have, isolate one change at a  
11 time anymore. There might be one change you  
12 could say won't affect it, but there may be  
13 six other changes that affect the same one.  
14 So for instance with the Super S, I think we  
15 just asked for 4,000 cases back for complete  
16 rework.

17           We're just going to work them from  
18 square one and apply every change, treat them  
19 like the novo dose reconstructions.  
20 Everything we've done today will be done  
21 against that case. So the ones that we screen  
22 out are the very simple screening tools like  
23 there was no plutonium at that facility.  
24 There could have been Super S. Those are the  
25 kind of simple screening tools we apply now.

1                   There aren't these elaborate tools to try to  
2                   figure out change to two percent or --

3                   **MR. ELLIOTT:** We can certainly look on our  
4                   website and see the PERs that are presented  
5                   there. And there are different screening  
6                   mechanisms outlined in each. And of course  
7                   the first screen is was the claim completed  
8                   before the change was instituted. And if it  
9                   was completed after that, then we don't have  
10                  to look at it because the change was applied  
11                  to it. But as Jim says there are other levels  
12                  of screens that can be applied beyond that.

13                  **DR. WADE:** At some point when a change or a  
14                  series of changes results in a series of  
15                  reworks of dose reconstructions, will that be  
16                  reported in some document? Will that be a  
17                  PER?

18                  **DR. NETON:** The reworks themselves won't be  
19                  because they'll be treated as the novo dose  
20                  reconstructions and sent -- novo's not the  
21                  right word -- but complete reworks, and  
22                  they'll be sent through, the claimants  
23                  notified, claimant gets another close-out  
24                  interview. That whole process takes place.

25                  **MR. ELLIOTT:** But I think to answer, to your

1 point though in your question, Lew, we need to  
2 be ready to identify when we've analyzed that  
3 last claim under that particular PER for the  
4 purposes of the working group.

5 **DR. WADE:** What form would that take? Is  
6 this will be a newly generated document that  
7 you would prepare that would list the dose  
8 reconstructions and make them available? Or  
9 how would --

10 **DR. NETON:** Well, the PER itself would  
11 identify, for instance in the case of Super-S  
12 that there were 7,000 cases potentially  
13 affected by Super-S. And then we'll say that  
14 there were 4,000 that we believe Super-S  
15 really had the potential to exist then we need  
16 to send those cases back for dose  
17 reconstruction.

18 **DR. WADE:** Would it go beyond to say of  
19 those 4,000 the decision was changed and there  
20 is --

21 **MR. ELLIOTT:** Well, we are interested  
22 ourselves in how many cases flip. And DOL's  
23 also interested in knowing how they flip  
24 either way. We're more interested in making  
25 sure that we don't miss one that flips from

1 non-compensable to compensable. But they want  
2 to know how many went the other way, too.

3 So I think we're going to have to  
4 provide some level of reporting about that.  
5 And right now there are a few completed PERs  
6 that have that information in them, but you'll  
7 see a majority of our PERs don't have that  
8 because we haven't finished it. We need to  
9 come forward with some kind of reporting  
10 mechanism.

11 **DR. WADE:** Once it's finished then it's very  
12 interesting for this work group to look at  
13 that and decide what it wants to do with it.  
14 It is business that we're doing now that the  
15 Board needs to have the ability to review.

16 **DR. NETON:** I don't disagree. I guess the  
17 problem is though when you have multiple  
18 changes affecting multiple dose  
19 reconstructions, it's hard to identify which  
20 change was the one that might have flipped it.  
21 I think reporting raw numbers as to how many  
22 were changed, that's not --

23 **DR. WADE:** The work group will have to  
24 struggle with that.

25 **MR. ELLIOTT:** Well, we will have a tracking

1 system that we're working on, too. And that  
2 might be the vehicle to aid the working group  
3 with.

4 **DR. NETON:** The other, just to close it out,  
5 is just because we asked for it back from the  
6 Department of Labor doesn't necessarily mean  
7 we'll get it. There may be other things like  
8 SEC, certain cases have got to SEC or the case  
9 has no eligible survivor. I mean, there's a  
10 number of issues that we don't control. We'll  
11 tell them that these cases need to be  
12 reworked. It's up to them to send them back  
13 to us for rework. But a good percentage of  
14 those, so for instance at Rocky Flats have  
15 gone SEC. We're not going to see them.

16 **DR. WADE:** This is a great positive  
17 evolution from my point of view, and I think  
18 it's important that in some way if the  
19 collected together and the Board had the  
20 opportunity to look at it if it wishes.

21 Larry, when you said you'd provide a  
22 list of completed PERs to the work group, when  
23 would you first do that?

24 **MR. ELLIOTT:** Oh, I think at the next work  
25 group meeting we can give you a list of those

1 that have been completed. We can give you  
2 another list of those that are underway. That  
3 should be straightforward.

4 **MS. MUNN:** That's really all we need in my  
5 view at this juncture.

6 Anyone else have anything to say about  
7 PERs?

8 **MR. ELLIOTT:** It would be the PERs  
9 themselves, and then if the Board wants a list  
10 of the claims under a PER that's been  
11 completed, we could provide that.

12 **MR. SHARFI:** Some of the ones that were put  
13 in the previous PERs are now in the new PERs.

14 **MR. ELLIOTT:** Yes, they are.

15 **MR. SHARFI:** That claim back up?

16 **MR. ELLIOTT:** Right, we'll have to. That's  
17 why I say this not straightforward. It is  
18 going to be very complicated for us to --

19 **MS. MUNN:** We have another living document.

20 **DR. WADE:** Well, with any document though  
21 that goes to the value added by review and the  
22 fact that there is a commitment to serve the  
23 claimants in this program.

24 **MR. ELLIOTT:** And there's an end point here,  
25 too. It's not like, you know, we have a bulk

1 of these that we're faced with right now and  
2 once we work through those, yes, we'll still  
3 have PERs in our future but not the volume,  
4 not the magnitude --

5 **MS. MUNN:** Not like this.

6 **MR. ELLIOTT:** That's right.

7 **MS. MUNN:** We appreciate that. We'll look  
8 forward to seeing the list at our next  
9 meeting.

**DISCUSSION OF OTIB-0052 AND SC&A REVIEW "PARAMETERS  
TO CONSIDER WHEN PROCESSING CLAIMS FOR CONSTRUCTION  
TRADE WORKERS"**

10 And now, everyone take a deep breath.  
11 OTIB-0052, parameters to consider when  
12 processing claims for construction trade  
13 workers. Who wants to lead off here? Have we  
14 even discussed this?

15 **DR. NETON:** I would think SC&A would present  
16 their findings of their evaluation.

17 **MS. MUNN:** I would like to hear that, and I  
18 believe SC&A is prepared to do that. John?

19 **DR. MAURO:** This is Steve Marschke who is  
20 the author of the document along with Arjun.  
21 Steve, if you want to kick us off?

22 **MR. MARSCHKE:** Yeah, I was given the task to  
23 review TIB-0052, and we in somewhat more  
24 detail than what we usually perform our

1 reviews of the procedures and the other  
2 documents. And the end result was the Task  
3 Three Supplement Four report that you all were  
4 given. I guess it was issued back in July.

5 Generally, I think we like what we saw  
6 in OTIB-0052. Most of our comments that we  
7 made are, I think are geared towards making a  
8 stronger document. Making it more  
9 bulletproof, if you will. But in overall I  
10 think the, well, the approach that we kind of  
11 took was kind of a two-pronged approach. One  
12 was we did try to look at the analysis that  
13 was done and duplicate the analysis that was  
14 done by using the data files that were made  
15 available to us on the O drive.

16 And then we also took it a step  
17 further, and we ran some sample cases. And a  
18 number of sample cases to Jim Neton mentioned  
19 this morning when we were talking about TIB-  
20 0020, the proof is in the pudding. And so we  
21 tried to show what would happen if we had  
22 some, if we treated some construction workers  
23 who had monitoring data as if they did not  
24 have monitoring data.

25 And we applied the OTIB-0052

1 methodology to these construction workers and  
2 compared those results to their actual  
3 monitoring data just to get a feel for how  
4 conservative the OTIB-0052 or is the OTIB-0052  
5 methodology conservative. Generally, we found  
6 out when we did that, we did that mostly at  
7 three sites: Savannah River, Rocky Flats and  
8 Hanford.

9 And generally when we did that, we  
10 found that the OTIB-0052 methodology was  
11 conservative. When we took a ratio of the  
12 OTIB-0052 methodology divided by the measured  
13 dose doses. And these are integrated over the  
14 working life of the individuals that we looked  
15 at, the sample workers that we looked at.  
16 Generally, we found a ratio greater than one  
17 implying that the OTIB-0052 methodology was,  
18 in fact, conservative.

19 There were a few outliers and a few  
20 exceptions. The other thing that we did look  
21 at was or one of the questions that came up  
22 was do different construction occupations  
23 have, you know, higher exposures than other  
24 occupations. And this was really not  
25 addressed in OTIB-0052, but we tried to look

1 at that somewhat.

2 And we found that, yes, there is a  
3 range or seems to be a range by occupation  
4 with people like pipefitters, boilermakers and  
5 so and so forth, they receive doses which are  
6 higher than, generally higher than the  
7 construction worker average. Teamsters,  
8 electricians and painters, they seem to  
9 receive doses which are lower than the  
10 construction average.

11 So when we took our samples to test  
12 the OTIB-0052, we kind of tried to select our  
13 individuals. It wasn't quite a random test.  
14 We tried to bias our individuals from those  
15 occupations that received the higher doses  
16 like pipefitters. If you look at the Savannah  
17 River, you'll see we have, out of the 20  
18 workers that we sampled, we have ten  
19 pipefitters. And even in that case we found  
20 that the OTIB-0052 methodology generally was  
21 conservative. Generally overall, we are happy  
22 with it. Now the -- at least I'm happy with  
23 it. I don't want to speak for everybody.

24 But there were some concerns. I mean,  
25 one of the reasons why the OTIB-0052

1 methodology is conservative is because we are  
2 integrating over the working life of the  
3 individual. So if you had an individual in  
4 there who basically was only there one year or  
5 a very short period of time, there is the  
6 distinct possibility when you look at some of  
7 the graphs that are actually in OTIB-0052, you  
8 can see that the construction workers' doses  
9 are much higher than the 1.4 multiplier.

10 So the OTIB-0052 methodology over a  
11 short duration may not be conservative. And  
12 we kind of, I mean, how do you address that?  
13 I'm not sure how to address that.

14 **DR. MAKHIJANI:** Can I just interject that  
15 the short period of time would generally be  
16 less than three-to-five years.

17 **MR. MARSCHKE:** Yes.

18 **DR. MAKHIJANI:** And the sort of long period  
19 of time that we examined was like ten years.  
20 So it would be ten years or more.

21 **MR. MARSCHKE:** That's a good way to capture  
22 it.

23 **DR. MAKHIJANI:** Just to put some numbers on  
24 where these uncertainties are, and where it  
25 didn't appear to be a significant issue.

1           **MR. MARSCHKE:** The other thing I, later I  
2 got from reading OTIB-0052, again, it's kind  
3 of like OTIB-0020 that we talked about this  
4 morning. It's more of a guide for the  
5 writers, the site experts when they are  
6 developing their coworker models and their  
7 coworker OTIBs. They put a table in the  
8 coworker OTIB which is for construction  
9 workers, and I get the impression from that  
10 and from looking at OTIB-0052 itself that this  
11 guide is more for those people than it is for  
12 the dose reconstructors.

13                   And so we do have some concern if you  
14 have dose reconstructor who happens to get a,  
15 be trying to reconstruct a dose to, for  
16 example, a pipefitter whose only been there  
17 for three years, a short period of time, then  
18 this methodology may not be favorable under  
19 certain sets of assumptions that could be  
20 populated. And so again, I'm not sure how to  
21 ensure claimant favorability on a individual  
22 claimant's claimant basis.

23                   Overall, you know, if you looked at  
24 the whole population of claimants,  
25 construction workers, I think OTIB-0052 is

1 favorable. You know, if you look at it  
2 percentage wise it's probably in the 95  
3 percentage or definitely greater than 90,  
4 probably 95 percent of the time it's a  
5 claimant favorable one.

6 The question is there are certain, you  
7 know, that leaves five percent of the  
8 claimants out there who basically how do you  
9 get claimant favorability for those  
10 individuals? And I'm not sure how that can  
11 be, you know, is incorporated into OTIB-0052.

12 **MS. MUNN:** I had a question with respect to  
13 the specific items on the matrix. I don't  
14 know whether NIOSH has had an opportunity to  
15 look at that matrix and to address those  
16 questions or not, but I have not heard any  
17 rumblings that there are responses to any of  
18 those.

19 **MR. CHEW:** They've been out already.

20 **DR. NETON:** Yeah, we sent them out.

21 **MR. CHEW:** Yeah, we sent the responses to  
22 everyone.

23 **DR. NETON:** When did they go out? Monday?

24 Probably while you were traveling.

25 **MS. MUNN:** While I was in the air.

1           **DR. WADE:** There's a thought, too.

2           **MS. MUNN:** Thank you.

3           **DR. NETON:** We did have an opportunity to  
4 (unintelligible) some reaction to these  
5 things. Mel Chew --

6           **MR. CHEW:** Do you want me to grab a copy?

7           **DR. NETON:** We're prepared to go over them  
8 individually.

9           **MS. MUNN:** Does everyone want copies?

10          **DR. NETON:** This document came out in July  
11 sometime so we've had a short time period to  
12 address a hundred-page document. But we were  
13 somewhat gratified to see that we aren't that  
14 far apart really.

15          **MS. MUNN:** I was pleased to see the matrix  
16 wasn't any larger than it was.

17          **DR. NETON:** It was 37 pages.

18          **DR. MAKHIJANI:** May I add a couple of  
19 criticism just to supplement Steve's summary  
20 there. That there is a, well, it will come up  
21 in the matrix, there's an item, well, a number  
22 of items one about neutrons, for instance, has  
23 a gap in that regard. It didn't cover  
24 neutrons. And so maybe we can just pick that  
25 up.

1           **MS. MUNN:** Yeah, as we move down it.

2                           If NIOSH is ready to address those  
3 matrix items, I would be very pleased to hear  
4 that now. We'll have hard copies. You can go  
5 ahead. We don't have to wait for the hard  
6 copies. Whoever's going to do that.

7           **DR. NETON:** We're going to rely on Mel to do  
8 the heavy lifting with encouragement from me.

9           **MR. CHEW:** We can do a couple things. As  
10 you see, we did respond to the matrix.

11                           And Steve, thank you very much for  
12 your comment about the overall -- I'd like to  
13 reinforce what Steve said -- for as you said,  
14 pretty much 95 percent of the cases here at  
15 the 95<sup>th</sup> percentile, the correct adjustment  
16 factor was. This is really, we need to focus  
17 what we're trying to do.

18                           This is for the unmonitored  
19 construction worker, unmonitored construction  
20 worker and not to be confused with the person  
21 who was monitored. And so where do we get  
22 unmonitored construction workers and all of  
23 their missing data that's possibly from their  
24 data. And did they happen to have basically  
25 on their claim that you can show that they

1           should have been monitored. So we need to  
2           make sure we're focusing on the unmonitored  
3           workers.

4                        I think we're very fortunate and just  
5           give a little background. This was quite an  
6           interesting assignment for the team that we  
7           put together. We had to go to observe and get  
8           some data across the complex that was  
9           representative. Certainly, we went to the  
10          site as number one, certainly, where we can  
11          now separate information that we can identify  
12          construction workers versus the all monitored  
13          workers.

14                      Remember clearly what we're trying to  
15          do is to say are there circumstances where  
16          there are construction worker who was  
17          unmonitored and we had to go to a coworker  
18          study that the coworker study was not  
19          necessarily claimant favorable to that  
20          unmonitored construction worker. So that's  
21          what really the basis of involving the  
22          adjustment factor.

23                      I could go on, and we could go right  
24          down the matrix one item at a time, but we  
25          want to maybe for the sake of saving some

1 time, I would like to address the issue that  
2 we brought up directly about the example of  
3 the pipefitters and things like this where we  
4 think we have applied the proper adjustment.

5 **MS. MUNN:** My preference would be that you  
6 address the question that's been put on the  
7 table and questions that are out there. And  
8 then if there are other remaining significant  
9 items from the matrix that we look at those  
10 afterwards. If any of the matrix items are  
11 not of significant importance that it would  
12 make differences that we should be concerned  
13 about with respect to dose reconstruction,  
14 then those are issues which we can easily, I  
15 would think, resolve offline. What we want to  
16 look at is what is significant. What's been  
17 brought to us as being significant. Let's do  
18 that first.

19 **MR. CHEW:** I'm not sure everybody has a  
20 copy. This is directly comes out of the table  
21 that you people put in the response, and it's  
22 in the SC&A report.

23 I'd like to make a very quick comment.  
24 When we, this was not a simple data gathering  
25 exercise in just putting information on a

1 spreadsheet and come up with certain  
2 percentages, right:. We went to specifically  
3 the site to clearly look at when we saw  
4 exposures, and we clearly explained by either  
5 operationally or reasons why exposures were  
6 high for a particular year. What things that  
7 happened at that particular site. What  
8 operational things happened at that site that  
9 cause, for example, certain categories that  
10 people could get more exposure.

11 And the one that is in your report  
12 that is in pretty color -- and unfortunately,  
13 we didn't print this out in color. It would  
14 probably be easier to see -- is a very good  
15 one. This is Savannah River. Fortunately,  
16 Savannah River had kept very good records of  
17 exposures to their categories of construction  
18 workers broken down by construction worker.  
19 And that's even better than we even expected.  
20 You know, we can pick out electricians. You  
21 can pick out pipefitters. You can pick out  
22 millwrights. You can pick out carpenters and  
23 painters.

24 I'd like to make another comment.  
25 Like the pipefitters and electricians by about

1 a factor of four or five times more at  
2 Savannah River than almost all of the other  
3 categories. And then there's a little  
4 anecdotal story that I've been share a little  
5 bit here if you don't mind.

6 We were wondering why there, because  
7 we understand the pipefitters to be a large  
8 number because as you well know if you've been  
9 down to Savannah River, especially the canyon,  
10 it's really a plumber's nightmare. Everybody  
11 can relate to that one there with plumbers.  
12 And then certainly some of the cement  
13 finishers have high exposure, too, because  
14 they have to make the chases so they could put  
15 the plumbers in.

16 Well, we often wondered why there was  
17 about equal number of electricians as there  
18 were pipefitters which is certainly an  
19 anecdotal story, and I appreciate the time to  
20 tell it. It appeared that in the early years  
21 one member of the DuPont family owned an  
22 electrical company. And so the electricians  
23 were of the higher paid category, billing  
24 category there.

25 And so you can just relate to your own

1 thoughts in telling you why there were more  
2 electricians than anyone else because we did  
3 go down to talk to some of the workers  
4 directly so we can relate to exactly what  
5 happened at Savannah River. And they said,  
6 oh, yes, there was a lot of electricians and  
7 some of them were not necessarily always doing  
8 electrical work.

9 I'll leave it there with that one. I  
10 think we can all smile at that recognizing  
11 that DuPont operated Savannah River for a  
12 dollar a year. I think we need to understand  
13 that.

14 If you don't mind looking at the graph  
15 that I just showed you, probably the key one  
16 that rightly point out, Steve, there are some,  
17 a few years, that the some specific categories  
18 of worker would have been hired in the 1.4  
19 multiplier that we suggested. And so if you  
20 look at the date here, it happened in about  
21 the late 1960s and again in about the mid-  
22 1970s were example pipefitters got a  
23 significantly higher exposures than what you  
24 considered the all monitored worker.

25 I think Jim and I were discussing

1           that. Remember, this is an important piece of  
2 information for the very fact that these  
3 people were monitored. And how do we know  
4 that they were monitored? Well, going back to  
5 look at that particular timeframe, there were  
6 two canyons, the F Canyon, which people call  
7 F, and H Canyon, was going through some fairly  
8 major modifications and to the improve the  
9 particular processes.

10           And so pipefitters were brought in for  
11 those particular periods. This is under, the  
12 canyons were classified area, Q cleared area  
13 and a badge. So we need to examine ourselves  
14 in saying, well, is it reasonable to say that  
15 we're going to have an unmonitored worker that  
16 if we multiply his, we're taking all monitored  
17 worker exposure, multiply that times 1.4, do  
18 you think that's a reasonable, that that  
19 really happened? That he would receive a  
20 significant exposure that he wouldn't be  
21 monitored.

22           And I think that's a judgment for this  
23 discussion here, but I think it's a plausible  
24 reason for saying, okay, yes, I can multiply  
25 that. I did a very quick job. I did a

1 calculation here. We looked at the high peak  
2 of a pipefitter versus the all monitored  
3 worker, the multiplier was 1.8 for that one  
4 year, for that one year. One point two for  
5 the high peak and another one down the lower,  
6 the multiplier to be 1.5.

7 Is it plausible -- and, Arjun, you  
8 mentioned could there possibly a third period  
9 of time for that person that were only working  
10 for those few years. Probably so because they  
11 brought in a lot of people. You know, they  
12 didn't work any place else. But again, is it  
13 plausible to say that person wouldn't be  
14 monitored. And this is the 95<sup>th</sup> percentile.  
15 And we would multiply an all monitored worker  
16 dose which includes, which includes the  
17 construction worker dose multiplied times 4,  
18 would we be adequately claimant favorable for  
19 that particular claim?

20 And I think that's probably a  
21 discussion that I'd like Steve to talk about  
22 the particular categories of people. And the  
23 Savannah River data is a very, very good one  
24 because it has the ability to separate out.  
25 Now, in add to that do we look at some of the

1 other facilities to see the same thing  
2 happening here? Wherever we had, especially  
3 Oak Ridge National Lab, we went through some,  
4 working with some of their reactors when they  
5 did some modifications. I think you know  
6 about those, John. Hanford, you know, when  
7 some of the separation processes. The Chem  
8 Plant in Idaho is a very good one.

9 So all along the way when we're  
10 looking at exposures, we just didn't take the  
11 all monitored worker. Wherever we were able  
12 to separate, and in many place we were able to  
13 separate each of the different types of  
14 construction workers. We were trying to make  
15 sure that some particular group would not  
16 stick out consistently that would now  
17 invalidate the issue about is the multiplier  
18 valid across this exposure record. So I want  
19 to let him comment on what I just said. I'll  
20 stop everything.

21 **MR. MARSCHKE:** My comment would be I didn't  
22 find that information in the OTIB. And if  
23 that information could be, you know, if I'm  
24 correct, and it's not currently in the OTIB,  
25 that information I think would be very

1           enlightening to put, you know, because it  
2           seems like you're selling yourself a little  
3           short here in the OTIB with all the thought  
4           processes behind your selection and so on and  
5           so forth. And that's why when I say making it  
6           harder, making the OTIB more bulletproof, I  
7           think that's, a lot of our comments are geared  
8           towards that aspect of it.

9           **MR. CHEW:** I would make a comment. Jim and  
10          I were at the meeting when we first met with  
11          the Council for Protection of Worker Rights.  
12          At that time I was able to have only at that  
13          time, only at that time, to have particularly  
14          the Savannah River information. I think Jim  
15          will recall I was able to separate out five  
16          different categories of workers compared to  
17          the all monitored worker. And I even had  
18          that, I brought my slides that I used, and I  
19          can show that to, I'll just turn it around. I  
20          know you folks cannot see that, but this is by  
21          construction worker only.

22                 What this shows, the graph shows  
23                 interestingly by certain years, certain  
24                 construction workers clearly got higher. A  
25                 labor category had much higher exposure. This

1 is just compared to each other. So it was not  
2 always consistent that pipefitters were always  
3 the highest.

4 **MR. MARSCHKE:** No.

5 **MR. CHEW:** You knew that, too. So I think  
6 if you really looked at the overall effect of  
7 the multiplier that will be applied to the  
8 unmonitored worker for all year, for all year,  
9 you're going to be pretty well convinced that  
10 you are pretty much with the claimant  
11 favorable.

12 Arjun, this is the slide I used in the  
13 Council for Protection of Worker. I did not  
14 make a copy.

15 **DR. MAKHIJANI:** I think you can e-mail it if  
16 you have. I was going to say, Mel, you made a  
17 very cogent argument. I haven't seen  
18 information, but we have somebody from CPWR  
19 here who would be useful for us to hear his  
20 reaction to what you've just said.

21 **MR. CHEW:** Are you talking to Mr. McGowan?

22 **DR. MAKHIJANI:** Yes.

23 **MR. MCGOWAN:** I'm much better at  
24 interviewing workers and doing exposure  
25 assessments than I am in all this mathematics

1           that most of you folks enjoy. I do know that  
2           there are a number of instances in which  
3           construction workers had security badges  
4           issued, but they were not radiation monitoring  
5           badges. So I think you have to be somewhat  
6           clear in your analyses of information is what  
7           kind of badge you're actually talking about  
8           and did that person actually have a radiation  
9           badge.

10                         Also, in many instances, not  
11           necessarily at Savannah River, where  
12           individuals, construction workers, were  
13           pulled. They had to take their badge off  
14           before doing certain things or they would be  
15           laid off. You either take your badge off and  
16           go and do this task or you're laid off, and  
17           you're not coming back. So people were  
18           working under circumstances that would have  
19           given them a very high exposure but could  
20           never be recorded. So those are the kinds of  
21           things you see when you talk to the actual  
22           worker and that may be off what we're talking  
23           here, but that's the kind of thing that you  
24           see.

25                         **MR. CHEW:** Would you, in our interviews

1 occasionally we would hear some kind of  
2 anecdotal referencing about asking to remove  
3 badges. There's been no clear evidence that  
4 this was a consistent habit or even anything  
5 that we would know how to work with. I'd like  
6 to mention that we weren't short of data.

7 I think Jim knows that I delayed as  
8 much as I can because we had a team out there  
9 trying to gather as much data at that time.  
10 And many of the coworker studies hadn't been  
11 done even or since, so in order to do OTIB-  
12 0052 we basically went out and derived the  
13 data. Surprisingly enough, I think you saw  
14 from the OTIB itself, we have just external  
15 for all monitored workers over a million data  
16 points, and for construction workers we have  
17 216,000 which represents 20 percent. And  
18 that's probably not unreasonable thinking  
19 about the amount of construction worker versus  
20 other workers.

21 And also similar kind of numbers for  
22 internal exposures, too. That was probably  
23 the hardest thing to get. The Oak Ridge  
24 complex because of the work that was done with  
25 Donna Cragle and the studies with the CEDR

1 database, the OTIB has fairly good records on  
2 construction workers. But we had to go down  
3 to the level of detail of finding employee  
4 numbers, job code numbers, department heads,  
5 the department numbers to be able to pull out  
6 the right construction so we can always be  
7 clear that we are clearly pulling up  
8 construction worker data.

9 **DR. NETON:** Can you maybe clarify something?  
10 Early on the thrust of this project was to  
11 look at building trade workers who were not  
12 primes. The thought was that the prime  
13 contractor or trades workers probably were  
14 monitored or it was thought that they were  
15 monitored better than maybe the subcontractor  
16 building trade that was brought in to fill in  
17 the gaps so to speak. And I've forgotten.  
18 It's been a long time since I looked at that.  
19 You were not able to tease that out at all the  
20 sites because the data just weren't there.  
21 But where you were able to tease out the  
22 exposures for the prime contractor building  
23 trades workers versus the ones that were the  
24 subcontractors did you notice any differences  
25 in their exposure patterns?

1           **MR. CHEW:** I think where we probably -- and  
2           that's a good question -- probably the Oak  
3           Ridge complex was the easiest way to pull that  
4           out. And I'd like to add one thing in going  
5           back to the interviews. Many of the  
6           construction workers would -- and I'm going to  
7           exaggerate this here -- one day they'll be  
8           working for a subcontractor, the next day  
9           they'll be working for a prime. So it almost  
10          didn't make any difference here. And  
11          especially it was the way that they were able  
12          to receive their badging and getting into the  
13          fenced areas. By and large I would say in  
14          general what we were now looking at the data  
15          with the question you asked, the people who  
16          worked for subcontractors were probably, the  
17          doses were probably smaller actually --

18          **DR. NETON:** My thought was --

19          **MR. CHEW:** -- and it would be expected to  
20          see that, yeah. Because once they brought  
21          them in. There was a couple of comments in  
22          your --

23          **MR. MCGOWAN:** I'd like to comment on that if  
24          I can.

25          **MR. CHEW:** Sure.

1           **MR. MCGOWAN:** There are a number of  
2 individuals, for example I know at Oak Ridge,  
3 who spent their entire working careers at Oak  
4 Ridge as construction workers even though they  
5 were considered to be transient employees.  
6 You have some people that had many, many years  
7 of work there that would not have been thought  
8 of in that fashion. You probably have a  
9 bigger dataset at Savannah River from the  
10 Fayerweather data than you would have, say, at  
11 Oak Ridge.

12                   And at Oak Ridge, we know that there  
13 are individuals like the supervisor of the  
14 work crew would bring in a whole busload in a  
15 bus with the windows painted black of  
16 individuals and bring them to a particular  
17 location to work. None of them had security  
18 clearance. None of them had badge, whatever.  
19 They did the work in that location, were  
20 trucked back out by that person. There's no  
21 record of that.

22           **MR. CHEW:** And I appreciate what you're  
23 saying here. I'd like to address that. We  
24 actually did work at the coworker data. As a  
25 matter of fact we actually had looked at the

1 analysis, and the Fayerweather data really  
2 does not give us the breakdown of what we  
3 looked at. As a matter of fact I noticed in  
4 your report you even mentioned that the  
5 Fayerweather data really has no additional  
6 contribution or make any significant  
7 difference than in the SC&A report.

8 **MR. MARSCHKE:** We looked at the Fayerweather  
9 data which we got from the center. There was  
10 no breakout by construction worker versus non-  
11 construction worker so we couldn't break it  
12 out that way. But what we did was we compared  
13 all the workers to the HPAREH data, and we  
14 found, you know, we have a plot in here in the  
15 report which kind of shows that the  
16 Fayerweather data tracks the HPAREH data but  
17 it's lower.

18 Generally, the Fayerweather, at the  
19 95<sup>th</sup> percentile, the Fayerweather data is  
20 slightly lower than the HPAREH data. And also  
21 at the average, and this is for all workers  
22 because the Fayerweather data does not  
23 identify the occupation of the workers. But  
24 what we did, when you look at the figure in  
25 here, you're three, two, four, you see that

1 the Fayerweather data, when each HPAREH data  
2 goes up for a year, the Fayerweather data goes  
3 up. But it's always a little bit less than  
4 the HPAREH data.

5 **DR. MAURO:** Page 33 of the report.

6 **MR. MARSCHKE:** So basically, that  
7 information, or any discussion of the  
8 Fayerweather data is missing from OTIB-0052  
9 and in that, you know, I think something  
10 should be said about it. Whether or not it  
11 changes the end results, you know, or if it  
12 does the end results, it may change the 1.4.  
13 It may drive the 1.4 down as opposed to  
14 increasing it.

15 **MR. CHEW:** Well, we didn't go to that level  
16 of analysis. I appreciate your doing that,  
17 when I saw that. We abandoned it fairly early  
18 because we weren't able to break it out by  
19 construction, and we needed, that was clearly  
20 what we needed to do. So if we didn't do that  
21 then we wouldn't be doing (unintelligible).  
22 That was the whole point here.

23 I'd like to just go back to a little  
24 discussion about the Savannah River data. I  
25 mentioned about the canyons being where the

1 two areas, but also remember people working in  
2 those particular canyons would show high doses  
3 for those particular years. Clearly, we asked  
4 the question, they had to be Q cleared, and  
5 they had to have security badges along with  
6 their film badges.

7 So I think just to reinforce the  
8 likelihood of an unmonitored person falling in  
9 a grouping of less than 95 percent would be  
10 highly unlikely.

11 **DR. MAURO:** So the point is that if, in  
12 fact, all the construction workers, trade  
13 workers including all the pipefitters were, in  
14 fact, all monitored, and all of a sudden that  
15 data showed up, you're expectation of their  
16 distribution would be lower than that because  
17 the ones that we happen to have are the ones  
18 that were monitored. And the reason they were  
19 monitored was because they had job  
20 responsibilities that were putting them in  
21 greater harms way from a radiological point of  
22 view. So what I'm hearing is that this,  
23 they're coming in high because they were given  
24 jobs which were unusually more radioactive.

25 **DR. NETON:** Mel was saying for that

1 particular event, not universally.

2 **DR. MAURO:** Okay.

3 **MR. CHEW:** Right, if you track the average  
4 pipefitter through a majority of the years,  
5 they were below the unmonitored workers.

6 **DR. MAURO:** I understand that, but it goes  
7 to particular years.

8 **MR. CHEW:** Sure. Sure.

9 When I think of, that was the first,  
10 we've already had this discussion because I  
11 think that was one of the key points that you  
12 would like to have this explained. The other  
13 part of the matrix, do you feel there is any  
14 other one that you'd like me to tell some  
15 detail?

16 **DR. MAKHIJANI:** Before we move on from  
17 Savannah River I think the example you gave is  
18 a good one and you make a very plausible case  
19 that if they were going into the canyon area  
20 and doing work on the piping there, that they  
21 would likely be monitored as well as have  
22 security badges.

23 But the counter example to that would  
24 be something like the tank farm in the 1950s  
25 and early '60s. There are a lot of leaks in

1 the first, I think nine of the first 16 tanks  
2 leaked at Savannah River. And then when they  
3 built the next generation of tanks it's much  
4 better. So they have a lot of workers who  
5 were kind of digging up stuff, cleaning up  
6 stuff. They had a lot of subcontractors at  
7 Savannah River site.

8 This is one of the reasons that I kind  
9 of tried to insist on that tank farm database,  
10 they didn't record all of the incidents. I  
11 mean, in the databank itself it says we didn't  
12 record everything that we considered  
13 significant, but it got not criteria. And in  
14 those kinds of circumstances, I kind of wonder  
15 how much of this analysis actually applies,  
16 especially if you don't address incidents in  
17 TIB-0052.

18 So you have an unbadged worker who's  
19 kind of doing clean up, and who is a  
20 subcontractor, and he's there as a day laborer  
21 brought in by some company, not tracked by a  
22 union, especially the Savannah River site.  
23 And so you've got multiple levels of problems  
24 in how you apply this.

25 **MR. CHEW:** I'm glad this, Arjun, I'm glad

1 this morning we had the discussion about OTIB-  
2 0020 and it also was ancillary leading up to  
3 it because that's really important. Remember,  
4 we're focusing on the unmonitored worker  
5 that's talked about. And now we're going to  
6 be assigning -- I hope I say this correctly as  
7 a dose reconstructor -- we're going to be  
8 assigning that unmonitored worker the 95  
9 percentile of the all monitored worker data  
10 which this data supports, and multiply that  
11 time 1.4 to find that.

12 Which I'm now going to come back to  
13 you, Arjun, and is it plausible that you're  
14 going to find an unmonitored worker if that's  
15 a scenario that you can describe that that's  
16 not claimant favorable. Well, we feel it is  
17 claimant favorable.

18 **DR. MAKHIJANI:** I think so. I think when we  
19 looked at Y-12, and we tried to subject it to  
20 the test to see whether workers were widely  
21 monitored in the 1950s fell into the high dose  
22 categories when they started being monitored  
23 in the 1960s. We did find them.

24 So here we're talking about non-prime  
25 contractor workers. Here we're talking about

1 prime contractor workers. Here we're talking  
2 about deliverers who are there for temporary  
3 jobs who might be doing clean up in radiation  
4 fields that were quite high. Sometimes they  
5 were ten R per hour, per hour. That's  
6 documented in the databank, and to the extent  
7 that I reported it accurately when I did the  
8 study, you have those numbers. And in those  
9 kinds of circumstances with the special kinds  
10 of geometry that you have, I think at least  
11 that the case needs to be made that this is  
12 adequate for those kinds of circumstances.  
13 Because I think that in the '50s especially,  
14 because you make the case that in the '50s  
15 construction workers would be working on  
16 cleanup jobs, and I'm not sure that that's --

17 **DR. NETON:** No, no, I don't --

18 **DR. MAKHIJANI:** That's somewhere in the  
19 matrix. That's there somewhere in the matrix.  
20 That would generally be the case. You don't  
21 have to worry. But I don't think that's  
22 necessarily the case.

23 **MR. CHEW:** But we still have in those early  
24 years, too, a significant number of exposure  
25 assigned to the all monitored worker. And

1                   that's where --

2                   **DR. NETON:** There are exposures here being  
3 assigned in the 1950s, about 1,000, 1,500 per  
4 year to these workers. Now you're suggesting  
5 there were unmonitored construction workers  
6 working in Ten R fields, no badging  
7 considerations at all. I've just not heard  
8 that anywhere else before. I've never heard  
9 that.

10                  **DR. MAKHIJANI:** I'm not suggesting that they  
11 were not badged. I'm pointing out that there  
12 are, in the '50s, we know of situations where  
13 there were workers in relatively higher  
14 exposed categories not deliberately not  
15 badged, but people were learning things.

16                   People were, at least that was my  
17 impression from having, that was my conclusion  
18 going away from White, having looked at the  
19 White data a lot. They were trying, and they  
20 were learning things, and they were finding  
21 the people to badge. And often they were  
22 right, and sometime they were not. And that's  
23 the kind of, if that was the situation with  
24 prime contractor workers, I'm raising a  
25 question rather than making a statement.

1           **DR. NETON:** This is no different than the  
2 discussions we've had on monitored versus  
3 unmonitored prime workers because what you're  
4 saying is we have an example here where  
5 there's a clear dichotomy between monitored  
6 workers and building trades workers. Building  
7 trades workers are much lower on average than  
8 the prime contractors. And what you're  
9 suggesting is that they didn't, the  
10 preferentially only monitored the lower  
11 exposed --

12           **DR. MAKHIJANI:** No, I didn't say that.

13           **DR. NETON:** Well, that's what would have to  
14 happen for that comparison to be invalid, that  
15 they would not be monitoring workers that were  
16 more highly exposed.

17           **DR. MAKHIJANI:** I think they did not monitor  
18 some workers who were highly exposed and  
19 didn't monitor some workers who were highly  
20 exposed, yes. I'm not saying that they  
21 systematically excluded highly exposed  
22 workers, of course not. We know that that  
23 isn't true. But we do also, at least I feel  
24 from having looked at the data, that there  
25 were cases in higher exposure categories that

1 weren't monitored in the '50s.

2 **DR. NETON:** We're using the 95<sup>th</sup> percentile  
3 distribution as well so --

4 **MR. CHEW:** But, you know, Arjun, we also  
5 remember that we're talking about claims that  
6 came in, coming in, that somehow we identified  
7 that that person probably should be monitored.  
8 And so now you have to look at that particular  
9 individual claim to see where the data,  
10 whether the specific TBD or TIB explains how  
11 by his or her job description we're able to  
12 assign that unmonitored exposure to him.

13 Arjun, I'm going to send this  
14 particular graph down to you to show you some  
15 of the history by year. And so clearly, some  
16 of this beginning in the 1940s, people were  
17 monitored. And so we have information on  
18 people both construction workers and all  
19 monitored workers dating back. And if you  
20 really look at the graph itself, it's very  
21 interesting. It's going to tell us a story  
22 about the development of the weapons program  
23 like I started talking about this morning.

24 And we tracked, the exposures were  
25 tracked to see how the development occurred.

1 In the early years obviously zero  
2 reconstructing and it started to build up, you  
3 know, some of the early work at Atomic  
4 Laboratory, the Hanford, you know, the  
5 separation processes. And then DuPont was  
6 involved with the early separation processes  
7 at Hanford. And then they took it down to  
8 Savannah River some of the separation  
9 processes were better well defined. And so  
10 they were able to build for their system.

11 Now to answer some of your questions  
12 here, you're basically coming up with is it  
13 really plausible, can I develop a scenario  
14 like you just described? You know, I have a  
15 person who worked in high radiation field for  
16 a significant amount of time who really was  
17 unmonitored. So will you have a way to get,  
18 find exposures to that particular claimant by  
19 taking the 95<sup>th</sup> percentile times 1.4. And do  
20 you think we have bounded it? You think?

21 **DR. MAKHIJANI:** Two things, if you look at  
22 the 2007 Inspector General report that just  
23 came out about bioassay not external dose.

24 **DR. NETON:** Current exposures.

25 **DR. MAKHIJANI:** Current exposures but under

1 current rules. Are the rules being followed?  
2 Are the workers being separated according to  
3 low and high exposure categories by current  
4 criteria? Which would also be, you know,  
5 you're doing the best --

6 **DR. NETON:** I found it convincing that the  
7 highest exposed workers were monitored in the  
8 Inspector General report.

9 **DR. MAKHIJANI:** But are the workers --

10 **DR. NETON:** I thought that was the  
11 conclusion.

12 **DR. MAKHIJANI:** That's not the point. You  
13 don't know among the people who were entering  
14 radiological areas who were not monitored  
15 that's part of the point and studied the  
16 report. I've scanned it --

17 **DR. NETON:** I looked at it, Arjun, and I  
18 don't see that you're making a point by citing  
19 that report. Go ahead.

20 **DR. MAKHIJANI:** Maybe not. And maybe you  
21 studied it better than I have. But I think,  
22 at least in the '50s and '40s, to step away  
23 from the report which you read and I have, I'm  
24 not saying that -- I think Steve put it well  
25 when he gave an overview that there's no claim

1 in our review that this isn't broadly claimant  
2 favorable to the vast majority of workers that  
3 we're talking about. We're not, that's not  
4 the claim.

5 I think that overview statement was  
6 right, and we agree with TIB-0052 on that.  
7 The question is are the categories of workers,  
8 not just random people in the table, are there  
9 categories of workers that TIB-0052 would not  
10 pick up who are unmonitored construction  
11 workers. And I think that for certain times  
12 and certain types of workers that this is at  
13 least plausible, and this idea should not be  
14 rejected out of hand.

15 **DR. NETON:** I think it's speculation. We  
16 can't live on speculation. If you look at the  
17 comparison in the data, there's a factor, by  
18 eyeball here, of at least a factor of four  
19 difference between the construction workers  
20 and the all workers. And we're comparing the  
21 95<sup>th</sup> percentiles that are a factor of four  
22 different, I have trouble believing that that  
23 does not indicate that we're providing a  
24 generous margin of dose to those workers who  
25 were not monitored. And probably for the most

1 part many of them didn't need to be monitored.  
2 But we're giving this to the people who  
3 probably should have been monitored a factor  
4 of four higher than what their counterparts  
5 were receiving.

6 **MR. CHEW:** And the upshot of that is that  
7 the unmonitored worker based on this process  
8 is going to get more exposures than the  
9 monitored worker.

10 **DR. NETON:** No, what I'm saying --

11 **DR. MAKHIJANI:** Yeah, I recognize it's --

12 **MR. MARSCHKE:** If you look at 152, if you  
13 look at the Oak Ridge data, I mean, from 1972  
14 on basically the ratio of construction worker  
15 to all monitored worker is greater than, it's  
16 1.5 or greater. And so how do you, if you  
17 look, one of the questions is how did you  
18 settle on 1.4?

19 **MR. CHEW:** I'd like to answer that. It's a  
20 very good question. There was a considerable  
21 amount of discussion when 1.4 was arrived at.  
22 You pointed out some very good information  
23 that especially in the latter years, most  
24 people were monitored and construction  
25 workers. They worked multipliers even much

1 greater than 1.4, 1.5, 1.8, 1.9. As you can  
2 see here we even listed them.

3 But why we did not include that in the  
4 1.4 is you really looked at the exposure  
5 itself, exposure itself. Then the value of  
6 the exposure at the 95 percentile, they are  
7 down in the hundreds or less than a hundred  
8 millirem. And we thought it that no matter  
9 what you did it would probably be not in the  
10 compensable category. So we focused in on  
11 where the exposures were of a higher value in  
12 the rem categories --

13 **DR. NETON:** There's also the monitoring all  
14 the workers. We've got the entire workforce  
15 monitored. Badges were handed out very  
16 readily to all workers at that point.  
17 Construction workers who were brought in maybe  
18 for specific jobs would be higher at that  
19 point, but they're monitored.

20 **MR. MARSCHKE:** See, there's a lot of stuff  
21 that went into the selection of OTIB-0052.  
22 And one of the selections is 1.4. A lot of  
23 thought processes went into this is not really  
24 reflected in the document itself.

25 **DR. NETON:** Yeah, well, that's the problem.

1 We're writing documents for our own guidance.

2 **MR. MARSCHKE:** So when we look at it we have  
3 these questions, and again, we looked at it.  
4 We could see that, you know --

5 **MR. CHEW:** Steve has a very good point.  
6 When we first, actually for all the years for  
7 all the sites we studied, we actually took the  
8 ratios for every year. Most of them were  
9 below one. And you know that already. Well,  
10 I said, well, is that the way to present the  
11 information.

12 Let's really step back and take a look  
13 at it. How many of them are above 1.1, 1.2 or  
14 1.3? Where are we going to see the trend of  
15 what a reasonable coworker adjustment factor  
16 would be? And we looked at all the numbers  
17 and the exposure itself in consideration. And  
18 then 1.4 was consensus-wide, the reasonable  
19 adjustment factor here.

20 **MR. MARSCHKE:** The selection of 1.4 to me is  
21 very much subjective and that's why we did  
22 this proof in the pudding type where we ran  
23 the samples to see how robust the 1.4 was.  
24 And we were, I guess one could say pretty well  
25 pleased that for most of the samples that we

1 ran -- I think we ran about 60 samples.  
2 Twenty at each of the three sites that we  
3 looked at. And we only had a handful or so  
4 that basically the OTIB-0052 methodology  
5 produced lower results than the measured  
6 results, and then not more than a factor of  
7 two lower. So it seemed to always produce  
8 either doses that were very close to or above  
9 what the measured doses were. So but again,  
10 there's a lot of questions, I know there's a  
11 lot of questions out there from the meeting we  
12 had with the center as to how the 1.4 was  
13 decided upon. And because there are a lot of  
14 numbers out there which are greater than 1.4.

15 **DR. MAURO:** When you say they're greater  
16 than 1.4, is for the ten year, for duration of  
17 the --

18 **MR. MARSCHKE:** No, that's just for --

19 **DR. MAURO:** I think the interesting problem  
20 is this. You have a worker, and what we have  
21 seen here is that it's possible that in a  
22 given year, a given worker who was not  
23 monitored may very well, it's possible, have  
24 gotten exposure more than 1.4 times, that is,  
25 if you use this method for that year. Because

1                   you're operating at the 95<sup>th</sup> percentile the  
2                   probability that ten years in a row --

3                   **DR. NETON:** No, I understand that.

4                   **DR. MAURO:** -- that's not going to happen.  
5                   So there's no doubt when you're looking at a  
6                   stretch of time for a worker where he's there  
7                   for every year, and we're going to assign him  
8                   every year not the 95<sup>th</sup> percentile year after  
9                   year, 1.4 times year after year. So I have to  
10                  say when I look at that I say I buy that. But  
11                  the dilemma then becomes what about the person  
12                  that was just there for one year.

13                  And you apply this, and he's a  
14                  pipefitter. It seems to me there's a very  
15                  real possibility that he's just -- and this is  
16                  going to be a rare occasion -- and he was  
17                  unmonitored, and so it's almost like when are  
18                  we conservative enough. From reading the  
19                  report and asking questions just like we're  
20                  asking now, I am convinced that over a stretch  
21                  of time, the methodology as you've developed,  
22                  the chances that one person year after year  
23                  after year after year who's unmonitored go the  
24                  upper 95<sup>th</sup> percentile times 1.4 for every one  
25                  of those years, the probability of that

1                   occurring is zero or approaches some  
2                   astronomically small number.

3                   But for any given one year, I would  
4                   say there's a very well possibility it could  
5                   have happened to some people. It might have  
6                   been just for one year. Is that good enough?  
7                   And that becomes almost like a judgment call.

8                   **DR. NETON:** The question is though Mel has  
9                   pointed out a couple of instances where those  
10                  couple years are high because it was a point  
11                  where we believe that they would have been  
12                  monitored so that kind of goes away.

13                  **MR. MARSCHKE:** They were doing specific  
14                  tasks.

15                  **DR. MAURO:** They were doing specific, that's  
16                  where --

17                  **DR. NETON:** -- job and we can account for  
18                  that at the Savannah River site. But I'm not  
19                  sure how many --

20                  **DR. MAURO:** Well, that person never existed.  
21                  In other words, that person doesn't --

22                  **DR. NETON:** Those people probably don't  
23                  exist.

24                  **MR. CHEW:** And, John, look at this tail  
25                  here. Remember, if you look at the DOE

1 complexes in the graph it's kind of  
2 interesting, the exposures here and tailing  
3 off because the Cold War ended here, and then  
4 the breakdown. These doses, even though where  
5 we talk about numbers, are low. Even though  
6 you can multiply times two or 1.81.9, whatever  
7 number you want. That's why we just kind  
8 of... But we presented in the graph because  
9 it was there so that's our actual data. We  
10 did focus in clearly on this particular period  
11 of time where the exposures are significant  
12 enough that it would make a difference.

13 **MR. GRIFFON (by Telephone):** Mel, this is  
14 Mark Griffon. Been listening in to this. The  
15 one question I had, in the overview Steve  
16 mentioned this concern that SC&A has about  
17 the, and I think John just sort of highlighted  
18 again, the sort of category for less than  
19 five-year period or three-to-five or whatever  
20 the cutoff there was.

21 And that's what John was sort of  
22 raising where when, would be conservative in  
23 that regard. I just wanted to, I wondered if  
24 you assessed what the magnitude of that  
25 population could be because if I'm looking, I

1           have some numbers for a couple sites. And it  
2           seems to me for some of the construction  
3           workforce you could have a fair percentage of  
4           workers that fall into that less than five  
5           year category. It's not that unreasonable.

6                     I mean, the Nevada Test Site for  
7           instance in the medical monitoring program I  
8           just looked up some numbers. It was like 850  
9           out of 2,700 that reported less than five  
10          years work. So it's not like all these guys  
11          have ten, 15 years at the site, so a little  
12          more, at least for some of the sites. I  
13          wondered if you assessed that at all in your  
14          analysis in TIB-0052.

15                    **MR. NETON:** Well, I don't think we did, Jim,  
16           I don't think we that we looked at it in those  
17           narrow brackets, but the example you used, the  
18           Nevada Test Site, it comes to my mind that  
19           most of those people were monitored after a  
20           certain year. We have very good monitoring --

21                    **MR. GRIFFON (by Telephone):** Yeah, and they  
22           could have been, yeah, I didn't crosswalk that  
23           with whether they were monitored or not,  
24           that's true.

25                    **DR. NETON:** This doesn't make a difference

1 in the dose reconstruction. The thought comes  
2 to mind if a person only worked a year or two  
3 the chance of their dose becoming high enough  
4 to be compensable is pretty slim. But that's  
5 probably not a good argument to make.

6 **DR. MAKHIJANI:** We actually have a number  
7 here for Hanford from Eula Bingham. I mean,  
8 we have independently verified it, but she  
9 brought this up. You know, the short-term,  
10 long-term thing came up during our interview  
11 with CWR, and I just, so we asked, you're  
12 expressing the concern that workers who were  
13 there for shorter periods may have been there  
14 when the factor of 1.4, when 1.4 factor may  
15 not apply. So we asked her that and Eula  
16 said, yes, some worked for short periods, some  
17 not. At Paducah construction workers average  
18 length of employment was about three years.  
19 At Hanford it was 15 years. Oak Ridge was 17  
20 years. So it's all over the map, and so you  
21 actually, if the average length of employment  
22 for construction workers is three years, then  
23 you have a problem for some groups of workers.

24 **DR. NETON:** Only if they were unmonitored.

25 **DR. MAKHIJANI:** Then you have this whole

1 thing about, you know, the other thing that  
2 came up is that DOE has even lost track of the  
3 subcontractors let alone knowing where workers  
4 are. So the whole question of whether you  
5 call it your records, who was monitored, and I  
6 think especially for the early years, I don't  
7 think that they can be dismissed saying that  
8 we knew who was being monitored. Well, at  
9 Rocky Flats we have documentary evidence that  
10 even though the Health Physicists in the field  
11 knew that the people who were exposed to  
12 plutonium tetrafluoride were at risk of  
13 neutron exposure, they decided not to monitor  
14 the people in Building 71 for neutron exposure  
15 until 1956. And that is in the history of the  
16 Rocky Flats site. So it's not necessarily  
17 that the Health Physicist didn't know what was  
18 going on, but it was management decisions how  
19 to do certain things. And when we have that  
20 documented for secure workers in the '50s at  
21 Rocky Flats, I think the burden of proof in  
22 the way I read the regulation, had to be, at  
23 least for the '50s, on the government to say,  
24 okay, we know that everybody with high  
25 exposures were monitored and this is going to

1 cover it because I think --

2 **DR. NETON:** Well, you're challenging the  
3 entire coworker approach. This just goes  
4 beyond, this is the entire coworker model  
5 approach then you're challenging.

6 **DR. MAKHIJANI:** Well, for a certain period -  
7 -

8 **DR. MAURO:** Short term.

9 **DR. MAKHIJANI:** No --

10 **DR. NETON:** For any period really.

11 **DR. MAKHIJANI:** No, I think, no, the reason  
12 I've said that if you're adding 95<sup>th</sup>  
13 percentile, then a factor of 1.4 over ten-year  
14 periods, first of all it's a very long period,  
15 then the probability that you're going to be  
16 on the short side is very low.

17 **DR. NETON:** Well, I don't follow that  
18 argument, Arjun. I mean, you're saying that's  
19 okay, but then just before that you said that  
20 we don't even know who was monitored and when  
21 and why. I mean, you've got two extremes you  
22 just pointed out to me, and one is right and  
23 one is wrong. I mean, I don't understand  
24 that.

25 **DR. MAKHIJANI:** I think that my statement is

1 a little more nuanced than you hear them.

2 **DR. NETON:** I don't know. We don't know who  
3 was monitored at Rocky Flats. They purposely  
4 didn't monitor them, and they just ignored it  
5 because for whatever reason they made a  
6 management decision not to.

7 **DR. MAKHIJANI:** I made a more careful  
8 statement about what's in the history of Rocky  
9 Flats about who was monitored. Now, this is  
10 not Arjun Makhijani waking up one day and  
11 making a decision about what happened over  
12 there. We do know that in Building 71 neutron  
13 monitoring started in 1956.

14 **MR. CHEW:** And we're not here to argue about  
15 Rocky Flats again because we've done that for  
16 two years here. I would like to say I would  
17 highly unlikely that a construction worker  
18 would be working in front of plutonium  
19 fluoride. I just want to discuss that point,  
20 and let's dismiss that. Let's focus in on  
21 really construction workers.

22 And I have the categories here, and  
23 what are the likelihoods of them really being  
24 exposed to a significant level above the all  
25 monitored worker which is now the coworker

1 study here multiplied times 1.4. That's  
2 really the bottom line here, and we need to  
3 focus on that.

4 **DR. MAKHIJANI:** Let us focus on that because  
5 just now or twenty minutes ago, the argument  
6 was made that if they are in a secure area,  
7 they'd have a security badge and a badge. And  
8 therefore, and everybody who went in there,  
9 therefore, by analogy construction workers  
10 would also have been badged.

11 Now I'm saying that you're in a secure  
12 area in the '50s. We have in an area where  
13 there were known to be neutrons we had  
14 unmonitored workers in the most secure area at  
15 Rocky Flats. So I'm just picking up your --

16 **MR. SHARFI:** SEC issue versus a --

17 **DR. MAKHIJANI:** No, no, no, it's not an SEC  
18 issue.

19 **DR. NETON:** Why were they not monitored  
20 though, Arjun? You didn't finish the story.  
21 Because they were judged to be below a certain  
22 monitoring threshold.

23 **DR. MAKHIJANI:** No.

24 **DR. NETON:** Yes, they were. They were  
25 judged to be below a certain monitoring

1 threshold.

2 **DR. MAKHIJANI:** I have the history in my --

3 **DR. NETON:** Well, they didn't not monitor  
4 because they were the most highest exposed  
5 workers. I mean, that's the point is that  
6 they were judged to have an exposure that  
7 didn't meet a certain monitoring threshold, a  
8 criteria. And so when you start badging the  
9 higher exposed workers, these studies are even  
10 more generous because you've got a subset of  
11 higher exposed workers, and we're taking the  
12 95<sup>th</sup> percentile of that. I don't think that  
13 they just deliberately didn't monitor the  
14 workers in the plutonium facility because they  
15 were high. It was a rational decision made  
16 why they weren't monitored, and that's the  
17 rest of the story.

18 **DR. MAKHIJANI:** Well, I don't think that the  
19 history of Rocky Flats represents a rational -

20 -

21 **MR. CHEW:** They came and measured it and so  
22 they know what the exposures were, and so they  
23 made their decision.

24 **DR. NETON:** Yeah, my recollection was that  
25 there was a 500 millirem cutoff or something



1           They were basically weekly limits and daily  
2           limits for administrative purposes. But even  
3           if you didn't know the identity of a person,  
4           you could pretty well guarantee that they're  
5           not going to get more than a certain amount a  
6           week if they're working in a high dose area.  
7           It perhaps was different in other facilities,  
8           but I couldn't imagine any worker, say at Oak  
9           Ridge, coming in and working in a high dose  
10          area and not being monitored.

11          **MR. CHEW:** That's our point.

12          **DR. ZIEMER:** It would be equivalent of a  
13          work permit. You had to have --

14          **MR. CHEW:** I know I'm being redundant here,  
15          but we are focusing on the person who is  
16          unmonitored or would have information that's  
17          missing in his monitoring record, that's fair,  
18          right? And we are going to be assigning that  
19          particular (unintelligible) would be without  
20          the information the 95<sup>th</sup> percentile of the all  
21          monitored worker where I think that shows  
22          clearly through all of the sites except for  
23          the few years that we discussed about.

24                   And wherever, as a matter of fact in  
25          our study, when we did the study, every time

1 we saw that the construction worker data was  
2 above potentially the all monitored worker,  
3 even at ten percent of the 1.2 times, we  
4 clearly tried to identify and go back to know  
5 what operations that we know of and try to  
6 identify what they did and were the people  
7 monitored. And so what was the likelihood of  
8 unmonitored?

9 We've got to also look at some of  
10 these particular sites, and the important  
11 ones. If you look at Hanford; you look at  
12 Idaho; you look at Savannah River, these are  
13 the very large sites. And so people can come  
14 in and out of all those sites including the  
15 deer as you well know. And so there are  
16 fences around those particular sites that have  
17 the separation and materials here. And so  
18 there's clearly a control point where people  
19 would come in. And also in the early days  
20 both areas were classified and secured area,  
21 they would have been monitored.

22 Anyway, I think, Wanda, if SC&A has  
23 any other points on the matrix that we  
24 responded that they are still lacking  
25 clarification, we have no problem. I think we

1 discussed the subject maybe to their  
2 satisfaction I hope.

3 **MS. MUNN:** In skimming down the NIOSH  
4 response column to the matrix, it seems to me  
5 we've covered in our discussion most of the  
6 items fairly well that are mentioned here in  
7 one way or another.

8 **MR. CHEW:** I'm just kind of curious, I'd  
9 like to say something, Wanda. Item number 2-  
10 8, you asked us to go look at the HPAREH, I  
11 mean, basically all the external doses are  
12 from HPAREH. Needs to evaluate other doses  
13 like Fayerweather, ABST. Why did you want to  
14 put that issue in because you thought yourself  
15 it was an issue? I'm just kind of curious why  
16 that was in there.

17 **MR. MARSCHKE:** Again, to make the document  
18 harder. To make the document more, you know,  
19 to somebody picking up the document and  
20 reading the document who has a knowledge of  
21 Savannah River, they know that HPAREH is not  
22 the only data source of data out there. So I  
23 would think a statement to that effect that we  
24 have looked at Fayerweather and so and so  
25 forth is basically, that type of statement.

1           **MR. CHEW:** Well, see, we happen to know when  
2 our initial view graph to Savannah River at  
3 the meeting, we also mentioned we had looked  
4 at the Fayerweather data. And so a year later  
5 after we put the document together, we just, I  
6 apologize. We didn't put that in.

7           **MR. MARSCHKE:** If that, in fact, is the case  
8 when you do look at it, you get results which  
9 are similar to what we got.

10          **MR. CHEW:** I certainly hope so.

11          **DR. NETON:** One issue that we -- I'm sorry.

12          **MS. MUNN:** Go ahead.

13          **DR. NETON:** I'd like to bring up that we  
14 didn't talk about is this finding about that  
15 we didn't do the modification that we had  
16 discussed with CTWR. I feel like we do owe an  
17 explanation for that. It is true that Mel and  
18 I and I think Justin Conoyer met with CTWR in  
19 Silver Springs and had a very engaging  
20 conversation with the folks there including an  
21 expert exposure assessors. Primarily an  
22 industrial hygiene background, but they  
23 brought to the table some very good expertise  
24 in exposure assessment particularly when  
25 you're dealing with air sample data.

1                   And we discussed a number of options  
2                   as to how we could move the internal dose  
3                   assessments forward. And after looking at a  
4                   lot of air data, we decided, well, maybe it  
5                   would be more appropriate to increase the GSD  
6                   on our, geometric standard deviation, on our  
7                   values for internal and apply them that way  
8                   and take a 95<sup>th</sup> percentile and reconstruct  
9                   doses that way.

10                   And as it turns out when went back,  
11                   and we tried to apply that to our dataset, we  
12                   ended up with implausibly large values. I  
13                   mean, just tremendously high intake values  
14                   that made no sense in light of what we know  
15                   about the general exposures at the plant. And  
16                   that's when I started having discussions with  
17                   other folks, Mel included, to say, hey, we  
18                   have internal dosimetry bioassay data where we  
19                   can differentiate just like we did with  
20                   external construction workers, non-  
21                   construction workers.

22                   And that's where we ended up, using  
23                   the real data which the nice feature is that  
24                   it takes care of the, you don't have to  
25                   extrapolate from air sample data any more,



1           **DR. MAURO:** Steve, are there any other items  
2 in here that you think need to be raised?

3           **MR. MARSCHKE:** We haven't talked about  
4 neutrons yet, and OTIB-0052 is also quiet on  
5 neutrons. And we do have one comment and one  
6 finding in the matrix where we basically, we  
7 raise the neutron issue. And you have a  
8 response here, and I guess you're applying the  
9 same 1.4 multiplier to neutrons --

10          **MR. CHEW:** To the total exposure.

11          **MR. MARSCHKE:** To the total exposure which  
12 would include the neutrons as you would apply  
13 just a straight gamma dose. I don't know. Do  
14 we want to get any more into that or --

15          **DR. MAKHIJANI:** Steve, just a memory  
16 question. You wrote the report so I don't  
17 remember. Didn't you find that in some sites  
18 neutrons were included and some sites they  
19 were not? That's my memory.

20          **MR. CHEW:** That's true.

21          **DR. MAKHIJANI:** So I think it's not  
22 consistent that the 1.4 is being applied. Am  
23 I wrong about that?

24          **MR. CHEW:** No, because if you compare site  
25 to site construction worker or all monitored

1 worker, that individual site stands alone  
2 here. So if neutron doses were applied, it  
3 would be applied both the all monitored worker  
4 and the construction worker for that  
5 particular site. Now, I think Savannah River  
6 was the only one we really found that had  
7 neutron doses. And we really did not find  
8 much neutron dose exposure to construction  
9 workers. There's another claimant  
10 favorability because the all monitored worker  
11 had more neutron exposure.

12 **DR. MAKHIJANI:** But you're not applying the  
13 1.4 to neutron doses. I didn't understand.  
14 It's just a question. I don't have a  
15 statement about it.

16 **MR. CHEW:** You apply the 1.4 to the total.

17 **DR. MAKHIJANI:** Including from all sources.

18 **MR. SHARFI:** The deep dose and the neutron  
19 dose, not the shallow.

20 **MR. CHEW:** Right, not the shallow.

21 **DR. MAKHIJANI:** I think it goes in the  
22 analysis that in developing the 1.4 that in  
23 some cases only the deep dose was counted, and  
24 in some cases the neutron dose was counted.  
25 There's some finding there that I'm not

1 remembering correctly now because I totally  
2 read the report from end to end recently, from  
3 beginning to end I should say.

4 **MR. MARSCHKE:** The Rocky Flats data the data  
5 that was used in the Rocky Flats analysis, I  
6 think had the neutron data --

7 **MR. SHARFI:** In that which would be the  
8 gamma plus neutron.

9 **MR. MARSCHKE:** And if you look at the Rocky  
10 Flats coworker OTIB as I recall, there are two  
11 or there is a construction worker table that  
12 has columns for both for gamma and separate  
13 columns for neutrons. So that's clearly  
14 they're applying the 1.4 to both.

15 **MS. MUNN:** To total dose, total dose.

16 **DR. MAKHIJANI:** But we only found that at  
17 Rocky Flats, right?

18 **MR. MARSCHKE:** I think that was only at  
19 Rocky Flats where really the neutron  
20 (unintelligible). At Savannah River I think  
21 they, each (unintelligible) characterized the  
22 doses as penetrating dose.

23 **MR. SHARFI:** I think they're separate. They  
24 have an open window, a shallow and a neutron  
25 report.

1           **MS. MUNN:** Regardless, you're still  
2 comparing site worker to site worker not site  
3 worker to some other site worker. So you're  
4 still comparing badged at this site with  
5 unbadged at this site. So you're covering the  
6 same ground no matter what.

7           **DR. MAKHIJANI:** That's right.

8           **MS. MUNN:** With that let's take a quick 15-  
9 minute break and then we will come back and  
10 address TBD-6000 briefly. We'll have a wrap  
11 up of action items, and we'll talk about one  
12 or two other things that we may not have had  
13 an opportunity to touch on this morning.

14           **DR. WADE:** We're going to take a brief break  
15 so we'll mute the phone. We'll be back in,  
16 what did you say, five or ten minutes?

17           **MS. MUNN:** Ten minutes.

18           **DR. WADE:** Ten minutes.

19           (Whereupon, a break was taken from 3:40 p.m.  
20 until 3:50 p.m.)

21           **MS. MUNN:** As we reconvene there's one item  
22 which we did not have an opportunity to touch  
23 on before lunch which I had hoped we might  
24 have some discussion on. And that's where we  
25 were on the few items that were still

1 outstanding on the first matrix. But we won't  
2 address that right now. I'll just postpone  
3 that a little bit until we have addressed the  
4 couple of immediate issues that we have before  
5 us, the first one being a discussion of TBD-  
6 6000.

7 **DISCUSSION OF TBD 6000**

8 That's recently, as you know, out and  
9 operating. And I think John touched earlier  
10 on one of the actually administrative issues  
11 that are before us with respect to Appendix  
12 BB. I believe that you all received a copy of  
13 the memo that John sent out asking about our  
14 authorization for them to continue their  
15 expectation in pursuing a review of the  
16 appendix to TBD-6000.

17 John, would you like to expand on that  
18 just a little?

19 **DR. MAURO:** Yeah, right now based on the  
20 marching orders given to us what we're doing  
21 well along is reviewing TBD-6000. TBD-6000 by  
22 the way is the generic guideline for all  
23 metalworking AWE facilities. It doesn't  
24 include refining, but simply the metal that's  
25 being worked.

1                   And it's a generic model that was  
2                   developed by Battelle and is intended to be  
3                   used where you don't have site-specific  
4                   information. Accompanying TBD-6000 are, I  
5                   believe, about 15 appendices each one dealing  
6                   with site-specific information. That sort of  
7                   sets the stage. Now where are we?

8                   We are performing an in-depth review  
9                   of TBD-6000 which in effect says here are the  
10                  default airborne radionuclide concentrations  
11                  of uranium, of thorium, recycled uranium and  
12                  its composition that we believe represents a  
13                  plausible upper bound for different categories  
14                  of workers for different time periods at these  
15                  AWE facilities. And all of this data was  
16                  gathered basically from a review of work by  
17                  Kingsley and Harris. It's one of the  
18                  definitive pieces of work on this subject.

19                  As of this date we've carefully  
20                  reviewed Kingsley and Harris and affirmed that  
21                  the numbers that have been adopted represents  
22                  the upper end of the numbers there for  
23                  airborne exposure, inhalation exposures, but  
24                  we have also determined that there are other  
25                  sources of very comprehensive data in addition

1 to Kingsley and Harris that are not cited in  
2 that TBD that we are looking at also.

3 Of particular relevance is the report  
4 that we've talked about in the past that I  
5 referred to as the Adley, A-D-L-E-Y, Report,  
6 and also there's a lot of data from Simonds  
7 Saw that is very valuable. So there are other  
8 source documents beside Harris that we're  
9 using to evaluate the airborne dust, default  
10 airborne dust loadings contained in TBD-6000.  
11 We'll be reporting on that.

12 From the point of view of external  
13 exposure, there are default values for if a  
14 person were working with uranium, enriched,  
15 recycled, depleted, whatever form of uranium  
16 and at different geometries, there were  
17 billets, rods, ingots, there's a wide variety.  
18 And in the TBD they have a long list of these  
19 different types of geometries of uranium that  
20 could represent a source of external exposure.  
21 We have already in the past ran our MCNP  
22 calculations to see what the radiation fields  
23 are for some of those uranium chunks and where  
24 we've matched their numbers. So for the ones  
25 we've looked at so far, we've confirmed that,

1                   yes, we agree that these are, in fact, the  
2                   radiation fields you would get if one foot  
3                   away.

4                   **MS. MUNN:** They're tracking well.

5                   **DR. MAURO:** They're tracking very well.

6                   So right now the status is that the  
7                   external so far is tracking well, but we're  
8                   doing more work. We're still looking at other  
9                   geometries. The internal, we confirmed that  
10                  they used the Harris Report, very sound source  
11                  document for the early years which is  
12                  especially important.

13                  But we're also right now in the middle  
14                  of that as we also comparing those data  
15                  against other important source documents which  
16                  are not cited in the TBD. And where they'll  
17                  have all of the TBD-6000 evaluations, all the  
18                  work, completed in time for an oral  
19                  presentation for the September 4<sup>th</sup> full Board  
20                  meeting.

21                  **MS. MUNN:** Full Board call, September call.

22                  **DR. WADE:** Full Board call.

23                  **DR. MAURO:** Did I say call or meeting?

24                  **DR. WADE:** You said meeting. You're right.

25                  It's a meeting, but it's a phone call.

1           **DR. MAURO:** But more important than that  
2 from our perspective because TBD-6000 in many  
3 respects is an aggregate, a compendium of  
4 information that we've already, that we've  
5 looked at in the past as part of the work  
6 we've been doing all along on AWE sites. What  
7 is new, and I think of great importance when  
8 we last met, when Senator Obama's, when one of  
9 his staffers read a letter, was Appendix BB,  
10 which is the General Steel, GSI. Isn't it  
11 General Steel Industries?

12           **MS. MUNN:** Correct.

13           **DR. MAURO:** And that is a new problem. What  
14 I mean by a new problem is General Steel  
15 Industries, its job was to do nondestructive  
16 testing of large metal components which  
17 included uranium. But at the same time that  
18 was only a small part of what they did. They  
19 also did nondestructive testing using a 25 meV  
20 data chart of a whole broad array of  
21 components made of different alloys. So what  
22 we're in the middle of doing is evaluating  
23 that, and at that point I'd like to pass the  
24 baton to the fellow that's doing the work,  
25 who's on the phone, is Bob Anigstein. He's

1                   our physicist that runs MCNP which is the  
2                   definitive model.

3                   **DR. ANIGSTEIN (by Telephone):** Excuse me. I  
4                   don't run MCNP --

5                   **DR. MAURO:** Okay, that runs the program that  
6                   our MCNP program because we have other people  
7                   than help us. With that, Bob, could you tell  
8                   us where you are on that part of the  
9                   evaluation?

10                  **DR. ANIGSTEIN (by Telephone):** Sure --

11                  **MS. MUNN:** Bob?

12                  **DR. ANIGSTEIN (by Telephone):** Yes.

13                  **MS. MUNN:** Before you begin this is Wanda.  
14                  It wasn't clear to me that all of the  
15                  different types of alloys and components that  
16                  were being looked at were, in fact, materials  
17                  that were covered by the program. Are we  
18                  talking about, I know General Steel did both  
19                  types of work, public and private, and are we  
20                  looking, I trust we're looking only at  
21                  materials and components that were included  
22                  under DOD programs or DOE programs.

23                  **DR. MAURO:** Perhaps I should answer that,  
24                  Bob.

25                  **DR. ANIGSTEIN (by Telephone):** Yes.

1           **DR. MAURO:** We've been operating under the  
2 premises very similar to what we did under the  
3 Dow investigations. That is, if an  
4 organization, private sector organization, is  
5 given a contract to provide a service to the  
6 weapons complex similar to the way Dow was  
7 given a contract to roll some uranium, it at  
8 the time of that contract there were other  
9 activities going on within that facility  
10 involving radioactive materials, such as at  
11 Dow at the time they were rolling uranium they  
12 were also making thorium alloy.

13           Any exposures that workers would  
14 experience during the covered period would be  
15 included. So even though it was, for example,  
16 the thorium operations at Dow were not AEC  
17 operations. They were occurring at the same  
18 place at the same time that the AEC operations  
19 were taking place, but as a result.

20           **MS. MUNN:** So we can segregate them?

21           **DR. MAURO:** So now swing over to General  
22 Steel Industries. We've been operating on the  
23 premise that at the time that people were  
24 performing nondestructive testing of uranium  
25 slices, billets, that came from I believe

1           Mallinckrodt, they were also, that was just  
2           one more piece of metal that was undergoing  
3           nondestructive testing. So what we've been  
4           doing is evaluating the radiation.

5                        So what we see is well, we have a  
6           worker here. His job is to use the Betatron  
7           to irradiate and get a picture of the  
8           imperfections in a uranium slab. Well, right  
9           behind that there may come a component, a  
10          steam generator, a pressure vessel or some  
11          other large component. He just moves it in  
12          and does it, and then another uranium may come  
13          in. So the operation, the way we're looking  
14          at it, the operation was an ongoing operation  
15          where components were moving in and moving out  
16          getting X-rayed.

17                       So what we're doing right is  
18          evaluating what the -- and Bob will describe  
19          what he's doing -- what the radiation field is  
20          due to the photoactivation. That is, when you  
21          use a 25 meV Betatron, the energy is so high  
22          that you cause activation unlike, you know,  
23          neutron activation would occur at low  
24          energies, but I think the threshold -- Bob,  
25          let me pass it back to you at this point.

1                   The answer to your answer is, yes,  
2                   we're looking at not only uranium but  
3                   everything else.

4                   **MS. MUNN:** All right, thank you.

5                   **DR. ANIGSTEIN (by Telephone):** Let me start  
6                   by clarifying my role in the project. My  
7                   background is in nuclear physics, and I am  
8                   familiar with MCNP. I haven't taken a course  
9                   in it; however, the actual runs are being done  
10                  by someone who is an expert who's been doing  
11                  this for many years, and who can do this more  
12                  efficiently and more competently.

13                  We work to together as a team. This  
14                  is a man by the name of Dick Ulsher\*, who's an  
15                  associate of SC&A, and I pass on the  
16                  specifications for the runs. He sends me back  
17                  the MCNP results. We discuss the significance  
18                  and just to clarify that. I don't want to  
19                  pretend that I'm and MCNP expert.

20                  What we're planning to do. So far  
21                  we've done, as John said, we verified this  
22                  uranium billet because that's a generic case,  
23                  and, yeah, we agree with it. Actually, our  
24                  results were slightly lower so we're in the  
25                  same ballpark. We also verified the gross

1 exposure rate from uniformly contaminated  
2 floor. We're going to do some further work on  
3 that, but the preliminary results show that  
4 we're in the same ballpark as the rates that  
5 are published in TBD-6000, which are applied  
6 also to General Steel.

7 Further than that we did a preliminary  
8 run on photoactivation to get that, to do a  
9 definitive work on, I should really say  
10 photofission of uranium, required the use of  
11 the MCNP X, version 2.6, which is actually a  
12 beta release. It's not available for general  
13 use, but it is available to beta testers, but  
14 there's a large number of them.

15 So obviously, NIOSH has someone with  
16 access to a code, and they can, our associate,  
17 Mr. Ulsher, has access to that code. And the  
18 reason is that there is a version, MCNP X 2.5  
19 that is publicly available. It came from Oak  
20 Ridge, at Oak Ridge. However, that does not  
21 do delayed gammas. So with the MCNP X 2.6,  
22 you can run it for any designated period of  
23 time, and it will give you the exposure or  
24 dose rate or whatever tally one wishes to use  
25 as a function of time following instantaneous

1 irradiation of the, during the very short  
2 period of time, picoseconds or something like  
3 that.

4 And then how it gets activated and  
5 then how you get the decay, you know, usually  
6 there's radioactive decay, and also possibly a  
7 build up of fission products. It does  
8 activation and fission products, but for  
9 uranium the fission product would far outweigh  
10 the activation. For the lighter elements the  
11 activation would be important because one is  
12 photo induced fission, the other one is the  
13 high energy photons knocking neutron out and  
14 create a new isotope. We are planning to do  
15 those runs.

16 Right now I'm studying the material we  
17 got from Los Alamos at Los Alamos Declassified  
18 Report which gives a little bit of information  
19 about techniques, radiography techniques used,  
20 and probably more important is the worker  
21 reports, basically worker interviews as to how  
22 it was really done.

23 And finally, basically we can simply  
24 set up the exposure parameters based on the  
25 fact that you have a film. You have a slab of

1           uranium. You have to get a certain amount of  
2           radiation through that uranium to expose the  
3           film. Typically, it's one rad is a typical  
4           number for film exposure. So that tells you  
5           how much radiation is coming in at the front  
6           end to get the desired exposure at the back  
7           end at the film.

8                        So this is all, we did one preliminary  
9           run, but this is still in the planning stage  
10          to do more once we get definite, because it  
11          takes quite a, these runs themselves on a  
12          high-speed machine can take days. So we want  
13          to get all our ducks lined up and make sure  
14          we're using the right parameters so we don't  
15          have to repeat it too many times.

16                       And right now we need some more  
17          information because based on the ORNL surveys,  
18          there are apparently two, at first glance they  
19          look similar. They look like the same  
20          diagram. When you look more closely there are  
21          two different Betatron buildings, and they,  
22          where I'm at right now is just giving you a  
23          snapshot.

24                       What is a puzzlement is what is called  
25          the old Betatron building has two circles, and

1           it says Betatron One, Betatron Two within that  
2           building. So it seems that both Betatrons  
3           were located in the same building. What is  
4           the role of the new Betatron building I'm not  
5           sure at this point. I have to do some more  
6           investigation.

7                         When ORNL did it's surveys, both 1989  
8           was the initial survey which resulted in that  
9           location being declared a FUSRAP site, needed  
10          remediation, even though it was really  
11          borderline. There were just a few spots where  
12          there was high uranium activity or at least  
13          above the DOE action levels.

14                        But then they surveyed the new  
15          Betatron building and found no elevated  
16          activity both in smear test, in surface  
17          contamination studies, in gamma exposure  
18          rates, basically it was clean. So we need to  
19          delve into that history and possibly a couple  
20          of us might make a site visit out there in the  
21          near future to see if we can get more  
22          information.

23                        And that's approximately where this  
24          stands right now. It does not seem to be,  
25          it's not clear whether you had two Betatrons

1 operating in two separate facilities in which  
2 case it was suggested that workers in one  
3 facility might be getting irradiated when the  
4 Betatron was on in the other facility.

5 But for the both Betatrons were in the  
6 same room, clearly, the room would be cleared  
7 when either or both machines were on. So in  
8 terms of finding out what the exposure rates  
9 might be outside the room to workers outside,  
10 we still need to collect more information  
11 before we can do any definitive analyses. We  
12 can do the analyses on the shapes.

13 The other puzzling thing is that they  
14 talk about ingots 18 inches in diameter.  
15 There is no way you can penetrate an 18-inch  
16 ingot with a 25 meV Betatron. I mean, you  
17 would be, your exposures would run for days,  
18 and the film would be blurred by scatter. So  
19 with the practical limit for radiography  
20 according to the Los Alamos report for the 22  
21 meV Betatron was three inches.

22 According to some scoping calculations  
23 that I did based on the fact that there is a  
24 current Betatron facility at the Letterkenny  
25 Army Depot in Pennsylvania, and they claim

1           they can do 20 inches of steel. Well, to  
2           simply take the absorption, you simply say,  
3           well, 25 meV Betatron let's say, the photons,  
4           the peak energy of the photon would be like 20  
5           meV. They'd be a little less than 20 meVs is  
6           the right number.

7                     And taking the absorption coefficient  
8           and the density of uranium and steel, the same  
9           photons would penetrate four inches of  
10          uranium. This seems to be about a practical  
11          upper limit. So I'm not sure how they do and  
12          18-inch ingot. We'll have to look into that  
13          further. You can do the edges of the ingot by  
14          rotating it or if you can get different  
15          angles, but you still won't get the core.

16                    Then in terms of addressing the  
17          different alloys of steel the simplest way to  
18          do that, we would simply look at the  
19          composition of the alloys, and there's  
20          hundreds of steel alloys, which just simply  
21          using different concentrations of the various  
22          metals that go into it, so the simplest thing  
23          to do would be to first just do pure metal.

24                    We can do pure iron, pure nickel, pure  
25          cobalt, pure manganese, whatever else goes in

1           there, and see which of these give you a  
2           serious problem, which of these leads to  
3           activation products. According to the NISOH  
4           report the only activation product they found  
5           was Iron-53 I believe it was.

6                        So we'll investigate that and see,  
7           confirm that and see whether, in fact, there  
8           are any others. And if there are, we might  
9           run two or three representative alloys, but we  
10          don't have to run every single mixture.

11          **MS. MUNN:** That's certainly an interesting  
12          academic exercise no matter how you look at  
13          it. If it were occurring a couple of decades  
14          later, I would suspect that we might have a  
15          problem with units and metric as opposed to,  
16          perhaps not.

17          **DR. ANIGSTEIN (by Telephone):** I'm sorry.  
18          I'm not following that.

19          **MS. MUNN:** Oh, I'm sorry. I was just  
20          thinking about 25-inch diameter ingots and  
21          wondering if it might be 25 centimeters, but  
22          I'm being facetious when I shouldn't be,  
23          sorry.

24          **DR. MAURO:** I wanted to add a new twist and  
25          get some guidance from the working group. I

1 got a phone call from John Ramspott the other  
2 day. He said he had some additional  
3 information. I said, okay, whenever you have  
4 any additional information please send it to  
5 Larry Elliott and to us at the same time so  
6 that I'm assuming you've received the sequence  
7 of e-mails that I received related to  
8 basically the full range of different kinds of  
9 materials.

10 He sent some photographs of the, in  
11 any event, information is flowing in. And I  
12 guess I'm assuming that we'll take a look at  
13 it and use our judgment on what other kinds of  
14 analysis might be in order in order to address  
15 an issue that might be raised. So what I'm  
16 concerned about, I'll give you a very good  
17 example.

18 One of the, I found out is when you  
19 take a shot, a picture, maybe take multiple  
20 shots. They take a big component. They make  
21 little squares out of it. And they take a  
22 shot, then they move it, take a shot, move it,  
23 take a, and then when they're done, they look  
24 at the X-ray, and they may see some flaws.  
25 And this may be metal not the uranium, and

1                   they go repair it.

2                   And repairing as I understand it is  
3                   when they take an acetylene torch and cut it  
4                   open, and the using a welding fill in the  
5                   voids or the imperfections so that, that tells  
6                   me that, okay, so not only is it, and it's  
7                   done shortly thereafter. The X-ray is taken.  
8                   They finish.

9                   Now we're finding out that when you  
10                  do, whether it's activation products that's  
11                  being produced, and they're decaying pretty  
12                  quickly, but still a person's pretty up close  
13                  and personal if they're doing some repair  
14                  work. There's also the question that, well,  
15                  if you're using an acetylene torch, that means  
16                  you're generating fumes. So there you have  
17                  all of a sudden something we didn't even think  
18                  about. We have an aerosol.

19                  Now, the first reaction was, well, if  
20                  it's an aerosol, we have information on what  
21                  the concentration is for fumes when you're  
22                  using an acetylene torch. It turns out  
23                  there's data on that so we could come up with  
24                  milligrams per cubic meter, and will know  
25                  what the activity is in the activated metal,

1 so in theory we could do some internal dose  
2 calculations.

3 **MS. MUNN:** And hopefully, you can identify  
4 early on whether this will be significant or  
5 not. If it's not significant, then it's not  
6 worth pursuing. If it's significant, then we  
7 need to know that.

8 **DR. ANIGSTEIN (by Telephone):** But  
9 basically, it will depend on is the half life  
10 of these isotopes because if they go away in a  
11 few minutes or even a few hours, even though  
12 they could give an external dose, they're  
13 powerful gamma emitters, they just won't be in  
14 the body long enough to give any significant  
15 internal dose.

16 **MS. MUNN:** True.

17 **DR. MAURO:** But I want to give you a sense  
18 of the scope. So in other words, the scope is  
19 expanding, and we want to make sure that  
20 everybody's comfortable with that. Starting  
21 from just taking a look at a uranium, in other  
22 words, that's how it all began. Someone  
23 sending a uranium slab for nondestructive  
24 testing using the Betatron, now we're dealing  
25 with other metals, other alloys, and also now

1 we're about the repair work that goes with  
2 that, so things are expanding.

3 And right now our plan is to look at  
4 all of these issues and report back on  
5 September 4<sup>th</sup> on where we are. I still expect  
6 to be able to deliver our report in a timely  
7 fashion. I think we said about we needed  
8 about, I forget how long, how much time,  
9 something like six weeks. I forget the time  
10 period we gave for getting this work done.

11 **MS. MUNN:** You said about six weeks.

12 **DR. MAURO:** Six weeks to two months, right.  
13 I think, so we're still, notwithstanding the  
14 change in the somewhat expansion in scope, I  
15 think we'd still be able to stick with that  
16 timetable and deliver our report.

17 **MS. MUNN:** The potential expansion in scope  
18 has been my concern which is why I did not  
19 notify other members of the working group and  
20 simply asked the question is there any problem  
21 with this. I wanted it to occur at this  
22 meeting because clearly scope is important.  
23 We don't want to miss something that is  
24 significant for our dose reconstructors, but  
25 at the same time we cannot go on indefinitely

1 looking at every alloy that may have ever  
2 passed through General Steel.

3 **DR. ANIGSTEIN (by Telephone):** We wouldn't  
4 do that because as I said, we'll just use the  
5 individual metals and see which ones, because  
6 there's a very large number of alloys but a  
7 very small number of metals actually used in  
8 the alloys. So the alloy just behaves as the  
9 sum of its components. So if we look at the  
10 individual components, we'll have covered  
11 everything.

12 **DR. WADE:** And let's talk about the two  
13 issues. In terms of the expansion of scope at  
14 a minimum you need to contact me and let me  
15 know. I would suggest that you contact the  
16 Chair of the work group, and if she deems it  
17 appropriate, the entire work group, because  
18 the Board has given the auspices of this work  
19 to the work group. But I don't see issues in  
20 this, but I think before you would undertake a  
21 significant expansion of scope, you should  
22 contact me, contact Wanda, and then we can  
23 decide on a path forward.

24 **DR. MAURO:** Right now Bob is really --

25 **DR. ANIGSTEIN (by Telephone):** Okay, also --

1 can I make a point, John?

2 **DR. MAURO:** Yeah, sure.

3 **DR. ANIGSTEIN (by Telephone):** In terms of  
4 the internal there's really very little work  
5 involved because once we've identified which,  
6 what are the activation products, which short-  
7 lived radioisotopes or perhaps not so short  
8 lived, get created, as John said, we have the  
9 information on fume concentrations inside the  
10 welders mask. Actually we used that in the  
11 report that was prepared and published by the  
12 NRC so we have sort of a pedigree on that.

13 And then it's just a matter of looking  
14 up the dose conversion factors for coming up  
15 with the dose. So that's really, we're  
16 talking about for any individual isotope,  
17 we're talking about a few minutes, an hour's  
18 work if that much.

19 **DR. WADE:** And that's fine. I think, John,  
20 you need to contact me.

21 **DR. ANIGSTEIN (by Telephone):** We're not  
22 talking about a large man-hour effort.

23 **DR. WADE:** The other issue I'd like to talk  
24 about before we lose the currency of this is  
25 that the situation was that the Board got a

1 letter from Senator Obama asking for an SC&A  
2 review of TBD-6000 and the appropriate  
3 appendix. The Board accepted that, assigned  
4 that work to its contractor. The Board also  
5 asked that I schedule an update from the  
6 contractor on the September 4<sup>th</sup> call.

7 I notified John of the fact that that  
8 had happened, and he's prepared to do it.  
9 Again, this is all done under the auspices of  
10 this work group. So whether or not that  
11 update happens really depends upon the  
12 pleasure of the work group. So I need to know  
13 if you're comfortable with John giving the  
14 update as the Board had originally asked based  
15 on what you've heard today.

16 **MS. MUNN:** It's still my understanding that  
17 this is being performed under this year's  
18 contract.

19 **DR. MAURO:** Yeah, we will be able to perform  
20 this work under the current budget that we've  
21 allocated to Task Order Three because it turns  
22 out we're coming in under budget on Task Order  
23 Three, and we have some extra resources there,  
24 so we're able to do that work under Task Order  
25 Three and within that six weeks, two months

1 time period including the expanded scope that  
2 we just were talking about.

3 **MS. MUNN:** This doesn't sound like a problem  
4 to me. Do either of you see a problem? Mark,  
5 are you still there?

6 (no response)

7 **MS. MUNN:** Mark doesn't seem to be there.

8 **DR. ANIGSTEIN (by Telephone):** Can I ask a  
9 question regarding this? We may not be  
10 finished though by September 30<sup>th</sup> so there may  
11 be some expenditures of effort past the  
12 current fiscal year.

13 **DR. WADE:** That's fine, not a problem.

14 So the work group now is okay with the  
15 work group with SC&A giving this update next  
16 Tuesday, and that's fine. That's all we  
17 needed to know.

18 **MS. MUNN:** Yes.

19 **DR. ZIEMER:** A couple questions, Bob, can  
20 you say anything at this point about the  
21 photofission process? My impression is that's  
22 a pretty inefficient process, but I don't know  
23 much about it beyond that.

24 **DR. ANIGSTEIN (by Telephone):** For uranium  
25 you have something a giant quadruple cross-

1 section resonance that's between, just off the  
2 top of my head remembering, something like 14  
3 meV. And since we have copious photons in  
4 that energy range coming out of the 25 meV or  
5 24 meV Betatron, you do get significant  
6 photofission, much more so than  
7 photoactivation of neutron emissions.

8 **DR. ZIEMER:** Well, these are relative terms.  
9 The photoactivation is pretty inefficient  
10 also, and I think you can look at the medical  
11 literature. They used Betatrons in this  
12 energy range, and they used alloys for shields  
13 to shape the fields, and they get activation  
14 of those materials. And so there's a  
15 literature on that, but it's very inefficient.

16 **DR. ANIGSTEIN (by Telephone):** Well, the  
17 point of the MCNP X analysis is --

18 **DR. ZIEMER:** I know you want to find that  
19 out. I was just trying to get a feel how does  
20 photofission order of magnitude compare with a  
21 neutron-generated fission? Is it like six  
22 orders of magnitude less?

23 **DR. ANIGSTEIN (by Telephone):** I can't  
24 answer that.

25 **DR. ZIEMER:** Oh, okay. Well, we'll find out

1 I guess.

2 **DR. ANIGSTEIN (by Telephone):** I mean,  
3 certainly, you're not going to get a  
4 criticality.

5 **DR. ZIEMER:** Oh, no, no, no, I'm not even,  
6 no, I'm just --

7 **DR. ANIGSTEIN (by Telephone):** Neutrons you  
8 can get criticality.

9 **DR. ZIEMER:** No, no, I'm talking about the  
10 activation products or the fission products.

11 **MR. CHEW:** But what is the relative cross-  
12 sections.

13 **DR. ZIEMER:** That's why I'm sort of asking.

14 **DR. ANIGSTEIN (by Telephone):** I'm sorry. I  
15 didn't hear that last comment.

16 **DR. ZIEMER:** What are the cross-sections for  
17 photofission compared to the --

18 **DR. ANIGSTEIN (by Telephone):** I have them.  
19 I can't quote them. I don't have them at my  
20 fingertips. They're in the documentation for  
21 the MCNP X 2.6, and I have it in my computer,  
22 but I don't like looking things up while I'm  
23 on the phone because I get, I can't do two  
24 things at once.

25 **MS. MUNN:** John, do you feel like you have

1 the answer to your question?

2 **DR. MAURO:** Yes, the answer is, yes, we  
3 should continue down the pathway. And if for  
4 any reason anything other evolves in terms of  
5 new material comes in that changes the scope  
6 again, I will certainly let you know  
7 immediately.

8 **MS. MUNN:** Thank you.

9 **DR. MAURO:** But so far I feel comfortable  
10 that we can take care of this given the time  
11 and budget that we originally discussed.

12 **MS. MUNN:** We'll continue on the path that  
13 you have established.

**REPORT ON STATUS OF SECOND MATRIX, RATINGS**

14 **AND OF "CROSSWALK" TIB/PROC TABLE**

15 And one last item as I mentioned  
16 earlier prior to our wrap up and a review of  
17 action items has to do with the Table 1  
18 summary of first set of procedure reviews.  
19 You may recall that from long, long ago.  
20 Kathy Behling, are you still there?

21 **MS. BEHLING (by Telephone):** I'm still here.

22 **MS. MUNN:** Bless your heart. Thank you.

23 **MS. BEHLING (by Telephone):** I'll be brief.  
24 You should have received two tables from me  
25 somewhere around July 8<sup>th</sup> of 2007, and what I

1 was trying to do in response to the request  
2 from the previous work group on Table 1 is  
3 providing you. I went through the matrix, the  
4 first matrix for the first set of procedures  
5 that we reviewed, and I summarized all the  
6 documents that we reviewed, what revision they  
7 were and identified the total number of  
8 findings and then the total number of  
9 outstanding findings.

10 And let me just define outstanding  
11 findings. Those are findings that we had  
12 agreed upon that the resolution was for NIOSH  
13 to either revise their procedure or replace  
14 that procedure. I also included on that table  
15 what procedures have been revised by NIOSH and  
16 whether SC&A has reviewed those procedures.

17 And the bottom line of Table One is  
18 that there's still outstanding findings on  
19 five procedures that NIOSH has not, at least  
20 based on my current knowledge, has not revised  
21 so we're still dealing with the procedure we  
22 had reviewed initially. And there are three  
23 procedures that NIOSH has revised and SC&A has  
24 been given the authorization to review.

25 And those three procedures would be

1 OTIB-0008, OTIB-0010 and those have to do with  
2 overestimating procedures for film badges and  
3 TLD monitoring. They're not used as  
4 frequently I don't think anymore because we're  
5 dealing more with best estimate procedures.  
6 And then lastly, the procedure we have not  
7 reviewed is PROC-90 which actually -- and  
8 correct me if I'm wrong here -- but it  
9 replaces three of the interview-type  
10 procedures. I believe it replaces the  
11 scheduling telephone interviews, the  
12 performing of the telephone interviews and  
13 also receiving telephone interviews.

14 So those are the three procedures that  
15 NIOSH has issued revisions to that we have not  
16 looked at yet.

17 **MS. MUNN:** And so PROC-90 supposedly  
18 replaces four, five and 17, right?

19 **MS. BEHLING (by Telephone):** Four, five and  
20 17.

21 Okay, and then Table 2 --

22 **MS. MUNN:** Well, before you go on though,  
23 Kathy, did you not say that there were, what  
24 number did you say had not been addressed yet?  
25 Before you said there were those three, you

1           said there were five that NIOSH had not yet  
2           addressed?

3           **MS. BEHLING (by Telephone):** Yes, and, Stu,  
4           maybe you can confirm this for me. I have  
5           listed that there's still outstanding findings  
6           from OCAS IG-002, that's our internal dose  
7           limitation guide, and I don't believe there's  
8           been a revision to that limitation guide.  
9           Also showing OCAS TIB-006, that there's been  
10          no revision to that. That's the  
11          interpretation of external dosimetry records  
12          at the Savannah River site.

13                 Also I'm showing no additional  
14          revision on OCAS TIB-007, which is neutron  
15          exposures at the Savannah River site. OCAS  
16          TIB-008, which use of the ICRP-66 to calculate  
17          respiratory tract doses. I don't show a  
18          revision there. And finally, this is an ORAU  
19          OTIB-0001, which is Savannah River claims, no  
20          revision on that as far as I know.

21           **MS. MUNN:** Kathy, you got squeaked out by  
22          something just on that very last item. Would  
23          you repeat that?

24           **MS. BEHLING (by Telephone):** The last  
25          procedure that I don't believe there's been a

1 revision to is ORAU OTIB-0001, and the title  
2 is Maximum Internal Dose Estimates for  
3 Savannah River Site Claims. And that's the  
4 high five.

5 **MR. HINNEFELD:** I believe that's accurate,  
6 the accurate.

7 **DR. WADE:** Kathy, might I ask you to repeat  
8 again the three that have not yet been  
9 assigned?

10 **MS. BEHLING (by Telephone):** The three that  
11 have not been assigned are ORAUT OTIB-0008,  
12 and I'll give you the title. It's the  
13 Standard Complex-Wide Conversion Correction  
14 Factor for Overestimating External Doses  
15 Measured with TLDs.

16 The second procedure we have not been  
17 asked to look at is ORAUT OTIB-0010, which is  
18 the same title except it's film badge  
19 dosimetry. It's the Standard Complex-Wide  
20 Conversion Correction Factor for  
21 Overestimating External Doses Measured with  
22 Film Badge Dosimetry.

23 And then finally, is ORAUT-PROC-90  
24 which replaces three of the interview  
25 procedures.

1                   **DR. WADE:** Thank you very much.

2                   **MS. MUNN:** I have one last question how you  
3 and Stu both with respect to the five that you  
4 gave us that you said no revision had come out  
5 yet by NIOSH. Are those all, with the  
6 exception of PROC-90, obviously. That's sort  
7 of taken care of itself. But are the others  
8 procedures which in your view were expected to  
9 have revisions?

10                   **MS. BEHLING (by Telephone):** Well, based on  
11 a resolution that was stated during the  
12 original review of these documents, I believe  
13 that the resolution was that NIOSH would  
14 address the findings or the issues in a  
15 revision or a replacement document.

16                   **MS. MUNN:** All of them do have a number of  
17 outstanding issues, outstanding findings I  
18 see.

19                                 So, NIOSH, are any of those in process  
20 right now, those five?

21                   **MR. HINNEFELD:** Not, we can put them in  
22 progress pretty quickly, but, no, there's no  
23 real active work going on on them, but we can  
24 get started. We can give Tommy like three of  
25 them.

1           **DR. NETON:** He's coming back Tuesday.

2           **MS. MUNN:** Our earlier discussions were  
3           indicating how nice it would be to close this  
4           table and have it complete. If we can  
5           possibly do that without putting undue strain  
6           on your staff's schedule, it would certainly  
7           be helpful.

8           **MR. HINNEFELD:** We're used to putting undue  
9           strain on our staff.

10          **DR. NETON:** We wouldn't know how to work  
11          otherwise.

12          **MS. MUNN:** You've had a week of vacation.  
13          Now you're ready to jump back in.

14                         Thank you, Kathy.

15          **MS. BEHLING (by Telephone):** Okay, do you  
16          want me to just briefly explain what's in  
17          Table 2?

18          **MS. MUNN:** Yes, please.

19          **MS. BEHLING (by Telephone):** What I did in  
20          Table 2 is for those procedures where there is  
21          a revision, and we have been asked to review  
22          the procedure, I've listed all of the  
23          outstanding findings and where we are in  
24          resolving those outstanding findings. Now as  
25          you'll see, the first item on Table 2 talks

1 about the external implementation guide, OCAS  
2 IG-001. And that I actually have reviewed in  
3 Supplement 3 of our Task Three. And has  
4 Supplement 3 been submitted at this point?  
5 I'm not sure.

6 **DR. MAURO:** Yes.

7 **MS. BEHLING (by Telephone):** Okay. What  
8 you'll see in that along with, if you go down  
9 through this table, I've identified where we  
10 have re-evaluated this, whether it's in  
11 Supplement 1, which you were looking at  
12 earlier today, or Supplement 3. And, in fact,  
13 if you go to your Supplement 1, Rev.1 that we  
14 were working with earlier and go to somewhere  
15 around page 105, you'll see that OTIB-0003 has  
16 three outstanding findings.

17 That OTIB was replaced with OTIB-0011,  
18 and when I looked at OTIB-0011, I included a  
19 table in there which becomes Table 1 and in  
20 our checklist becomes Table 2. And that Table  
21 1 identifies each of these findings and  
22 whether or not we feel that they were properly  
23 addressed in the replacement document. And I  
24 did this as an example and hoping that the  
25 Board would agree with that approach. My

1 feeling is that I think to make it as easy as  
2 possible for the work group is if we are able  
3 to say, and in this particular case all the  
4 issues from the previous OTIB-0003 were  
5 addressed in OTIB-0011.

6 However, in some of the other  
7 procedures that I looked at such as OTIB-0004,  
8 I didn't feel that they had properly addressed  
9 all of the items. And in some cases you'll  
10 see a no, whether it's been resolved and a no  
11 or it's partially been resolved. And I would  
12 just suggest that for those items that are a  
13 no or partially resolved that they get  
14 incorporated into the matrix associated with  
15 either that, with our current matrix of  
16 Supplement 1 or Supplement 3 so they can be  
17 taken off of this original matrix. If that  
18 makes sense.

19 **MS. MUNN:** I think it makes sense. And the  
20 question that I have right off the bat is why  
21 we don't have under the Resolved column for  
22 OTIB-0003, why we don't say it's been replaced  
23 by OTIB-0011 and thereby eliminate that from  
24 this --

25 **MS. BEHLING (by Telephone):** Okay, if you go

1 to page five under the Table 2, under revision  
2 re-evaluated I did put OTIB-0011, and I  
3 identified it there. I should have made maybe  
4 a little bit more clear that this replaces the  
5 OTIB-0003.

6 The other thing that I did not do, I  
7 just ran out of time here, I didn't fill in  
8 the Resolved column for all of these which I  
9 am in a position to do that now. I just  
10 didn't go back to this.

11 **MS. MUNN:** Good. That seems like, now that  
12 you go over it again, I see what you've done.  
13 And if we had yes in the resolved column, I  
14 think that would probably --

15 **MS. BEHLING (by Telephone):** That would  
16 clarify it for you, and I realized today when  
17 I went back and I picked up this table that I  
18 meant to go back to this. I was working in  
19 the Supplement 1, and I got that out the door,  
20 and I never went back to this table, but I  
21 will. I will update this and send it out to  
22 everyone.

23 **MS. MUNN:** That would be helpful, and unless  
24 some other members of the working group  
25 object, her solutions for moving them off this

1 table is certainly okay with me. Is that fine  
2 with NIOSH and with work group members?

3 (no audible response)

4 **MS. MUNN:** Kathy, you have nodding heads  
5 here.

6 **MS. BEHLING (by Telephone):** Very good.

7 **MS. MUNN:** Your approach seems perfectly  
8 viable here.

9 **MS. BEHLING (by Telephone):** Okay, very  
10 good, thank you.

11 **MS. MUNN:** All we can do is keep pushing at  
12 this until we finally get this table closed  
13 out.

14 **DR. WADE:** Keep on keeping on.

15 **MS. MUNN:** Keep on keeping on. Thank you  
16 very much.

17 **DR. ANIGSTEIN (by Telephone):** This is Bob  
18 Anigstein. I do have an answer about the  
19 cross-section

20 **MS. MUNN:** Oh, do you?

21 **DR. ANIGSTEIN (by Telephone):** Yeah, it just  
22 took me a few minutes to find it. While Kathy  
23 was talking I was looking for it. For U-235  
24 at about 14 meV you get a P cross-section of  
25 about 330 millibarns, if that means anything

1 to the person asking the question.

2 **MR. CHEW:** Sure.

3 **MS. MUNN:** Yeah, it does.

4 **DR. MAKHIJANI:** Probably non-negligible.

5 **MS. MUNN:** Non-negligible but pretty hard to  
6 get, I wouldn't want to

7 **DR. ANIGSTEIN (by Telephone):** I can't hear  
8 this.

9 **DR. WADE:** There's nothing substantive being  
10 said.

11 **MS. MUNN:** We're just saying pretty hard to  
12 get but not negligible.

13 **MR. CHEW:** Two thirty-five, isn't the  
14 material 238?

15 **DR. ANIGSTEIN (by Telephone):** Well, it's a  
16 mix. It's natural uranium.

17 **MR. CHEW:** Yeah, natural, I just --

18 **DR. ANIGSTEIN (by Telephone):** So natural  
19 uranium is about --

20 **MR. CHEW:** I've been looking it up on the  
21 site, too. It says an interesting result is  
22 the absence of any gamma to and cross-sections  
23 for U-238.

24 **DR. ANIGSTEIN (by Telephone):** The MCNP X  
25 code does have those cross-sections. I just

1           have to be looking at a published paper about  
2           this, and they just, they only have a few  
3           nuclides that they happened to show here.

4           **DR. WADE:** So you guys can take this up.

5           **MS. MUNN:** We appreciate your taking the  
6           time and effort to look it up.

7                     And thank you, Mel, for your  
8           contribution. That's wonderful.

9           **WRAPUP AND REVIEW OF ACTION ITEMS**

10                    Unless there are other really pressing  
11           items that anyone has right now, I propose  
12           that we continue with our wrap up and review  
13           of action items. From my perspective we've  
14           gone as far as we could go with Supplement 1  
15           Table. Not nearly as far as I had hoped we  
16           would be able to go.

17                    It's my expectation that we will pick  
18           that activity up exactly where we left it with  
19           hope that by that time, by the time we meet  
20           again NIOSH will have had an opportunity to  
21           respond to a significantly larger number of  
22           those items than are currently responded to.  
23           If anyone has any objection to that process,  
24           speak now or forever hold your peace. That's  
25           the way it's going to be unless you tell me

1 otherwise.

2 (no audible response)

3 **MS. MUNN:** With that being said, I would  
4 appreciate it, Lew, if you could wrap us up  
5 and read us the action items so that we all  
6 understand what is expected of us between now  
7 and our next meeting which --

8 **DR. WADE:** I have 14 action items, and I'll  
9 refer where I can to the page in Supplement 1  
10 if you want to be able to ground yourself in  
11 the --

12 So starting on page six relative to  
13 finding OTIB-0020-03, there are two findings.  
14 The work group will ask the subcommittee to  
15 continue to keep the utility of this OTIB in  
16 mind as it reviews individual dose  
17 reconstructions.

18 Second finding, NIOSH will consider if  
19 more specific guidance within this OTIB would  
20 add value to the development of site-specific  
21 TIBs.

22 Finding three which relates to page  
23 13, OTIB-0028 two and three, findings two and  
24 three, NIOSH is to provide SC&A with the  
25 output files from Keith Eckerman's analysis.

1                   Finding four on page 14, OTIB 0019,  
2                   which if you recall deals with the  
3                   interpretation of regression data, NIOSH and  
4                   SC&A will discuss, hopefully resolve and  
5                   report to the work group on this issue. This  
6                   is where the statisticians are going to have a  
7                   stimulating discussion with each other.

8                   On findings five and six, this relates  
9                   to finding OTIB-0033-01 on page 15. NIOSH  
10                  will review the title and contents of OTIB-  
11                  0033 and modify as necessary.

12                  Finding two relative to this issue,  
13                  NIOSH will review OTIBs-0018 and 0033 to see  
14                  if they are being consistently applied and  
15                  appropriately used and then report that to the  
16                  work group.

17                  Finding number seven relates to OTIB-  
18                  0004, and that's on pages 15 through 17.  
19                  NIOSH will complete OTIB-0053 and then the  
20                  work group will ask SC&A to review OTIB-0053.

21                  Finding number two, NIOSH will confirm  
22                  that the OTIB deals only with uranium metal  
23                  facilities and excludes chemical processing of  
24                  uranium.

25                  Then we move to some findings that

1 relate to the global issues. On global issue  
2 related to the internal dose from fission  
3 products, the work group will recommend to the  
4 Board that OTIB-0054 be reviewed by SC&A  
5 during next fiscal year. And they'll make  
6 that recommendation to the Board during the  
7 September 4<sup>th</sup> call.

8 Relative to the global issue on  
9 ingestion, NIOSH will report at/or before the  
10 January 8<sup>th</sup> Board meeting on the status of  
11 their work towards resolution of that global  
12 issue.

13 Concerning the PERs, NIOSH will  
14 provide to the work group a list of completed  
15 and in progress PERs, and this will take place  
16 before the next work group meeting.

17 With regard to this issue of following  
18 up findings to closure, NIOSH will move to  
19 complete revisions to the following five  
20 documents: OCAS IG-002, OCAS TIB-006, OCAS  
21 TIB-007, OCAS TIB-008 and ORAUT OTIB-0001.

22 Next to last action item, SC&A will  
23 update its Table 2 to show a more definitively  
24 the status of the completed items

25 And then lastly the work group will

1 continue to work on the issues in Supplement 1  
2 when next it meets.

3 And I think that's all the findings  
4 that I've captured.

5 **MS. MUNN:** Those agree with mine although  
6 mine are considerably less articulate than  
7 that. It would be --

8 **DR. WADE:** They pay me the big bucks for  
9 something.

10 **MS. MUNN:** I know, and thank goodness.

11 It would be helpful for me if you  
12 would send me your list electronically so that  
13 I can compare it with mine. And there were  
14 one or two items that I had worded slightly  
15 differently. I'll communicate with you on  
16 those.

17 Is anyone else aware of action items  
18 that were not covered?

19 (no audible response)

20 **MS. MUNN:** Are we all aware of our next  
21 meetings, when we're going to be where we're  
22 going to be?

23 **DR. WADE:** It couldn't hurt to remind folks.  
24 I think the plan is that on October the 2<sup>nd</sup>,  
25 which is the Tuesday of the week that contains

1 the next face-to-face Board meeting, this work  
2 group will meet at a time to be, I think 10:00  
3 a.m. we're looking at.

4 **MS. MUNN:** Yes.

5 **DR. WADE:** Ten a.m. central daylight time.

6 **MS. MUNN:** Ten a.m. central, yeah. And we  
7 will, unless we have unusual expectations  
8 during the month of September, this work group  
9 will not have any formal calls or meetings.  
10 It's my expectation that we probably will have  
11 some kind of formal meeting between the  
12 October meeting and the January meeting since  
13 we have a considerable body of materials here.  
14 And it's clear that we can't handle it in a  
15 single day's session.

16 So we'll probably try to complete the  
17 material that we did not cover sometime after  
18 the October meeting. Hopefully, before we get  
19 too far into December, more than likely after  
20 Thanksgiving but before Christmas at a time to  
21 be announced.

22 Is there anything else for the good of  
23 the order?

24 **DR. WADE:** I think this probably ranks in  
25 the top five most productive work group

1 meetings. I think everyone did a fine job in  
2 terms of preparation and execution, and you're  
3 to be complimented.

4 **MS. MUNN:** Thank you all. We will see you  
5 in Chicago, Naperville to be precise.

6 (Whereupon, the work group meeting was  
7 adjourned at 4:45 p.m.)

8

1

2

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Aug. 29, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 14th day of Oct., 2007.

---

**STEVEN RAY GREEN, CCR****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**