

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

WORKING GROUP

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

PROCEDURES REVIEW

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held in Naperville, Illinois on Oct. 2, 2007.

STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTERS  
404/733-6070

C O N T E N T S

Oct. 2, 2007

OPENING REMARKS	6
INTRODUCTION BY CHAIR	11
SUMMARY OF FIRST GROUP OF PROCEDURE REVIEWS	11
SC&A'S REVIEW OF PROCEDURE 92	43
ACTION ITEMS FROM PREVIOUS MEETING	63
RESULTS OF NOON DISCUSSIONS	126
MAJOR PROCEDURES LIST	160
OTIB-0019	206
OTIB-0017	215
RECAP OF ACTION ITEMS	262
FUTURE DATES AND MEETINGS	265
COURT REPORTER'S CERTIFICATE	273

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- "^"/((inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.  
Professor Emeritus  
School of Health Sciences  
Purdue University  
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.  
Senior Science Advisor  
National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention  
Washington, DC

MEMBERSHIP

GIBSON, Michael H.  
President  
Paper, Allied-Industrial, Chemical, and Energy Union  
Local 5-4200  
Miamisburg, Ohio

GRIFFON, Mark A.  
President  
Creative Pollution Solutions, Inc.  
Salem, New Hampshire

MUNN, Wanda I.  
Senior Nuclear Engineer (Retired)  
Richland, Washington

PRESLEY, Robert W.  
Special Projects Engineer  
BWXT Y12 National Security Complex  
Clinton, Tennessee

**IDENTIFIED PARTICIPANTS**

ANIGSTEIN, BOB, SC&A  
BEHLING, HANS, SC&A  
BEHLING, KATHY, SC&A  
BRACKETT, LIZ, ORAU  
BRANCHE, CHRISTINE, NIOSH  
BURGOS, ZAIDA, NIOSH  
CHANG, CHIA-CHIA, NIOSH  
ELLIOTT, LARRY, NIOSH  
FARVER, DOUG, SC&A  
FIX, JACK, ORAU  
GUIDO, JOE, ORAU  
HINNEFELD, STUART, NIOSH  
HOMOKI-TITUS, LIZ, HHS  
HOWELL, EMILY, HHS  
MAKHIJANI, ARJUN, SC&A  
MAURO, JOHN, SC&A  
NETON, JIM, NIOSH  
OSTROW, STEVE, SC&A  
SIEBERT, SCOTT, ORAU  
SMITH, MATTHEW, ORAU  
THOMAS, ELYSE, ORAU  
WINSLOW, ROB, ORAU

## P R O C E E D I N G S

OCT. 2, 2007

(10:00 a.m.)

OPENING REMARKS

1  
2  
3  
4  
5           **DR. WADE:** This is Lew Wade. This is a  
6 meeting of the work group reviewing  
7 procedures. This group is most ably chaired  
8 by Wanda Munn, members Gibson, Griffon,  
9 Ziemer, Presley an alternate. All members are  
10 in the room save for Mark Griffon, who we do  
11 expect.

12                   Might I ask if there are any other  
13 Board members on the telephone? Any other  
14 Board members connected by telephone?

15           **MR. GRIFFON (by Telephone):** Lew, this is  
16 Mark Griffon. I'm online.

17           **DR. WADE:** Okay, Mark, welcome.

18                   Any other Board members save for Mark  
19 on the telephone?

20                   (no response)

21           **DR. WADE:** We do not have a quorum of the  
22 Board which is important. So we can conduct  
23 our business.

24                   Again, as mentioned, this is a member  
25 of the work group reviewing procedures. Let's

1 do our normal introductions, and I'll start by  
2 asking members of the NIOSH/ORAU team to  
3 identify themselves, members of the SC&A team  
4 to identify themselves, the federal employees  
5 who are working on this call, workers, worker  
6 reps, members of Congress or their reps and  
7 anyone else who would like to be identified.

8 We'll start in the room and do  
9 everyone in the room so you can get a sense of  
10 who's here. And if you have difficulty  
11 hearing anyone, please shout out so we can do  
12 the appropriate adjustment of microphones.

13 This is Lew Wade. I work for NIOSH  
14 and serve the Advisory Board.

15 **MS. MUNN:** This is Wanda Munn. I chair this  
16 work group studying SC&A procedure reviews. I  
17 have no conflicts.

18 **MR. GIBSON:** Mike Gibson, Board member, I  
19 have no conflicts.

20 **MR. PRESLEY:** Robert Presley, Board member,  
21 I have no conflicts.

22 **DR. MAKHIJANI:** Arjun Makhijani, SC&A, I  
23 have no conflicts.

24 **MR. FARVER:** Doug Farver, SC&A.

25 **DR. MAURO:** John Mauro, SC&A, no conflicts.

1                   **MS. HOMOKI-TITUS:** Liz Homoki-Titus, I work  
2 for HHS, no conflicts.

3                   **MS. HOWELL:** Emily Howell, HHS, no  
4 conflicts.

5                   **DR. NETON:** Jim Neton, NIOSH, no conflicts.

6                   **MR. SIEBERT:** Scott Siebert, the ORAU team,  
7 no conflicts.

8                   **MR. HINNEFELD:** Stu Hinnefeld from  
9 NIOSH/OCAS, with respect to procedure review  
10 there are no conflicts.

11                   **DR. ZIEMER:** Paul Ziemer on the Board and  
12 member of the working group, no conflicts.

13                   **DR. WADE:** And again, Dr. Lew Wade, so  
14 that's all of us in the room. Let's go out  
15 into telephone land and start with members of  
16 the NIOSH/ORAU team who are on the line.

17                   **MS. BRACKETT (by Telephone):** Liz Brackett.

18                   **DR. WADE:** I'm sorry, please again.

19                   **MS. BRACKETT (by Telephone):** Liz Brackett  
20 with the ORAU team.

21                   **DR. WADE:** Welcome, Liz.

22                   **MS. BURGOS (by Telephone):** Zaida Burgos,  
23 NIOSH.

24                   **DR. WADE:** Welcome, Zaida.

25                   **MS. THOMAS (by Telephone):** This is Elyse

1 Thomas with the O-R-A-U team.

2 **DR. WADE:** Welcome.

3 **MR. SMITH (by Telephone):** Matthew Smith,  
4 ORAU team.

5 **MR. FIX (by Telephone):** Jack Fix, ORAU  
6 team.

7 **MR. WINSLOW (by Telephone):** Rob Winslow,  
8 ORAU team.

9 **DR. WADE:** Other members of the NIOSH/ORAU  
10 team?

11 **MS. CHANG (by Telephone):** Chia-Chia Chang,  
12 NIOSH Director's Office.

13 **DR. WADE:** Welcome, Chia-Chia.

14 Other members of the NIOSH/ORAU team?

15 (no response)

16 **DR. WADE:** How about SC&A?

17 **DR. OSTROW (by Telephone):** Steve Ostrow.

18 **DR. ANIGSTEIN (by Telephone):** Bob  
19 Anigstein.

20 **MR. PETTINGILL\* (by Telephone):** Harry  
21 Pettingill.

22 **MS. BEHLING (by Telephone):** Kathy Behling.

23 **DR. BEHLING (by Telephone):** Hans Behling.

24 **DR. WADE:** Any other members of the SC&A  
25 team?

1 (no response)

2 **DR. WADE:** Other federal employees who are  
3 working on this call?

4 (no response)

5 **DR. WADE:** Anyone with us from the  
6 Department of Labor?

7 (no response)

8 **DR. WADE:** Probably traveling to our Board  
9 meeting.

10 Workers, worker reps, petitioners,  
11 claimants, anyone who would like to be  
12 identified within that category?

13 (no response)

14 **DR. WADE:** How about members of Congress or  
15 their representatives?

16 (no response)

17 **DR. WADE:** Anyone else who would like to be  
18 identified for the record on this call?

19 **MR. GUIDO (by Telephone):** This is Joe Guido  
20 with ORAU. I just joined.

21 **DR. WADE:** Welcome.

22 Anyone else who wants to be identified  
23 for the record on this call?

24 (no response)

25 **DR. WADE:** Okay, we've completed the

1 introductions. Just a brief caution because  
2 we've been getting better on telephone  
3 etiquette. When you speak, speak into a  
4 handset. Always have the instrument muted  
5 when you're not speaking, and be very mindful  
6 of background noises. Elevator music can be  
7 terribly distracting if you put us on hold,  
8 and we have to listen to that. In fact, some  
9 of the older members of our group will fall  
10 asleep if that happens. So please don't let  
11 that happen.

12 So, Wanda, it's all yours.

13 **INTRODUCTION BY CHAIR**

14 **MS. MUNN:** I'm working on the assumption  
15 that everyone here received my e-mail of the  
16 29<sup>th</sup> outlining what I hope that we would cover.  
17 And repeating our action item list from the  
18 last meeting that we held on August 29<sup>th</sup>. Is  
19 there anyone who does not have that  
20 information in hand?

21 (no response)

22 **SUMMARY OF FIRST GROUP OF PROCEDURE REVIEWS**

23 **MS. MUNN:** If not, then we'll proceed as I  
24 had indicated on the e-mail by first backing  
25 up and addressing the thing that we did not

1 get very far on last time which is to say the  
2 summary of the set of procedure reviews from  
3 our first group. We had only started going  
4 through those and had really not completed  
5 where we were going to go.

6 I've asked Kathy Behling if she would  
7 be good enough to take responsibility for  
8 leading us through where we are with that now  
9 and bring us up to date as we go through these  
10 item by item. Will anyone who has any problem  
11 with any of it or any additional information  
12 that Kathy's not providing us please stop us,  
13 and we'll go from there.

14 Is that amenable to everyone here and  
15 to Kathy?

16 **MS. BEHLING (by Telephone):** That's fine  
17 with me, Wanda.

18 **DR. WADE:** Just a quick introduction. Dr.  
19 Christine Branche just joined us. Dr. Branche  
20 is the Principal Associate for NIOSH and is  
21 preparing to take over responsibilities of the  
22 Board.

23 **MS. BEHLING (by Telephone):** Wanda,  
24 yesterday, I guess, and I again apologize for  
25 the delay in sending this out, I had sent

1 everyone in the working group or the work  
2 group a revised table. It's actually Table 2  
3 that we revised, the document that I had sent  
4 you. And stop me if I'm repeating things, and  
5 I will repeat some of the things that we had  
6 talked about last time.

7 Table 1 of the document that I sent  
8 yesterday which is a summary of the first set  
9 of the procedures reviewed, just to clarify  
10 what is on Table 1 and to break it down into  
11 what's most important on this table, this  
12 table indicates on page one that there are  
13 five procedures that NIOSH has not reissued a  
14 revision to those procedures for which we  
15 still have outstanding findings.

16 And we had discussed this during our  
17 last meeting so I'm just recapping. And this  
18 also indicates, in fact, on page two of Table  
19 1 that there are, and I have a little question  
20 mark there, but I think there are also five  
21 procedures that NIOSH has reissued either as a  
22 new procedure or as a revision that SC&A has  
23 not been asked to review.

24 And if you want me to go through that  
25 list I can provide that list once again. But

1                   you should see, and I'm referring to, on the  
2                   second page, anything that has a no under SC&A  
3                   reviewed revised document. There's five no's,  
4                   and those are the procedures that we have not  
5                   been asked to revisit.

6                   **MS. MUNN:** Hold on for just a moment and  
7                   let's make sure we're all on the same page.  
8                   These were the documents that you sent on the  
9                   fourth, right?

10                  **MS. BEHLING (by Telephone):** This document  
11                  is the one that I sent actually yesterday.  
12                  It's Table 1 and Table 2 that just summarizes  
13                  the findings from the first set of procedures.  
14                  And what I, actually, the revision that I sent  
15                  you yesterday is only what was revised was  
16                  Table 2 because I had not completed the very  
17                  last column as to whether those issues were  
18                  resolved or not.

19                  But I'm just recapping what is on  
20                  Table 1 which gives you an overview of all of  
21                  the procedures that have been reviewed. Those  
22                  that still have outstanding findings where we  
23                  have not addressed those findings either in  
24                  Supplement 1 that we'll be talking about today  
25                  or in Supplement 3 which will be coming out

1 very shortly, in probably two weeks from SC&A.

2 **MS. MUNN:** So the heading on your document  
3 is?

4 **MS. BEHLING (by Telephone):** The heading on  
5 the document is, it's just two tables, and  
6 Table 1 is "Summary of First Set of Procedure  
7 Reviews".

8 **MS. MUNN:** All righty.

9 **MS. BEHLING (by Telephone):** Do you have  
10 that?

11 **MR. GRIFFON (by Telephone):** And Kathy, the  
12 footer says submitted October 1<sup>st</sup>, 2007,  
13 correct?

14 **MS. BEHLING (by Telephone):** That's correct.

15 **DR. MAKHIJANI:** Kathy, this is Arjun. Could  
16 you send it to me? I don't think I was copied  
17 on it.

18 **MS. BEHLING (by Telephone):** Okay, I will do  
19 that.

20 **DR. ANIGSTEIN (by Telephone):** Bob  
21 Anigstein, same here.

22 **MS. BEHLING (by Telephone):** Okay.

23 And then just to move on, Table 2 is  
24 actually a listing of each one of the findings  
25 in which the resolution was that we were going

1 to address this issue in a revision to that  
2 document or in a replacement document.

3 And I've identified each of the  
4 findings. I've given a description of that  
5 finding, and I discuss as to, was that finding  
6 addressed either in Supplement 1, as I've said  
7 which we'll be reviewing today or be  
8 discussing today, or in the Supplement 3 in  
9 revised documents in Supplement 3 which SC&A  
10 will be publishing probably about the 15<sup>th</sup> of  
11 this month.

12 And I've indicated whether that  
13 finding has been resolved in this revision or  
14 has not been resolved. If the finding has not  
15 been resolved, it will be incorporated into  
16 the matrix, the next matrix, associated with  
17 that particular document. So if you look  
18 under Table 2 here there are several findings  
19 that were not resolved under OCAS IG-001,  
20 several findings that have a no under the  
21 resolution. Those will become an item on the  
22 matrix under Supplement 3 when we start to  
23 review Supplement 3.

24 So I'm trying to show you here that  
25 everything has been captured from the first

1 set of procedures, and we did try to ensure  
2 either through the Supplement 1 that we'll  
3 review today or through Supplement 3 which  
4 will be coming out shortly that we have  
5 captured all of these findings. That  
6 summarizes everything. I didn't know, I  
7 didn't plan on going through each of the  
8 particular findings because we will be  
9 discussing them in detail when we actually  
10 start working on the matrix.

11 **MS. MUNN:** Does anyone have any question  
12 about either the items that appear on the two  
13 documents or about what Kathy was just telling  
14 us?

15 Yes, Paul.

16 **DR. ZIEMER:** I have a question. This is  
17 Ziemer, Kathy. On the five items that SC&A  
18 has not been tasked to review, several of  
19 those have to do with the telephone  
20 interviews. I'm trying to recall whether we  
21 decided not to have them reviewed or we simply  
22 didn't take action. Are they there by default  
23 or by intent? Maybe, Wanda, you can help me  
24 remember. The same on the other two. One is  
25 the conversion factor on TLD measurements, and

1 the other one is on film badge conversion  
2 factors.

3 **MS. BEHLING (by Telephone):** Actually, the  
4 three procedures associated with the interview  
5 process, on my list on Table 1 is PROC-4,  
6 PROC-5 and PROC-17. They have all been  
7 replaced with actually one procedure. I'm  
8 looking at this a little more closely now.  
9 And that is PROC-90. And we have not -- and  
10 Arjun, correct me if I'm wrong here -- but we  
11 have not been asked to review PROC-90 to the  
12 best of my knowledge.

13 **DR. MAKHIJANI:** I think there's a different  
14 -- Stu and I might put our memories together  
15 about that. I think there was a little bit of  
16 a different resolution to that. I think that  
17 Stu said that it wasn't substantially  
18 different than the three of them.

19 **MR. HINNEFELD:** Right, the combination of  
20 the three procedures into the one interview  
21 procedure, there were no changes in that  
22 combination that addressed the items that came  
23 from the findings of the review of the three  
24 procedures. I think that combination was on  
25 the way before the policy was reviewed. So

1           there were no revisions made in that  
2           combination to address those issues. Now  
3           there's recently been a product about a review  
4           of closed items which I guess is different.  
5           Now this is about the ^.

6           **DR. MAKHIJANI:** Right, so I remember the  
7           discussion when we went through the Task 3  
8           matrix number one, and you had assigned us the  
9           review of Procedure 90, and Stu made this  
10          comment. At that point I believe the review  
11          of Procedure 90 was suspended because it  
12          seemed to be duplicative of what had been  
13          done. So there are some items from the  
14          earlier review of the interview procedure that  
15          are not yet resolved.

16          **DR. ZIEMER:** So maybe we need to carry that  
17          into the matrix under PROC-90 and show those  
18          items?

19          **DR. MAKHIJANI:** That would be appropriate  
20          because they're still unresolved issues, and  
21          they would also apply to PROC-90, right, Stu?

22          **MR. HINNEFELD:** My judgment was that I read  
23          PROC-90 or -92, whichever one it is, --

24          **DR. MAKHIJANI:** Ninety.

25          **MR. HINNEFELD:** -- that the changes or

1           whatever changes had been made were not  
2           intended to address the findings from the  
3           original review of the three procedures, and  
4           so the finding, if you were to review that  
5           procedure today, you'd get the same finding.  
6           So I felt like, yeah, based on that. So that  
7           resolution I guess still has to occur in PROC-  
8           90.

9           **DR. MAKHIJANI:** Right, I think Dr. Ziemer is  
10          on the right track.

11          **MR. HINNEFELD:** We haven't had our  
12          discussion about those findings either on the  
13          interview in the work group I don't believe.

14          **DR. MAKHIJANI:** We had some discussion and  
15          NIOSH --

16          **MR. HINNEFELD:** We had some, yes.

17          **DR. MAKHIJANI:** -- you had responded.  
18          There's actually a long history to it. There  
19          was pretty substantive discussion initially,  
20          and then it kind of fell away because we were  
21          doing other things. And so we haven't  
22          actually revisited those, I believe, since you  
23          became chair of the committee, the working  
24          group.

25          **DR. ZIEMER:** I'm just suggesting, and it may

1 be Kathy, maybe we need a different end column  
2 item here to make it clear that, this already  
3 says that it's been replaced by PROC-90, but  
4 the previous review still holds I think is  
5 what we're saying here rather than it's not  
6 been reviewed.

7 **DR. MAKHIJANI:** Yes. Dr. Ziemer and Ms.  
8 Munn, what I might volunteer to do with Kathy  
9 is go over that matrix and just show those  
10 items, I think, and work with Stu to show  
11 those items which are outstanding and just  
12 indicate them as PROC-90. I haven't actually  
13 read PROC-90. After Stu said it was the same  
14 I didn't go back and actually read it.

15 **MR. HINNEFELD:** Well, I don't think, well,  
16 the things that were commented on in the  
17 original procedures review were not addressed.  
18 That was my judgment when I read the PROC.

19 **DR. ZIEMER:** So the previous matrix items  
20 still hold under PROC-90?

21 **DR. MAKHIJANI:** So you need them, I think  
22 you need them transferred. If you would like,  
23 we can do that.

24 **DR. ZIEMER:** To the new matrix?

25 **DR. MAKHIJANI:** Yes.

1           **MS. MUNN:** Obviously, we need to capture  
2 them somewhere, and until we had this  
3 discussion, it certainly was not clear to me  
4 that we had outstanding items because of our  
5 lack of tracking on PROC-90. So, yes, we need  
6 to capture that in some way.

7           **DR. ZIEMER:** And what I'm saying is in this  
8 last column where it says it hasn't been  
9 reviewed, in essence, it has been reviewed.

10                   All you've done is put the three  
11 together.

12           **MR. HINNEFELD:** Right. I mean, there were  
13 some changes made, but other changes were  
14 made, but they were not made to address the  
15 findings in ^.

16           **MS. BEHLING (by Telephone):** Let me ask a  
17 question here because I realize that we were  
18 given, I think it was PROC-92 and PROC-94 to  
19 review, and those are also interview  
20 procedures. But based on -- and again, this  
21 did become a little bit fuzzy -- I didn't  
22 recall us being assigned PROC-90 for review.  
23 That's why there is a no in the last column.

24                   But if I'm incorrect about that, if  
25 the Board has assigned us to review PROC-90,

1 then that no is not appropriate. But I know  
2 we were asked to review PROC-92 and PROC-94  
3 which are also new interview procedures. But  
4 I did not recall that PROC-90 was part of, was  
5 a procedure that we'd been assigned in the  
6 Supplement 3.

7 **MR. PRESLEY:** Kathy, this is Bob Presley.  
8 If I remember, and I've slipped a time or two,  
9 when this was part of the old working group  
10 that Wanda and I and Mark were on, at that  
11 time, if I remember correctly, we said that  
12 this was going to be rolled into a new  
13 procedures review and would not be looked at  
14 until the new one came out. And at that time  
15 I think we set this aside and nobody's done  
16 anything with it until PROC-90 if I remember  
17 correctly.

18 **MR. HINNEFELD:** Yeah, if I recall -- this is  
19 Stu Hinnefeld -- if I recall part of the  
20 resolution here when we started our discussion  
21 of these findings, part of the suggestion was  
22 perhaps a listening in on one of these  
23 interviews, you know, monitoring an interview  
24 on the part of SC&A would illustrate some of  
25 the points that we were trying to make in our

1 response. And that has occurred. I mean,  
2 there has been that listening in on, isn't  
3 that part of it?

4 **DR. MAKHIJANI:** No, no, I think we're mixing  
5 up different procedures here. There are three  
6 interview procedures that we have reviewed.  
7 The first one dealt with the CATI interview.

8 **MR. HINNEFELD:** Right.

9 **DR. MAKHIJANI:** That's the one we're talking  
10 about right now where the three old procedures  
11 for CATI interviews were rolled into PROC-90.  
12 That was done, that was completed. I don't  
13 believe we ever made a request, at least we  
14 did not observe any CATI interview. We talked  
15 to many of the interviewers when we visited --

16 **MR. HINNEFELD:** Okay, that's right --

17 **DR. MAKHIJANI:** -- when we visited NIOSH  
18 first, and then we visited the ORAU  
19 headquarters. And we went into the telephone  
20 interview, and we chatted with the  
21 interviewers to see how they were done. The  
22 thing that you're referring to is the close-  
23 out interview procedure which is PROC-92, and  
24 we observed that. And that is now documented  
25 in the interview review that you just got.

1           **MS. MUNN:** Correct, but which does not yet  
2 appear on any matrix.

3           **DR. MAKHIJANI:** Right.

4                       Then the third one, which is  
5 Procedure-97, which is the documentation of  
6 site experts and union, interviews with unions  
7 which relates to the WISPR database, that has  
8 been completed internally in SC&A. Kathy  
9 DeMers and I have completed it, but it's still  
10 under internal review, and you haven't seen  
11 it.

12           **DR. ZIEMER:** Kathy, this is Ziemer again. I  
13 think you're quite correct technically that we  
14 have not asked SC&A to review PROC-90.  
15 However, in essence, it's been reviewed under  
16 the previous numbers, those three. Were they  
17 PROC-4, -5 --

18           **MS. MUNN:** And 17.

19           **DR. ZIEMER:** -- yeah, under the previous  
20 numbers in essence, and so --

21           **MS. BEHLING (by Telephone):** That's correct.  
22 However, if we don't review PROC-90, where  
23 will we capture these outstanding findings?

24           **DR. ZIEMER:** That was basically, I think,  
25 the question. Somehow we have to make sure

1 that we don't drop that.

2 **DR. MAKHIJANI:** What I can volunteer is  
3 maybe I'll spend half an hour opening PROC-90  
4 and making sure that Stu and I agree on the  
5 characterization and then just send a, maybe  
6 John can send a memo out that it's the same  
7 and carry over the findings.

8 **MS. MUNN:** If you could do that then that  
9 could be one of the items that we bring to the  
10 Board during our telephone conference next  
11 month as an authority to review PROC-90 to  
12 make sure that those things are captured.  
13 That seems to be a logical way to approach it.

14 **DR. ZIEMER:** It's basically not re-reviewing  
15 it but simply making sure exactly the findings  
16 that are already there under the previous  
17 review that occurred.

18 **MR. PRESLEY:** But y'all are wanting to do  
19 that right now, aren't you?

20 **DR. ZIEMER:** We're not going to do it at the  
21 table here.

22 **DR. MAKHIJANI:** Whatever the procedure of  
23 things are you have to go through.

24 **MS. MUNN:** If we classify that as an action  
25 item to be addressed for us to discuss at the

1 next telephone interview, we'll have it  
2 squared away, right?

3 **DR. MAKHIJANI:** Yes, I think it should be  
4 able to be squared away in a couple of hours.

5 **MR. HINNEFELD:** I think so. And there has  
6 been some resolution ^.

7 **DR. MAKHIJANI:** Yes, there was resolution on  
8 many findings.

9 **MR. HINNEFELD:** There was why wasn't there  
10 any acknowledgment? Well, we said, well, we  
11 acknowledge the fact that we'll improve  
12 communication of some of this information.  
13 And that's been distributed but ^ has been  
14 distributed. One of our resolutions was  
15 suggesting attending a CATI, and my  
16 recollection was that in addition to doing  
17 close-out interviews, there was actually a  
18 listening in on the CATI I believe. I mean,  
19 this goes back like two years.

20 **MR. PRESLEY:** It goes back further than that  
21 I think.

22 **DR. MAKHIJANI:** Obviously some revisiting of  
23 the record is necessary because --

24 **MR. HINNEFELD:** We proposed, I don't know if  
25 it actually happened, we proposed that.

1           **DR. WADE:** The important thing is not to  
2 lose the finding. So what's going to happen  
3 is that SC&A is going to look at PROC-90. If  
4 PROC-90 is indeed PROCs four, five and 17  
5 combined together with some editorial changes,  
6 they'll report that back to the work group.  
7 And then we'll start to carry into the matrix  
8 those findings. We can make a note that four,  
9 five and 17 are now combined in PROC-90. And  
10 then the work group will have its ability to  
11 track those items.

12           **DR. MAKHIJANI:** And whatever has been  
13 resolved we can carry that over also to keep  
14 the record of whatever has been resolved.

15           **DR. MAURO:** Mechanistically we will be  
16 getting to a summary report, the matrix that  
17 just came in for today's meeting that will  
18 work its way into this, into the next revision  
19 of this. So mechanistically we'll capture it  
20 in the matrix that we will be covering. I  
21 think that's --

22           **DR. WADE:** Don't lose the coincidence of 90,  
23 four, five and 17, otherwise we'll do this  
24 again.

25           **DR. MAKHIJANI:** I'll take care of that, Dr.

1 Wade.

2 **DR. ZIEMER:** A follow-up question if I could  
3 on the other two which are OTIB-008 and OTIB-  
4 010 which are the other two that Kathy  
5 mentioned. Both of those had outstanding  
6 findings in the old versions. My question is  
7 and now they've both been revised. Is it  
8 NIOSH's contention that the revisions  
9 addressed the findings? It hasn't been  
10 verified obviously but --

11 **MR. HINNEFELD:** It was our intent to address  
12 the findings.

13 **DR. ZIEMER:** Okay, so somehow as we go  
14 forward these remain unresolved until we do  
15 the actual review of those two. Is that  
16 correct?

17 **MS. BEHLING (by Telephone):** Correct.

18 **DR. ZIEMER:** In other words NIOSH now says  
19 they have tried to address these outstanding  
20 findings in the new revisions. And until we  
21 actually review those, we don't have  
22 confirmation and closure on those items.

23 **DR. MAURO:** Well, the question I have is  
24 mechanistically we have a tracking machine  
25 that we're building as we speak. That machine

1 has been, of course, originally the first  
2 matrix. And now we have another matrix that  
3 we're talking about with the next set of 30  
4 which is the second set of 30. There will be  
5 a matrix that goes with the next set that's  
6 going to be coming out in a week or so which  
7 will have 40 new reviews now --

8 **DR. ZIEMER:** We almost automatically have to  
9 look at the revisions in order to close out  
10 the matrix.

11 **DR. MAURO:** Yeah, so what I'm asking the  
12 working group is should we, as we march  
13 through this process, should we only have one  
14 matrix that is, that rolls and brings from  
15 behind everything to the current so that, see,  
16 right now one of the things I'm concerned  
17 about is that we have different matrices and  
18 that not everything is being tracked on a  
19 single matrix related to Task Order 3.

20 And if we could have a single matrix  
21 that somehow we allow, for example, the OTIB-  
22 810, the PROC-90, and anything else that  
23 carries over into the next set of reviews  
24 somehow gets captured in the latest matrix,  
25 otherwise we're going to have too many

1 matrices.

2 **MS. BEHLING (by Telephone):** Excuse me,  
3 John, I would recommend that we continue doing  
4 what we're currently doing. What I've done  
5 here with the first set of procedures that we  
6 reviewed and that was identified in Table 2,  
7 I've looked at all of the findings that are  
8 still outstanding and need to be resolved in a  
9 revision.

10 When we look at that revision, I put a  
11 table up front that is a little bit different  
12 than our checklist. We still include the  
13 checklist, but my first table identifies here  
14 are all the findings from the previous version  
15 of this procedure. So we are capturing  
16 everything. But to put everything into one  
17 matrix, ultimately it will roll out into one  
18 matrix when we're done with it. But we have  
19 to keep separate matrices from my point of  
20 view for each published document we put out.

21 The first set of procedures that we  
22 did we have a matrix for that. The second set  
23 of procedures, which is Supplement 1, which  
24 we'll be discussing today, has a matrix. But  
25 that matrix is going to incorporate anything

1 that we have reviewed from the first set that  
2 was not resolved in a revision. So it gets  
3 carried over. Now when the Supplement 3 comes  
4 out, that will also have a separate matrix,  
5 and I think it's very important to have a  
6 matrix with each separate published SC&A  
7 document.

8 **DR. MAURO:** So mechanistically then, when we  
9 deliver our next product within a week or two  
10 from now which will have the next set of 40 or  
11 so procedures reviewed, that very same  
12 document will contain all of the history  
13 rolled up into it in some form, not  
14 necessarily into a single matrix, but there  
15 will be -- see, I'd like it in one place so  
16 that when we deliver our product that deals  
17 with Task 3, in that one volume it's all  
18 there, and we don't have to go back to  
19 previous products to sort of reconstruct what  
20 happened in the past.

21 **MS. BEHLING (by Telephone):** We won't have  
22 to do that. When we submit our Supplement 3  
23 next week, we're also going to submit a  
24 matrix. That matrix will include, in fact, if  
25 you go to page three of the document that I

1 sent to you yesterday, my Table 2, the very  
2 first item on there is OCAS IG-001, External  
3 Implementation Guide.

4 When I reviewed that implementation  
5 guide, the revision to it, I identified all  
6 the previous findings, and if there were any,  
7 which, in fact, there are several on page  
8 four, I believe, that are indicated a no, that  
9 that was not resolved in revision two of the  
10 IG-001, that will be captured on the  
11 Supplement 3 matrix. So everything's been  
12 rolled up. And I think that's the easiest way  
13 to do this, and it captures everything.  
14 Nothing's falling through the cracks.

15 **MS. MUNN:** There's a great deal to be said  
16 for Kathy's position. There's one major  
17 concern from the Chair's point of view, and I  
18 don't know whether the other working group  
19 members have that same concern or not. One of  
20 the confusing things for me is the difference  
21 in the titles of the documents that we're  
22 working on. If we had Table 1, Summary of the  
23 First Set of Procedures; Table 1, Summary of  
24 the Second Set of Procedures; Table 1, Summary  
25 of the Third Set of Procedures; it would be

1 much clearer for me to be able to follow what  
2 we're doing.

3 It is confusing to go from Table 1,  
4 Summary of First Set, to a document entitled  
5 Summary of Task 3 Supplement One, Rev. 1,  
6 Procedure Finding Matrix. The titles  
7 themselves are less than clear. If we can't  
8 re-impose upon SC&A to bring us a suggestion  
9 with respect to the titling of these documents  
10 that will make it simpler for us to be able to  
11 follow and understand exactly what we're  
12 talking about. If we can identify Table 1,  
13 Summary of First Set; Table 1, Summary of the  
14 Second Set and the date, then we will always  
15 know which procedure we're working. Is that  
16 amenable?

17 **DR. MAURO:** Absolutely, in fact, that's what  
18 we're doing on our Task 4 work where we have  
19 the first set, the second set, the third set -  
20 -

21 **DR. ZIEMER:** And then you can do roll ups  
22 also.

23 **DR. MAURO:** And no one gets confused. This  
24 one I agree. Calling it a supplement just --

25 **MS. MUNN:** Really confuses.

1                   **MS. BEHLING (by Telephone):** Yeah, and I  
2 agree also. In fact, we've talked in house  
3 about that, and our technical editor has also  
4 been critical of that. I guess initially when  
5 we did our first set of procedures, we didn't  
6 realize that that was poor planning to make  
7 these Supplement 1, Supplement 2, and we'd be  
8 happy to change that. I agree. I agree.

9                   **DR. WADE:** Possibly after lunch, John, if  
10 you could come back and tell us how it will  
11 be. I'd rather not wait for another meeting.  
12 This shouldn't be a hard issue for you to  
13 resolve. So maybe after lunch, you can caucus  
14 if you need to and then say this is how the  
15 nomenclature will be henceforth, and then  
16 everyone can expect that.

17                   **MR. PRESLEY:** This is Bob Presley. Can I  
18 make a suggestion? If you start these things  
19 by procedure review, that way we can, we know  
20 what we're looking at. And then you can say  
21 summary of first set, summary of second set  
22 and then ever how many tables. But if you got  
23 procedures review as the first part of the  
24 title, then we can go to that and pull it up  
25 and see. That'd help me.

1                   **MS. BEHLING (by Telephone):** Okay, very  
2 good.

3                   **MS. MUNN:** There's one other request with  
4 respect to considering titling and how we  
5 approach these. The roll up would be very  
6 helpful if it dropped off things that had been  
7 resolved and retained only the outstanding  
8 issues that we have not yet addressed. That  
9 way we do not have page after page of items  
10 which we have, in fact, closed but are keeping  
11 on the record as an item that has been  
12 addressed.

13                                 Historically we would retain what we  
14 already have showing completed, but on the  
15 roll up that we continue to work with on a  
16 continuing basis, we would retain only  
17 outstanding items. Does that make sense?

18                   **MS. BEHLING (by Telephone):** I agree.  
19 That's fine.

20                   **MS. MUNN:** All right, that's good.

21                   **DR. ZIEMER:** You might want to distinguish  
22 between a working roll up and an archive of  
23 everything that's been resolved. So again,  
24 that's in titling and --

25                   **DR. MAURO:** Let's talk a little bit more

1           about that. Every procedure has a history,  
2           and at some point in that history it ends.  
3           Having the record and knowing where that  
4           record is of the history of what transpired  
5           has value.

6           **MS. MUNN:** Absolutely.

7           **DR. MAURO:** Now that would, now under your  
8           protocol the last matrix that we are working  
9           with would not have that history. In order to  
10          capture that history or to resurrect it, we  
11          would have to go back to historical documents  
12          that we are no longer working with, and that  
13          could end up being -- in other words, I could  
14          see the day coming when someone would want to  
15          hear the story of PROC-92 and how it was  
16          eventually closed out and what process went  
17          through the decision making which could have  
18          great value. But it won't be captured in the  
19          latest matrix.

20          **MS. MUNN:** But if we have two roll ups, one  
21          which shows the documents that have been  
22          reviewed and resolved, all issues resolved and  
23          the date of the resolution, then anyone who  
24          wants to research it can start from that date  
25          of resolution and work back through minutes to

1 identify what has transpired with respect to  
2 that particular document.

3 **DR. MAURO:** So let me see if I've got this  
4 right and see what happens. So we have two  
5 tracking systems, one is to track the active  
6 procedures that are actively undergoing  
7 closure, and one is to track those that have  
8 been closed so anyone who wants to resurrect  
9 the history can do that.

10 **MS. MUNN:** Correct, and if we put the  
11 resolution date, the final resolution date for  
12 that particular document, then anyone who  
13 wants to can follow backward.

14 **DR. WADE:** John, if you could add that to  
15 the nomenclature, after lunch. I think Dr.  
16 Ziemer's word of an archived version and then  
17 a working version so now those are the two  
18 things that we have. One an archive version  
19 where we don't lose anything. But then when  
20 the work group comes and sits it needs to know  
21 what's in front of it for that meeting without  
22 having to sift through 47 pages. So if you  
23 could think about that.

24 **DR. ZIEMER:** Also knowing which ones have  
25 been looked at so we're not repeating.



1 topic?

2 (no response)

3 **MS. MUNN:** Kathy, thank you so much. We  
4 really appreciate it.

5 **MS. BEHLING (by Telephone):** Okay, I hope I  
6 didn't confuse things. We're trying to  
7 resolve all of this and make changes to our  
8 titles for our documents.

9 **DR. WADE:** Out of confusion comes clarity,  
10 so you helped us.

11 **MS. MUNN:** As long as I can identify, as  
12 long as any of us can identify which set of  
13 reports we're working from, then we're just  
14 fine. And I think our effort to do something  
15 with the titles and how we actually set these  
16 things apart will resolve that for us  
17 hopefully yet today. Thank you so much.

18 **MS. BEHLING (by Telephone):** You're welcome.

19 **MR. HINNEFELD:** This is Stu Hinnefeld.  
20 While we're on this I'd just offer that three  
21 of the five documents have not yet been  
22 revised are imminent. I mean they've been  
23 revised. They've been through internal  
24 review. They essentially just need signature  
25 for the revision to be done. That's three

1 OCAS TIBs, five, seven and eight. Are those  
2 the numbers? Yeah, five, seven and eight,  
3 OCAS TIBs five, seven and eight, the review  
4 will be signed any day now. The revision will  
5 be signed any day.

6 **MS. MUNN:** That's good. If we have any  
7 possibility at all of another face-to-face  
8 meeting of this group which is in my opinion  
9 likely prior to the January meeting, hopefully  
10 early in December I think, then we will take  
11 those particular items under review at that  
12 time.

13 **MR. HINNEFELD:** I'm sorry, six, seven and  
14 eight.

15 **MS. BEHLING (by Telephone):** This is Kathy,  
16 just one question. You said five, seven and  
17 eight.

18 **MR. HINNEFELD:** Yeah, I was wrong. I was  
19 wrong, six, seven and eight.

20 **MS. BEHLING (by Telephone):** Okay, because I  
21 was going to ask about six. Then I'm good.

22 **MS. MUNN:** All right, very good.

23 **DR. MAURO:** Before we close this, just again  
24 in terms of marching orders for SC&A, sounds  
25 like at the next Procedure working group

1 meeting, at this meeting we will resolve  
2 nomenclature, format, template-related issues  
3 perhaps after lunch for this archive versus  
4 active. Also, we will also move forward on  
5 proper titles for the different procedure  
6 deliverables, the Supplement 1, Supplement 2,  
7 Supplement 3 concept is going to be replaced  
8 by a better title. That means moving forward  
9 at the next working group meeting, all of our  
10 work products, whether they be matrices or  
11 reports, will reflect the changes we're  
12 talking about. So that's, I guess, the  
13 marching order for SC&A. I just want to make  
14 sure it's ^.

15 **DR. WADE:** We appreciate your tasking  
16 yourself. We've come to expect it.

17 For the record we have a Board call on  
18 the 6<sup>th</sup> of December, just for the record.

19 **MS. MUNN:** And sometime in or around that  
20 same time this group probably will need to  
21 meet because if we get later into December,  
22 we're going to run into holiday problems. And  
23 we want to have this particular part of our  
24 job cleaned up before the January face-to-face  
25 meeting if we can in Las Vegas.

1           **SC&A'S REVIEW OF PROCEDURE 92**

2                               Next item of business because it  
3                               touches a little bit on what we have already  
4                               been discussing here relative to Procedures 90  
5                               and 92, as all of you here know, SC&A has  
6                               released their review of Procedure 92, has a  
7                               number of findings on it. This is a  
8                               significant procedure, and the findings are  
9                               themselves significant.

10                              NIOSH clearly has not had an  
11                              opportunity to react to any of those findings.  
12                              And as a result, although there's been several  
13                              requests and inquiries as to whether or not we  
14                              are going to address that today, it's the  
15                              Chair's feeling that it would be premature of  
16                              us to address that given that the Agency has  
17                              not had review time. That needs to occur  
18                              prior to any action and any extensive  
19                              discussion here.

20                              I'd like to get a feel from NIOSH as  
21                              to what their expectation is with respect to  
22                              response to those particular findings. I was  
23                              advised in a sidebar conversation that this  
24                              procedure was at one time on our list of to be  
25                              reviewed and came off of it which explains its

1 lack of appearance on the current matrix. But  
2 the assumption is that it will then appear on  
3 forthcoming matrices. That being the case  
4 we're back to the question of what's a  
5 reasonable time for NIOSH to have some  
6 response to those findings for us.

7 **MR. HINNEFELD:** Well, I conveyed the  
8 document over to ORAU who actually performs  
9 the close-out interviews and asked them to,  
10 because of the nature of the findings and the  
11 nature of this process which is the direct  
12 interaction with the claimants. I wanted to  
13 make sure you had a careful and thoughtful,  
14 you know, read these things with an open mind  
15 and what can we take from this that we can  
16 adopt. It's not 100 percent sure that we can  
17 adopt everything that's suggested. And a  
18 clear statement of compensability of a claim  
19 is not something we can do. That's not our  
20 decision to make. That's the Department of  
21 Labor's decision to make. So there are some,  
22 but we intend to seriously evaluate what can  
23 we take from this and provide responses. And  
24 I think by about the end of the month is when  
25 I asked ORAU to provide the reaction. You

1 know, what's the reaction to these findings,  
2 these issues. So with our another couple  
3 weeks after that we would probably be able to  
4 discuss in some form I would think because  
5 once we get their initial response, then we  
6 have to evaluate that as well.

7 **MS. MUNN:** So is it reasonable for us to  
8 assume that at our next face-to-face meeting  
9 which we expect to hold between now and  
10 January of 2008.

11 **MR. HINNEFELD:** Well, that was my  
12 expectation was that there would be another  
13 face-to-face meeting approximately midway  
14 between now and the next meeting. So when I  
15 said was, what I'm trying to do is get things  
16 that can be addressed at that meeting lined up  
17 so that we're prepared to talk about them.  
18 And this would be one of those items.

19 **MS. MUNN:** Fine. Is that agreeable with  
20 everyone here?

21 **DR. WADE:** Still a little bit more  
22 specificity on time. So you're saying the end  
23 of October.

24 **MR. HINNEFELD:** I'm saying the end of  
25 October for ORAU to deliver product to us.

1 I'm saying more like mid-November before we,  
2 OCAS, would be prepared to discuss.

3 **DR. WADE:** Okay, but what you're prepared to  
4 discuss we have to give our colleagues an  
5 opportunity to have reviewed and be prepared  
6 to react.

7 **MR. HINNEFELD:** Correct.

8 **DR. WADE:** So if you were to say mid-  
9 November NIOSH's comments appear. They go to  
10 the work group and SC&A. Then the work group  
11 and SC&A would be prepared to engage in  
12 meaningful dialogue, beginning of December?

13 **MS. MUNN:** Hopefully.

14 **DR. MAURO:** SC&A, yes.

15 **DR. WADE:** So that's a plan. So that takes  
16 us back to that early December opportunity for  
17 the next face-to-face. But then the  
18 commitment is NIOSH by mid-November. The work  
19 group and SC&A with NIOSH at a work group  
20 meeting early December.

21 **MR. HINNEFELD:** Will there be a matrix  
22 prepared for that report?

23 **DR. MAKHIJANI:** We could do so. I mean,  
24 there are not many findings, but we --

25 **MR. HINNEFELD:** I know there are a handful

1 of findings.

2 **DR. MAKHIJANI:** -- could put it in the form  
3 of a matrix.

4 **DR. WADE:** It's good to maintain the  
5 continuity.

6 **DR. MAURO:** Yes, the choice becomes we can  
7 make that matrix part of the overarching  
8 matrices that we're using to manage Task 3, or  
9 we can have that as a special one similar to  
10 the way we dealt specially with OTIB-052.  
11 There was construction. That one had its own  
12 special treatment because of its importance.  
13 We could treat this one as a special one with  
14 its own matrix. It'll be a relatively brief  
15 matrix. I think the number of findings are  
16 limited, but whatever the preference is of the  
17 working group, separate matrix or incorporate  
18 it into the next overarching matrix.

19 **MS. MUNN:** I'm open to suggestion.

20 **DR. WADE:** When will we see the next  
21 overarching matrix?

22 **DR. MAURO:** Well, I guess the next  
23 overarching matrix will be part and parcel of  
24 the next deliverable which will be in two  
25 weeks. We will be delivering that third set

1 of procedure reviews which is the name we will  
2 call it as opposed to Supplement 3, in two  
3 weeks, less than two weeks. It will include  
4 as an attachment a matrix of our findings for  
5 the ^. In fact, our plan, our mode of action  
6 in the future is going to be to include  
7 matrices in all our deliverables right up  
8 front rather than wait. And in that very same  
9 matrix I guess we can accommodate this  
10 particular OTIB-052, I'm sorry, PROC-92, or  
11 have it separate.

12 **DR. MAKHIJANI:** One little wrinkle is that  
13 the Procedure 92 review is not that big.

14 **DR. MAURO:** That's true.

15 **DR. MAKHIJANI:** ^ that you're going to get.  
16 And I think if you want it more rapidly, it's  
17 very straightforward. I didn't do it because  
18 I haven't been asked to do it. I consulted  
19 with John about that. He said don't do until  
20 the working group asks you. But I could do it  
21 on relatively short order and send it out to  
22 you.

23 **DR. MAURO:** Yeah, Arjun makes a very good  
24 point. It turns out PROC-92 --

25 **DR. MAKHIJANI:** Before the end of this

1 meeting. I can just sit on the side.

2 **DR. ZIEMER:** I believe there were four  
3 findings in this, right?

4 **DR. MAKHIJANI:** Yes, and one of them had  
5 some subheadings.

6 **DR. ZIEMER:** It seems to me we could have a  
7 working matrix for this and merge it into the  
8 main matrix at some point. Otherwise, why  
9 separate it out although I might tell you,  
10 and, Wanda, I got a phone call from a court  
11 reporter here, a news reporter, on this one.  
12 I think you may have also.

13 **MS. MUNN:** Yes, I did. I played telephone  
14 tag with him.

15 **DR. ZIEMER:** This one is already in the  
16 spotlight in the media -- and you got a call,  
17 too.

18 **DR. MAURO:** Yeah, I got a call, too.

19 **DR. ZIEMER:** I think it was Rocky Mountain  
20 News.

21 **DR. MAURO:** Yeah, it was this Laura Franks;  
22 she called me.

23 **DR. ZIEMER:** And called about it asking  
24 which work group was going to review it. I  
25 told Laura that it was a procedure, and

1                   therefore, would come to this committee, but  
2                   as far as I was concerned at that time unless  
3                   the Board wanted to look at this separately,  
4                   but I think it is a procedure, and it's  
5                   appropriately being reviewed here.

6                   But I think she's going to be tracking  
7                   the outcome which tells you that this  
8                   particular procedure has a level of broader  
9                   interest amongst our constituents than many of  
10                  the procedures do because it speaks to the  
11                  interface between NIOSH and the Board and the  
12                  claimants, and that's where the rubber meets  
13                  the road. So I think a careful review of this  
14                  is important and maybe a working matrix so we  
15                  make sure we address those issues and not wait  
16                  for the bigger bulk of everything else. In my  
17                  opinion that's, I don't know how the others  
18                  feel about it.

19                 **MS. MUNN:** I think you're absolutely  
20                 correct, Paul, and when I received the first  
21                 telephone call from Ms. Franks about this I  
22                 was newly back from vacation, had not looked  
23                 at what I had on my file and had no idea what  
24                 she was talking about and told her I wasn't  
25                 planning on looking at anything like that.

1                   Then I realized after I had seen my  
2 material what the issue was and had tried to  
3 relay to her that we would address it but not  
4 in depth this time simply because it had not  
5 had an opportunity to be properly vetted and  
6 would not be so for several weeks. So, yes, I  
7 do feel unless there is strong evidence to the  
8 contrary that perhaps a differentiated matrix  
9 like we did with 52 would be in order here.  
10 Especially now that we have identified our  
11 expectation of having an archive that we can  
12 incorporate these issues into once they're  
13 done.

14                   It's always been some concern for me  
15 that when we do separate matrices for any of  
16 our documents, they may not get incorporated  
17 in any master document.

18                   **DR. WADE:** So here's where we stand. For  
19 the record SC&A has completed its review of  
20 PROC-92. SC&A is going to prepare a working  
21 matrix of findings and share it with the Board  
22 and NIOSH this week. NIOSH will prepare its  
23 reaction and comments back to the SC&A review  
24 and matrix items by mid-November sharing them  
25 with the Board and SC&A, an anticipated face-

1 to-face working group meeting in early  
2 December.

3 **MS. MUNN:** Agree?

4 **DR. WADE:** At some appropriate clime.

5 **MR. GRIFFON (by Telephone):** Wanda, this is  
6 Mark.

7 **MS. MUNN:** Yes, Mark.

8 **MR. GRIFFON (by Telephone):** Just one  
9 comment, I agree with everything that was  
10 said. I wonder, there was the recommendation  
11 in this report for the Board to re-interview  
12 these individuals that were subjects of the  
13 SC&A review. And I know that's been a topic  
14 of Board discussion in the past, and I wonder  
15 if we might bring that discussion back to the  
16 Board meeting this time to get ahead of the  
17 game to see, you know, can we do that? I'm  
18 sure Legal has an opinion. I just wonder, you  
19 know, everything else I think I agree. We  
20 should wait until NIOSH has a chance to  
21 respond, but maybe we want to get ahead of the  
22 game on at least a discussion of can we, you  
23 know, it might be another discussion whether  
24 we choose to, but can we do that and are there  
25 legal hurdles to go through or over or to work

1 with NIOSH on?

2 **MS. MUNN:** Yes, Paul.

3 **DR. ZIEMER:** Mark, we have legal counsel  
4 with us today. They may not be in a position  
5 to make a determination, but at least they  
6 hear the question, and I would think perhaps  
7 at the Board meeting we can raise the issue  
8 and maybe ask Legal to look into it. But I'm  
9 not sure we need an answer today, but at least  
10 you're suggesting that they at least begin to  
11 look at this I think, right?

12 **MR. GRIFFON (by Telephone):** That's what I'm  
13 asking that we all just consider discussing it  
14 later this week, yes.

15 **DR. ZIEMER:** There's Liz.

16 **MS. HOMOKI-TITUS:** I was just going to say  
17 we've taken note of your request and we'll  
18 work with Lew Wade to get a determination, but  
19 it won't be at this meeting.

20 **DR. WADE:** Perfect. Do you understand  
21 everything you need to understand, Liz, to  
22 undertake the developing --

23 **MS. HOMOKI-TITUS:** The Board wants to  
24 consider speaking with people that have been  
25 interviewed by SC&A.

1           **DR. ZIEMER:** There's a recommendation in  
2 here that I think Mark is asking can the  
3 Board, if the Board agreed with it, could they  
4 legally do it.

5           **DR. WADE:** Let's put the recommendation on  
6 the table so that --

7           **DR. MAKHIJANI:** I just want to clarify  
8 what's in the report. There were two  
9 claimants who had given substantive  
10 information during the close-out interview.  
11 And SC&A thought that it might be useful if  
12 the Board directly or through its working  
13 group or however you decided might interview  
14 those two people. As I understand it their  
15 claims have been, part of these claims have  
16 been completed and the paperwork is done at  
17 the Department of Labor and so on.

18           **MR. HINNEFELD:** I don't know the status of -  
19 -

20           **DR. MAKHIJANI:** I believe -- I did check  
21 that, and it's my understanding -- I haven't  
22 checked it recently -- but --

23           **MS. HOWELL:** Arjun, when you say substantive  
24 information do you mean new information on  
25 their claim?

1                   **DR. MAKHIJANI:** During the close-out  
2 interview? Yes.

3                   **MS. HOWELL:** I have read the document, but  
4 I'm just trying to make sure that I  
5 understand. I want to frame what it is you're  
6 --

7                   **DR. MAKHIJANI:** Yeah, I don't know what I'm  
8 allowed to -- yes.

9                   **MS. HOWELL:** We can talk about it later.

10                  **DR. MAKHIJANI:** Yes, I think I'd like to  
11 give you that information offline. There was  
12 substantive information in relation to the  
13 dose reconstruction that we talked about.

14                  **DR. WADE:** But the Board's or the work  
15 group's discussion with these people would not  
16 be about collecting that information and  
17 providing it to the process. It would be  
18 about the Board's review of Procedure 92.

19                  **DR. MAKHIJANI:** Dr. Wade, yes, our comments  
20 were not about the dose reconstruction. It  
21 was merely because the close-out interview,  
22 part of the purpose is to make sure that if  
23 there's any new information that's provided,  
24 that that should be considered by NIOSH before  
25 the final dose reconstruction is done. And

1                   there was some kind of gap, we felt, in  
2                   between the interview, the information that  
3                   was provided and that full consideration had  
4                   needed to be done. That's why it sort of  
5                   became an important point of the report. As  
6                   the report said that we didn't feel that was  
7                   fully considered.

8                   **MS. HOMOKI-TITUS:** So why don't you clarify  
9                   for me now that Arjun has said that, exactly  
10                  what the Board would like to do? Because now  
11                  I'm a little bit confused.

12                 **DR. WADE:** Do you want me to do that or  
13                 would you, I mean, I can attempt to do that.

14                 **MS. HOMOKI-TITUS:** I don't know if the Chair  
15                 would like to do that?

16                 **MS. MUNN:** I believe what I heard is first  
17                 of all we will not get a legal opinion on  
18                 whether re-interviewing these people is  
19                 possible at this meeting.

20                 **MS. HOMOKI-TITUS:** No, you will not --

21                 **MS. MUNN:** We will not.

22                         Second, is my understanding that we  
23                         are being asked if a re-interview, a second  
24                         re-interview, now of some of these people is  
25                         possible, and if so, by whom with respect to



1           **DR. WADE:** I think there's a nuance here  
2 that has to be made very clear. This is not  
3 about gathering information for the purpose of  
4 dose reconstruction or an appeal to dose  
5 reconstruction. It is not that. What this is  
6 is learning from people who have been through  
7 the process about the process.

8           **MS. HOMOKI-TITUS:** So you wouldn't actually  
9 be asking for the substantive information.  
10 What you would be saying is do you as a  
11 petitioner feel like your information was  
12 addressed, that kind of question.

13           **DR. WADE:** Correct, because if we ask you  
14 the first question, we know the answer's going  
15 to be no. So to explore the possibility of  
16 the Board learning about these people's  
17 experience with the process that they're  
18 reviewing, there's a possibility --

19           **MS. HOMOKI-TITUS:** No, that's very different  
20 from gathering substantive information.

21           **DR. WADE:** -- the question is tell me about  
22 your new information. I want to see if your  
23 dose reconstruction was done correctly. The  
24 answer to that I'm sure will be no. Right,  
25 Arjun?

1                   **DR. MAKHIJANI:** That's correct. I think,  
2                   Dr. Wade, you have the ^.

3                   **MS. HOMOKI-TITUS:** And if the Board, as Dr.  
4                   Ziemer said, wants to re-address that later.

5                   **DR. WADE:** But this is about a review of a  
6                   procedure and talking to people who've  
7                   experienced the use of the procedure for the  
8                   purpose of commenting upon the efficacy of the  
9                   procedure.

10                  **DR. MAKHIJANI:** And the whole point of the  
11                  comment, and it states in the report  
12                  explicitly just so there wouldn't be any  
13                  confusion, that wasn't a comment on the dose  
14                  reconstruction itself, whether it was right or  
15                  wrong or whatever. But it was a comment on  
16                  how the information provided was handled.

17                  **DR. WADE:** Consistent or not consistent with  
18                  the procedure.

19                  **DR. MAKHIJANI:** That's right.

20                  **DR. WADE:** I think you understand that was  
21                  the question.

22                  **MS. HOMOKI-TITUS:** I do understand that.

23                  **DR. WADE:** The Board can decide whether it  
24                  wants to really ask you to consider this when  
25                  the Board meets, but it's very important we

1 distinguish between the business of dose  
2 reconstruction and the business of reviewing  
3 procedures.

4 **MS. MUNN:** I need to be very sure that the  
5 Board Chair has clearly, in his mind, what the  
6 question's going to be that's placed before  
7 the Board because I'm uncertain what the  
8 recommendation of this group needs to be with  
9 respect to the larger Board meeting on  
10 Thursday when we report.

11 **DR. ZIEMER:** Well, first of all, I think  
12 Mark as an individual Board member will  
13 probably want to raise the issue. We should  
14 recognize that this report just came out. I  
15 saw it for the first time after the reporter  
16 called me. I had been on travel also, and  
17 when she called and wondered what we were  
18 going to do with this report, I said give me  
19 the title of the report and the number. I  
20 hadn't, and I told her I had not even read the  
21 report yet.

22 And I don't know how many Board  
23 members will have seen it and be prepared to  
24 even make a determination at the meeting on  
25 what they think we should do. I think the

1           only thing we will have is the question that  
2           Mark has raised, can you be thinking about if  
3           the Board in the future accepts the  
4           recommendation, and not everyone will have  
5           even read it, and I don't think we want to dig  
6           into the report in detail, but should that  
7           occur, and you can read what the  
8           recommendations are and give us an opinion.  
9           That's what's going to happen I think.

10           I don't think we should discuss the  
11           report in any detail having it just come to  
12           most members within the week or so. And it's  
13           a pretty detailed report and amongst a lot of  
14           other things that we have like an extensive  
15           Hanford report very recently.

16           **MS. MUNN:** It would not be productive for us  
17           to spend Board time discussing this in my  
18           view.

19           **DR. ZIEMER:** So I think the only thing we  
20           can do is point out that we have the report,  
21           the matrix is being developed. There is a  
22           recommendation that will raise this issue and  
23           let Mark raise it.

24           **MS. MUNN:** Mark, are you --

25           **MR. GRIFFON (by Telephone):** Yeah, my only

1 point was, and just as Lew had put, my only  
2 point was to say it is not that we accept the  
3 recommendation from the report. We're still  
4 reviewing that. But assuming that we do, is  
5 this a viable option for the Board to pursue?  
6 Are we allowed to re-interview these people  
7 with -- and I think Lew's words are very well  
8 put, looking at the effectiveness of the  
9 procedure and the interview process not to  
10 gain more information about the particular DR  
11 in question but to look at the effectiveness  
12 of the procedure.

13 **MS. MUNN:** Mark, since you are likely going  
14 to be the person who will bring this up, would  
15 you do us the good service of during our  
16 copiously free time over lunch, would you put  
17 together the exact words that you anticipate  
18 using so that it will be crystal clear for all  
19 of us this afternoon before we go away exactly  
20 what you expect to say on Thursday when this  
21 issue is raised? Could you do that?

22 **MR. GRIFFON (by Telephone):** Sure.

23 **MS. MUNN:** It would be very helpful. Thank  
24 you so much. And I won't worry about what the  
25 real issue is. You're going to formulate it

1 for us specifically.

2 **ACTION ITEMS FROM PREVIOUS MEETING**

3 Now let's move on to our action items  
4 from our previous meeting. We can address  
5 this in one of two ways. Either we can start  
6 with the matrix and try to check off these  
7 action items as we go along, or we can ask our  
8 NIOSH folks if they want to go down the action  
9 item list and check off those as we go. Which  
10 would you prefer, gentlemen? It's up to you.

11 **MR. HINNEFELD:** Up to us?

12 **MS. MUNN:** Yeah.

13 **MR. HINNEFELD:** Well, are you talking about  
14 your action item list in your e-mail?

15 **MS. MUNN:** Yes, it's the same one that we  
16 put together --

17 **MR. HINNEFELD:** I think we can start through  
18 these.

19 **MS. MUNN:** If you would like to do that,  
20 then please do.

21 **DR. ZIEMER:** Well, we need a matrix before  
22 us that associate with this?

23 **MS. MUNN:** Perhaps it would be a good idea  
24 for you to have the matrix.

25 **DR. ZIEMER:** Which dated matrix is it?

1           **MS. MUNN:** It says Summary of Task 3,  
2 Supplement 1, Rev. 1 Procedure Findings  
3 Matrix. And at the bottom right-hand corner  
4 it says revised draft September 25, 2007, in  
5 red. Stu sent that to us last week.

6           **MR. HINNEFELD:** Well, okay, speaking from  
7 the action item list in the first action there  
8 under NIOSH's reconsider the content of OTIB-  
9 020. It says more detailed guidance in the ^  
10 which I believe is the coworker, the general  
11 coworker approach document. Is that correct?  
12 OTIB-020?

13           **MS. MUNN:** Page five I believe.

14           **MR. HINNEFELD:** We've added some additional  
15 information to the matrix in red. It's at the  
16 top of what looks to be page --

17           **MS. MUNN:** Page six.

18           **MR. HINNEFELD:** -- six that describes some  
19 of the difficulty in developing the standard  
20 set of language in OTIB-020 to discuss  
21 acceptability of dataset. I believe the  
22 finding gets to the, how do you determine if  
23 the coworker dataset that we're using in a  
24 coworker approach is a good, quote, good set  
25 of data? I believe that's what we were asked

1 to address. And is there a way to include the  
2 criteria that we use when deciding the dataset  
3 is good enough for coworker; is there a way to  
4 include that time period in OTIB-020?

5 And so our initial take on that is  
6 because of the kinds, you know, the types of  
7 data formats you're going to see in coworker  
8 datasets, it's a little hard to determine  
9 ahead of time what might be an acceptable test  
10 to do that. That's kind of what we talked  
11 about at the last meeting.

12 In subsequent conversations with at  
13 least one member of the ORAU team, well, if we  
14 have kind of the same thing, we use kind of  
15 the same thing each time which is we try to  
16 match the dataset, the data in the dataset, to  
17 the data we received for that claimant in the  
18 response to see if those pieces of data ^.

19 In other words, if the coworker  
20 dataset has personal identifiers, which we try  
21 to get. It's based on personal identifiers.  
22 Does the data in the coworker dataset for that  
23 individual match what the Department of Energy  
24 sent to us when we asked for that person's  
25 exposure history. So that's the kind of test

1           that's done.

2                   And then there's a sampling that's  
3 done based on the size of the data. We don't  
4 check every person. A sample that's selected  
5 and the test is run to see if you get a  
6 readable match on the data DOE reports versus  
7 the coworker data.

8                   So that is a test that's pretty  
9 consistently used on these coworker datasets.  
10 But it may not be all, and it may not be  
11 sufficient to really describe everything in  
12 the case. So it just seems like, you know,  
13 upon thinking about this, we don't ask, why  
14 don't you and ORAU go think about this. What  
15 could you do? Is there some language you  
16 could put in there? That's essentially what  
17 we were asked to do.

18                   And so we thought about it, and I  
19 asked the people who know what they're doing,  
20 we talked about one of them, and they came up  
21 with that potential thing. But really they  
22 said I don't know how comprehensive that's  
23 going to be to put something like in there.  
24 It seems like that may be something you can  
25 say there, but it doesn't really add anything

1 because each of the specific coworker dataset  
2 procedures is supposed to describe what was  
3 done to decide if this was an okay dataset to  
4 use and for what years.

5 **DR. MAURO:** Let me add a little to that,  
6 and, Hans, can certainly add more. Hans was  
7 the original author. But it comes down to,  
8 the procedure itself says, well, listen, you  
9 have a worker, and you're going to make a  
10 determination based on all the information you  
11 have regarding him whether you're going to  
12 assign to him an ambient dose, in other words,  
13 this person really wasn't exposed. The only  
14 exposure he might have received could have  
15 been from an ambient environmental dose.

16 Or this worker may have gotten some  
17 exposure so therefore, we're going to assign  
18 to him a 50 percentile value out of the  
19 coworker model. Or here's a worker that he  
20 got exposed, but he wasn't monitored and  
21 probably should have been monitored, and we're  
22 going to assign to him the 95<sup>th</sup> percentile  
23 value in the distribution of the coworker  
24 model.

25 And I guess our concern in its

1 simplest form is that, gee, there's an awful  
2 lot of judgment that has to be made across  
3 that distribution. And it wasn't apparent  
4 that that a judgment could be made in a  
5 consistent manner based on the procedure. Now  
6 certainly, and I guess it sounds like that you  
7 do have a process. And when we read the  
8 procedure we felt that there was an awful lot  
9 of room for personal judgment that could  
10 result in inconsistent application of that  
11 decision making.

12 **MR. HINNEFELD:** Well, I think certainly from  
13 OTIB-020 it would be because the site  
14 specificity of the information that you would  
15 use to make that judgment, there is site  
16 specificity because an important part of the  
17 information is job title.

18 Based on a person's job title you can  
19 make some judgment about a person who got  
20 exposed. If they are a secretary to the  
21 president, for instance, that person's  
22 probably not exposed. Whereas, if they were a  
23 chemical operator, why, if you happen to find  
24 one that's not monitored, that person would be  
25 exposed. So but those job titles are not

1 universal. You know, each site will have its  
2 own set of job titles, and so you have to make  
3 those decisions based on that site.

4 So again, I may only be postponing the  
5 argument here, but it would seem like a site  
6 specific coworker TIB because, you know, this  
7 is a general one. A site specific one to the  
8 extent that specific information can be  
9 provided, that would be the place. And even  
10 then how specific can it be?

11 I'm not a hundred percent sure I could  
12 sit here and say, yes, by gosh, you could read  
13 this, and you'll know whether it's a guy  
14 that's a 50 percent or a 95<sup>th</sup> percenter. I'm  
15 not so sure I could promise that. But I think  
16 to the extent that any additional specific  
17 information were provided, it would have to be  
18 in the site specific one because it's just, in  
19 that instance you just can't in a general  
20 procedure say much about it because the  
21 terminology is too different from site to  
22 site.

23 **MR. PRESLEY:** This is Bob Presley. You'd  
24 have to have the location a person was working  
25 onsite, too.

1                   **MR. HINNEFELD:** Sure, and in various sites  
2 you have varying degrees of quality of  
3 information of where did the person work.

4                   **MR. PRESLEY:** That's right.

5                   **MR. HINNEFELD:** At certain sites a  
6 particular job title could have worked all  
7 over the place. At other places you have  
8 enough information you know that he didn't.  
9 So I mean it's very specific to the  
10 information you can learn about a site or make  
11 those judgments.

12                   **DR. MAURO:** I don't recall whether this  
13 also, this was universal in terms of applying  
14 to construction workers also or whether this  
15 was limited to Operations folks. Because  
16 somehow the OTIB-052 falls in here, too. So  
17 we've got this hierarchy, you know. This is  
18 like an overarching philosophy which in  
19 principle, if you have complete information,  
20 the philosophy is sound. But you don't always  
21 have complete information.

22                                 Then underneath that, subsumed within  
23 that is the site specific coworker model, and  
24 but you say, then you sort of back, in using  
25 that philosophy, you move into the site

1 specific and see if you could implement that  
2 philosophy in a reasonable way. And then  
3 nested underneath that is OTIB-052 which deals  
4 with construction workers.

5 Now that we have this hierarchy, now  
6 we're going to try to try to apply this to  
7 construction workers where you have other  
8 adjustment factors. So you have built this  
9 pyramid, and I guess the process, which is  
10 quite sophisticated, does require at each step  
11 in the process these judgments to be made.  
12 And I guess that's the essence of --

13 **DR. NETON:** This came up I think at the TIB-  
14 052 meeting we had, sort of déjà vu around  
15 here. And I thought at that time we had  
16 discussed the idea of the implementation of  
17 these things is really, the proof of the  
18 implementation is in the review of the dose  
19 reconstructions.

20 And at that time I thought that the  
21 Board, or working group at least, had decided  
22 that they would try to pull out specific cases  
23 where coworker models were used to see, to  
24 demonstrate, if NIOSH had or had not chosen  
25 the appropriate bracket for those workers.

1                   Because I think our argument at that point  
2                   went very much along the lines of what Stu was  
3                   saying was it really is in the implementation.  
4                   I mean, every site is different.

5                   We have different site-specific TIBs,  
6                   and they do provide guidance, but until you go  
7                   out there and look at the dose reconstruction  
8                   and see how it's being implemented, you really  
9                   can't tell. So I would say to some extent it  
10                  can only be determined through looking at how  
11                  dose reconstructions are being carried out.

12                 **DR. BEHLING (by Telephone):** Stu and Jim  
13                 Neton, this is Hans Behling. And as John said  
14                 I was the one who reviewed this particular  
15                 procedure. And I concur with this point  
16                 because I have now had a chance to not only  
17                 look at this particular procedure but also  
18                 view it in context with a site-specific  
19                 coworker data model. And specifically, I'm  
20                 referring to the Portsmouth situation.

21                 And I concur with you because when you  
22                 do not look at this in context with a site  
23                 specific coworker model, all of the questions  
24                 that I had raised up front are now at this  
25                 point somewhat answered. And I feel confident

1 that in combination with a site-specific  
2 coworker model to the questions that were  
3 raised have been answered.

4 **MR. HINNEFELD:** Okay, great, thanks.

5 **DR. NETON:** How do we capture that?

6 **DR. ZIEMER:** Well, here's SC&A agreeing with  
7 the comment. SC&A agrees with the comment or  
8 NIOSH or --

9 **MR. HINNEFELD:** Our response.

10 **MS. MUNN:** And this brings up --

11 **DR. ZIEMER:** And I think, Hans, you've seen  
12 that in actual cases, right?

13 **DR. BEHLING (by Telephone):** Yes, I have.  
14 And as I said I'm currently reviewing the  
15 Portsmouth site profile, and I've looked at  
16 also the site-specific coworker dose model,  
17 and I've looked at actual dose reconstruction.  
18 And in combination with those three things,  
19 the TBD, the site-specific coworker model and  
20 the dose reconstruction that made use of that,  
21 I'm very, very satisfied with the combination  
22 of information that allows for a sound dose  
23 reconstruction.

24 **MS. MUNN:** This brings up another process  
25 issue which we have not yet addressed as a

1 working group bothering your Chair  
2 tremendously. That's the fact that in our  
3 matrix we as yet have absolutely nothing in  
4 any of the Board recommendation columns. I  
5 don't think we even identified exactly how and  
6 when we are going to incorporate anything in  
7 that activity. It's my view that once we have  
8 reached the point where we have just achieved  
9 here on this particular issue, we need to be  
10 making some sort of notation in the Board  
11 recommendation that this is resolved. If we  
12 do not do it in this work group, I'm not sure  
13 exactly when and where that's going to occur.  
14 Has anyone else given any thought to that?

15 **MR. HINNEFELD:** Well, I mean, we used a  
16 system, I think, similar to the dose  
17 reconstruction matrices, the Board  
18 recommendation, or there may be some place in  
19 common in here. I'm not exactly sure what the  
20 title of the next column is in that matrix.

21 **MS. MUNN:** Program Actions.

22 **MR. HINNEFELD:** Well, there's a Program  
23 Actions, but there's also a Board  
24 Recommendation and Program Actions. Those are  
25 the two that are on there.

1           **MS. MUNN:** Yes.

2           **MR. HINNEFELD:** And dose reconstruction very  
3 frequently after our initial response you'll  
4 see a statement, a column there that says  
5 either NIOSH Agrees or SC&A Agrees. It says  
6 something like that.

7           **MS. MUNN:** In other matrices it does, and  
8 here it doesn't.

9           **MR. HINNEFELD:** And then the Program Action  
10 may be NIOSH agrees to revise such-and-such or  
11 we change some process in the dose  
12 reconstruction world. Or in this one it would  
13 be we agree to revise some document. Or the  
14 Program Action could be none.

15          **MS. MUNN:** Yes, Paul.

16          **DR. ZIEMER:** I might add, and I just  
17 confirmed this by checking our dose  
18 reconstruction matrices, we have another  
19 column which is the Resolution column which  
20 indicates, for example here's one, NIOSH and  
21 SC&A agree on this item or something like  
22 that. Or SC&A to do something or NIOSH is to  
23 reconsider something. But there's a  
24 Resolution column before the Board Action.  
25 And you could actually have another column

1 that would be labeled Working Group  
2 Recommendation or something like that even.

3 **MS. MUNN:** I'm concerned with the number of  
4 items that we have on these matrices that we  
5 don't have such a resolution column as we have  
6 in other matrices that other work groups are  
7 dealing with, and that we don't have the kind  
8 of information we were just speaking of  
9 earlier with respect to roll ups and when  
10 something comes off.

11 **DR. MAURO:** So am I correct right now I'm  
12 looking at the matrix, where OTIB-0020 exists,  
13 and it's on page five of the September 27<sup>th</sup>  
14 draft that Stu sent out. What I'm hearing is  
15 that what we could use is another column to  
16 the right that says Resolution. And right now  
17 we put in closed. So that would close it. So  
18 the next matrix for this, this would not be on  
19 it.

20 **MS. MUNN:** Correct.

21 **DR. MAURO:** But it would be on the archive.

22 **MS. MUNN:** The archive list, yes.

23 **DR. WADE:** Let's explore the right-hand  
24 regions of that matrix a little bit more.  
25 What would be the heading of the comment where

1 it says closed?

2 **DR. MAURO:** Resolution.

3 **MS. MUNN:** Resolution.

4 **DR. WADE:** Well, we do need to, there is the  
5 issue, we want to leave open the possibility  
6 that SC&A could say we don't agree with NIOSH,  
7 and then the Board decides that it's closed.  
8 So is this resolution column a Board column or  
9 -- so we need to just make sure that we cover  
10 all the possibilities. There will be some  
11 items that will be closed between the two  
12 parties. There'll be some items that the  
13 Board will eventually have to decide upon, and  
14 we need to leave room for that. Do you see  
15 what I mean?

16 **MS. MUNN:** But the resolution column would  
17 be, I believe, presented to the Board for  
18 final decision.

19 **DR. WADE:** But it'll come in two ways.  
20 There'll be issues where --

21 **DR. ZIEMER:** It may not be a resolution.

22 **DR. BRANCHE:** It's a recommendation, isn't  
23 it?

24 **MS. MUNN:** We have Board recommendations.

25 **DR. ZIEMER:** Well, it's not the

1 recommendation at that point. It's the  
2 outcome of the response and whether or not  
3 SC&A agreed to the response or didn't agree.

4 **MS. MUNN:** It's the outcome of this process  
5 right here. That's what we're looking for.

6 **DR. ZIEMER:** We called it resolution in the  
7 dose reconstruction matrices, but it wasn't  
8 always a resolution. It's sort of the  
9 outcome. I think we used that word,  
10 resolution --

11 **DR. MAKHIJANI:** I think a different title  
12 for that might be, might say NIOSH/SC&A  
13 status, and then --

14 **DR. ZIEMER:** Something like that.

15 **DR. MAKHIJANI:** -- Board Action and then  
16 Program Action so that it's clear that NIOSH  
17 agrees, SC&A agrees so that the NIOSH column  
18 in this case SC&A agrees. So then the Board  
19 can decide.

20 **DR. ZIEMER:** Or it could be NIOSH/SC&A  
21 resolution-slash-status or something like  
22 that.

23 **DR. WADE:** While you're working over lunch  
24 on this, John.

25 **DR. MAURO:** It'll be a long lunch.

1           **MS. MUNN:** That, however, there's more than  
2 one opinion apparently on what to do with  
3 that. I personally still would support the  
4 concept of using resolution for more reasons  
5 than one. It's an identifier to us that the  
6 work of this particular body is essentially  
7 done on that item. And if the resolution  
8 column says referred to the full Board, then  
9 that's an action for this group to take, but  
10 it still is a resolution.

11           The additional reason I would like to  
12 stick with that terminology is that's what we  
13 have used in other working groups and in other  
14 matrices. Whatever we do, it seems to me that  
15 we should develop some kind of consistency so  
16 those of us who are working with more than one  
17 set of documents and one set of information  
18 can follow through without having to put our  
19 new hat on every single time to identify what  
20 the presentation format is going to be and  
21 what that means.

22           **DR. MAURO:** It seems to me that what we're  
23 maturing to the point in this process where  
24 we're starting to understand the nuance in  
25 each step. For example, the fact that right

1           now what we have is agreement between NIOSH  
2           and SC&A on a particular technical issue. As  
3           far as SC&A is concerned that issue has been  
4           resolved, and we have no further comment. Of  
5           course, then we go to the next tier which says  
6           the working group because the working group  
7           heard that. And the working group could very  
8           well judge, well, there's still some aspects  
9           of it that you're uncomfortable with it.

10          **DR. ZIEMER:** Or we don't like either --

11          **DR. MAURO:** So that's your purview. It's  
12          almost like it's a tier. Then the next step  
13          is, well, it's not over yet. There's the  
14          Board. So what we will try to do during lunch  
15          is to tease out the layers of the decision-  
16          making process or whatever the right name is  
17          so that we have columns that capture it so  
18          that the last column in the end has to be the  
19          Board has closed out this item. And if you  
20          want to know how we got there, go see the  
21          archive.

22          **DR. WADE:** Right, John. See, it's important  
23          to realize that around this table what's  
24          happening is the work group is witnessing  
25          discussion between NIOSH and the Board. The

1 work group can engage in that, but the work  
2 group is also witnessing it. If it comes to  
3 closure, the work group has to decide whether  
4 it accepts that closure. The other important  
5 thing to include is that sometimes NIOSH and  
6 SC&A agree that intellectually we've closed on  
7 issues it will result in the re-issuance of a  
8 new procedure. We can't lose that. It has to  
9 be tracked through.

10 **MS. MUNN:** Lunch is getting longer. I can  
11 tell. I do hope that the matrix format does  
12 not become so complex that we have so many  
13 columns on it that it won't fit on a page of  
14 eight-and-a-half by 11 paper, simply because  
15 that's the only size my printer will take.

16 **DR. MAURO:** I'm going to put something on  
17 the table that I'm sure will be controversial,  
18 but, see, I think the matrix has served us  
19 well to the point but now I'm starting to  
20 think it really has to go down. In other  
21 words, we've been going this way, right?  
22 Going across. And the number of columns and  
23 today whether we realize it or not, we've  
24 added a few more columns which are important  
25 columns that are not going to fit on the page.

1                    Maybe we could make a matrix that goes  
2                    down and its structure, that is, it has a  
3                    format where each of these steps in the  
4                    process are itemized and someone could just go  
5                    down like PROC-90 would have the same columns  
6                    concept but go down the page. Could we go  
7                    down the page? Because right now when NIOSH  
8                    fills in a response in a little, skinny  
9                    column, it goes on for three pages. I think  
10                   it's time to maybe consider going the other  
11                   direction.

12                   **MS. MUNN:** Let's think about that after  
13                   you've identified what needs to be on it. And  
14                   for the moment I've been asked by more than  
15                   one person for a comfort break, short, please,  
16                   ten minutes. We do want to get back through  
17                   some more of these things before we break for  
18                   lunch. We'll be offline for ten minutes for  
19                   those of you who are on.

20                   **DR. ZIEMER:** What time is lunch?

21                   **MS. MUNN:** I had planned lunch for 12:30.  
22                   With any luck at all 12:30, but it may be a  
23                   little after that.

24                   **DR. WADE:** We're going to mute the phone.  
25                   We'll be back with you in ten minutes.

1                   (Whereupon, a break was taken from 11:30  
2 a.m. until 11:40 a.m.)

3                   **DR. WADE:** We're going back into session.  
4 Could I ask one person, the smartest person  
5 who's connected by phone, to identify the fact  
6 that you're hearing us?

7                   (no response)

8                   **MS. MUNN:** Boy, listen to that.

9                   **DR. WADE:** Anybody on the phone. Can  
10 anybody hear me?

11                   **MS. CHANG:** Yes, I can hear you.

12                   **MS. MUNN:** We have, I believe, completed our  
13 discussion of the first item on the NIOSH  
14 action item list. The second item is OTIB-  
15 0028, comments two and three. I'm assuming  
16 that SC&A has received those output files from  
17 the Eckerman analysis. Are we all on the same  
18 page or am I confusing people? I'm just going  
19 down the action item list.

20                   **DR. NETON:** Which one did you say, Wanda?

21                   **MS. MUNN:** The second of the NIOSH action  
22 item list.

23                   **MR. HINNEFELD:** Those are the files on the  
24 thorium intakes. I sent those like a couple  
25 days --

1           **DR. MAURO:** So these were Eckerman's files?

2           **MS. MUNN:** Yes.

3           **DR. MAURO:** Eckerman's files. Yes, we got  
4 it. We reviewed it, and everything's fine.

5           **MS. MUNN:** So items one and two under NIOSH  
6 are complete.

7                         Review the title and content of OTIB-  
8 0033 dash 01 and modify as needed.

9           **MR. HINNEFELD:** Yeah, I think that may be  
10 part of our general discussion of 33 which I  
11 think may still be coming up or is that, I  
12 mean, we need to talk about we've gotten  
13 information on how it's being used and things  
14 like that.

15           **MS. MUNN:** Do you want to undertake that or  
16 is that going to be so lengthy that we need to  
17 do it after lunch?

18           **MR. HINNEFELD:** Well, I'd like to maybe get  
19 a status on the rest of these things and then  
20 we can come back to it.

21           **MS. MUNN:** All right, let's postpone it.

22           **MR. HINNEFELD:** I mean before we go to the  
23 matrix we can go back to it.

24           **MS. MUNN:** All right.

25           **MR. HINNEFELD:** OTIB-0053 I don't believe is

1 completed yet.

2 **MS. MUNN:** Incomplete so it needs to carry  
3 over.

4 **MR. HINNEFELD:** It's still going through  
5 review. It's not published yet, but we will  
6 when it's complete provide it to the work  
7 group and to SC&A.

8 **MS. MUNN:** Did we skip over OTIB-0004?

9 **MR. HINNEFELD:** Oh, yeah, I'm sorry, skipped  
10 OTIB-0004. We did, in fact, verify that it  
11 does describe it is used for uranium metals  
12 only, facilities only. And, in fact, there's  
13 more information about that in the matrix  
14 where we, I think we even cite where it is in  
15 the procedure. But the procedure itself does  
16 say it's limited to uranium metal facilities.

17 **MS. MUNN:** Shall we check the matrix and  
18 dispose of that item on the matrix then? What  
19 page?

20 **MR. PRESLEY:** What page is it on the matrix?

21 **DR. ZIEMER:** Page 24.

22 **MR. HINNEFELD:** OTIB-0004 starts on page 20.

23 **MS. MUNN:** Well, yes, but the only action  
24 item we had outstanding was to confirm that it  
25 deals only with uranium metal facilities and

1 not chemical processing.

2 **MR. HINNEFELD:** Right, and the additional  
3 information is in red. It begins, I believe,  
4 on page 21.

5 **MS. MUNN:** Do you want to take a minute and  
6 read through that?

7 **DR. MAKHIJANI:** Hans, it's on page 21.

8 **MS. MUNN:** Yes, that's correct.

9 **DR. MAKHIJANI:** Page 21 of the one that Stu  
10 sent out.

11 **MR. HINNEFELD:** Right.

12 **MS. MUNN:** The most recent one that I asked  
13 everybody to have in hand when we came to this  
14 meeting.

15 **MR. HINNEFELD:** There's a fairly extensive  
16 quote from OTIB-0004 there that essentially  
17 says it's only for metal facilities.

18 **DR. ZIEMER:** Could I, this is Ziemer. I  
19 just want to ask. I know that was a question  
20 that was asked, but how does that fit in with  
21 this particular finding about the breathing  
22 rate?

23 **MR. HINNEFELD:** I don't know that it does.  
24 I just knew that there was no real finding I  
25 don't think that fit to this issue.

1           **MS. MUNN:** I don't believe so. There was  
2 just a general question raised during the  
3 discussion at our last meeting.

4           **DR. ZIEMER:** But contextually, why did that  
5 arise on this one?

6           **DR. MAURO:** You're referring to the  
7 breathing rate question or --

8           **MR. HINNEFELD:** Why is it there in the  
9 matrix I believe is the question.

10          **MS. MUNN:** Yeah, why did it --

11          **MR. HINNEFELD:** There was no finding for  
12 OTIB-0004 related to this. It was a question  
13 that arose at the last work group meeting, and  
14 so I put the response like on the first  
15 finding.

16          **DR. ZIEMER:** Well, I guess my question is  
17 though why did it arise with respect to this?  
18 Would it have made a difference if it was  
19 chemically, if there were chemical processing?  
20 What --

21          **MS. MUNN:** Well, it may have arisen as a  
22 result of discussion of item three under OTIB-  
23 0004.

24          **DR. ZIEMER:** On the recycled uranium?

25          **MS. MUNN:** Yeah, where we were talking about

1 the possibility of --

2 **MR. HINNEFELD:** I believe you're right. I  
3 believe you're right.

4 **MS. MUNN:** -- and I think that's when that  
5 issue arose.

6 **DR. ZIEMER:** So maybe just move that to the  
7 box --

8 **MS. MUNN:** Yeah, if you just move it over to  
9 that three, then I think that'll do it. Does  
10 that satisfy?

11 **DR. MAURO:** In terms of the information  
12 where it is, now this question of why we asked  
13 the question for is it for process facilities  
14 or for only metal working facilities? I  
15 believe the reason that question came up at  
16 the last meeting was the justification for  
17 using 100 MAC as an upper bound value for  
18 chronic exposure to airborne uranium in OTIB-  
19 0004 was based on a review of the literature.  
20 And when you review the literature which is  
21 cited in their supporting documentation, you  
22 find that for uranium metal working  
23 facilities, 100 MAC certainly is a bounding  
24 value. However, if you include non-metal  
25 facilities such as Harshaw Chemical Company,

1           which is a chemical processing where they  
2           process ore with a lot of chemistry, you find  
3           that the airborne uranium dust loadings often,  
4           the breathing zone, the time-weighted average,  
5           could be well above 100 MAC. So it was  
6           important to make that distinction. The fact  
7           that OTIB-0004 is limited to metal working  
8           facilities answers our question. Yes, we  
9           concur that 100 MAC is bounding.

10          **DR. ZIEMER:** And that deals with finding  
11          seven which is the dust loading, the basis for  
12          the dust loading figure that was used. Is  
13          that right? Rather than finding four?

14          **MR. HINNEFELD:** I think finding seven is  
15          about resuspension. Actually, it was about  
16          resuspension during the residual period.

17          **DR. ZIEMER:** Oh, okay.

18          **MR. HINNEFELD:** We can move that to three.

19          **DR. MAURO:** Move on to that?

20          **MS. MUNN:** Move to three or leave it where  
21          it is?

22          **DR. MAURO:** I'm fine with the response  
23          regarding, that it's metal working facilities,  
24          bam, problem solved as far as we're concerned.

25          **MS. MUNN:** And its placement's okay?

1                   **DR. MAURO:** Sorry?

2                   **MS. MUNN:** Its placement on the matrix?

3                   **DR. MAURO:** Okay, I see it as belonging  
4 there, yeah.

5                   **MS. MUNN:** Very good. No action.  
6 Completed.

7                                 Now, Stu, we're down to report status  
8 of the ingestion global issue.

9                   **DR. NETON:** That is still being worked. I  
10 think I reported last time that we had hired a  
11 contractor to help us review the ingestion  
12 model, they were assembling it and getting  
13 ready to put out a technical information  
14 bulletin on it, but it is still in progress.

15                   **MS. MUNN:** So it will not be ready for this  
16 Board meeting.

17                   **DR. NETON:** It became more complicated than  
18 I thought because there's a number of findings  
19 that hit on the ingestion model, and it took  
20 awhile to actually sift through all of these  
21 issues and come out with the crux of the  
22 issue. I think we've got our hands on it now.

23                   **MS. MUNN:** On which of the forthcoming  
24 meetings will we probably hear about that?

25                   **DR. NETON:** It's next on the list as far as

1 the overarching issues go to talk about on  
2 Wednesday at the Board meeting, but I would  
3 say by the time of the next Board meeting we  
4 should have.

5 **MR. PRESLEY:** January.

6 **MS. MUNN:** So we'll change it to January,  
7 right?

8 Next item, provide a list of completed  
9 and in process PERS. We have that. Is it the  
10 desire of this group to pursue that any  
11 further at this moment? You have the list.  
12 Stu's provided you with a list of the PERS,  
13 and we indicated earlier that we wouldn't  
14 address that in depth until the reworks are  
15 complete. Some of the reworks are still in  
16 process. What's the feeling of this body?  
17 The same as we were before? We will not do  
18 anything substantive until the reworks are  
19 done, right?

20 (no response)

21 **MS. MUNN:** Can we anticipate that the  
22 December meeting of this group would be an  
23 adequate time to get through those or do we  
24 need to hold that open?

25 Paul has a comment.

1           **DR. ZIEMER:** Well, Stu I think also gave us  
2 a list of when they would be completed, and I  
3 see some of them were slated for December 31<sup>st</sup>.

4           **MR. HINNEFELD:** Well, now wait a minute.  
5 Those are the PERS; that's not the completion  
6 of the cases. That's the completion of the  
7 determination of which cases have binned. I  
8 mean, you'll notice there are three columns on  
9 page one on the first page where it talks  
10 about PERS have been completed. And this is a  
11 little complicated by the fact that the PER  
12 process changed relatively recently.

13                   But the current process is that what  
14 we call the PER bins the affected cases into  
15 three bins. These are the, actually, we call  
16 them potentially affected cases. And they are  
17 cases that have been, where final dose  
18 reconstruction's been completed, but the dose  
19 reconstruction technique that was used in  
20 those dose reconstructions may, in fact, be  
21 subject to whatever it is we're changing. The  
22 PER reflects some change in technique. That's  
23 why we write them.

24                   So the potentially affected claims are  
25 claims that meet the most general criteria for

1 maybe being affected. It may be the site.  
2 You know, if it's a change that occurs at a  
3 site for all time it would be any case from  
4 that site. If a change occurred at a site  
5 after a particular year, there would be cases  
6 where the employment included employment after  
7 that year. So the potentially affected cases  
8 are the most broad application of who might be  
9 affected by this change.

10 The PER process then bins those into  
11 three categories. One is this change, just  
12 based on a computer search, there are certain  
13 criteria based on and just kind of depends on  
14 the nature of the PER and the nature of the  
15 change and the extent of the change. Through  
16 computer query you can identify certain cases  
17 where the dose is going to go up when we adopt  
18 this change on this case, and so we want that  
19 one back.

20 There's another, you can also on some  
21 of these bin cases into a bin where this case  
22 will not go back because there's some criteria  
23 that would prevent it, the dose from going up.  
24 It doesn't meet all the criteria. And they're  
25 in the PER search criteria, written in the

1 PER.

2 And then the third bin is, well, we  
3 can't tell whether this dose is going to go up  
4 unless we actually look at the dose  
5 reconstruction report and see precisely what  
6 was done against the changes that were done.  
7 So then that is the first step of binning.

8 And so when a PER is complete, all  
9 that means is the cases have been binned into  
10 those three categories. So all the cases that  
11 we've asked DOL to send back have not  
12 necessarily been reworked. And the cases that  
13 are binned into we can't tell, are not  
14 necessarily reworked and may not even be  
15 recalled. So when we say PER complete, all  
16 we're saying is that we have identified the  
17 universe of potentially affected claims by  
18 that change and have binned them accordingly.  
19 So those dates on the second page, that's not  
20 when those reworked cases are going to be  
21 done.

22 **MS. MUNN:** Before we go any further I'm  
23 sorry I didn't check to make sure everyone was  
24 on, had the PER list in front of them that Stu  
25 sent on the 27<sup>th</sup> of September. We all have

1           those. We're looking at them. Then back to  
2           the comment that Stu just made, is our  
3           expectation in this action item perhaps  
4           incorrect? If we're going to withhold in  
5           depth review until reworks are complete, will  
6           reworks ever be complete in the sense that  
7           they'll be done and closed out or will we not  
8           always --

9           **MR. HINNEFELD:** Well, it's my desire that we  
10          will be complete before my career ends.

11          **MS. MUNN:** But there will always be, there  
12          are likely to be more in the pipeline at any  
13          given time. Is that not true?

14          **DR. NETON:** Maybe I can shed a little light  
15          on this. I think early on the working group  
16          and the Board may have been interested in  
17          looking at PERS because NIOSH exercised some  
18          judgment to which ones were going to go over  
19          50 percent or not. That we would not rework  
20          those cases. The way the process has evolved  
21          as Stu described it we will not, we will ask  
22          for a complete rework on every case that NIOSH  
23          would have to do some sort of manipulation of  
24          the data to come to that conclusion, a  
25          definitive conclusion. So in a sense we are

1 not triaging now based on some analysis that  
2 we could do to say, okay, all cases that are  
3 over 30 percent -- or under 30 percent will  
4 not go over 50 because the change cannot be  
5 greater than X. We're not doing that any  
6 more.

7 The category that Stu explained where  
8 we can triage these cases and say it's not  
9 affected the case is truly that there's no  
10 effect on the dose reconstruction at all.  
11 That is, either the person's dose  
12 reconstruction was reconstructed using  
13 bioassay data from some model that was  
14 changed. There's no effect on these cases at  
15 all other than a very, this is a very  
16 regimented distribution now. So if we say a  
17 case wasn't affected it means because it  
18 doesn't affect the dose reconstruction at all,  
19 not because we don't think the increase won't  
20 go over 50 percent.

21 So these are very finely partitioned  
22 bins, and so they're essentially, when the  
23 process is done, I think they're done in the  
24 sense that we're asking for a rework which  
25 means that we will just put them right through

1 the normal process, the normal dose  
2 reconstruction process. And how those get  
3 cycled back to us is, who knows? I mean that  
4 comes back through the Department of Labor,  
5 and they have to issue bulletins and such so  
6 that can take some time.

7 But the other two bins are pretty  
8 unique, pretty easily dispositioned. They're  
9 either, there was no material effect at all on  
10 the dose reconstruction or -- what was the  
11 third one now? It goes over 50. We have to  
12 look at it. And there's that one case where  
13 we're still looking at them to make that  
14 determination.

15 **MS. MUNN:** So are those bins effectively the  
16 three columns that we have here but the  
17 wording is not quite the same as you described  
18 it here?

19 **DR. NETON:** No, I'm not sure.

20 **MR. HINNEFELD:** Yeah, the three bins are on  
21 the table here.

22 **MS. MUNN:** Right.

23 **MR. HINNEFELD:** One is returned. Those are  
24 based on our query. We determine this one is  
25 going to go up. The dose is going to go up on

1           this. It's going to be affected by the change  
2           and so we ask DOL to return this case.

3                   The don't return, is that based on the  
4           query we determine that this case is not  
5           affected by the change, and therefore, there's  
6           no need to return it. And the to be reviewed  
7           column is the one where we have to look at the  
8           dose reconstruction to determine whether it  
9           needs to be done or not.

10                   For instance, if you look on the  
11           table, PER number 11 is a K-25 external  
12           coworker model change. We don't have a  
13           computer query that will tell us whether a  
14           coworker model was used in the dose  
15           reconstruction. So that means we have to look  
16           at each one to determine whether a coworker  
17           approach was used in dose reconstruction. So  
18           that's why we have to look at all those. So  
19           that's why that was binned that way.

20                   **MS. MUNN:** What's the desire of the work  
21           group with respect to the type of tracking we  
22           want to maintain on PERs? Is this kind of  
23           report adequate for what you want to see or do  
24           you actually want to have more in depth  
25           discussion after, for example, item 11 has

1                   been vetted further in NIOSH? Are we content  
2                   with getting this kind of report and asking  
3                   questions as they come up?

4                   This satisfies the Chair's need for  
5                   information with respect to where we are now  
6                   that I understand what the three bins are. I  
7                   didn't really understand that at the time I  
8                   received the information. Is there any  
9                   concern for information other than what NIOSH  
10                  has given us in this respect?

11                 **DR. ZIEMER:** Let me suggest something here,  
12                 at least ask the question. Would it be, do we  
13                 want to know the outcome of these  
14                 statistically -- as I understand it now, for  
15                 example, let's take the Super S thing.  
16                 There's some 5,000, 4,800 cases --

17                 **MR. HINNEFELD:** Right, potentially affected.

18                 **DR. ZIEMER:** -- affected. Would it be of  
19                 value to know the numbers of cases -- this is  
20                 potentially affecting?

21                 **MR. HINNEFELD:** Right, potentially  
22                 affecting.

23                 **DR. ZIEMER:** To know the outcome, you know,  
24                 how many were actually affected? Sort of the  
25                 bottom line of this?

1           **DR. NETON:** It might be more complicated  
2 than that in the sense that we no longer do  
3 individual changes piecemeal. We will apply  
4 all changes that affect that case  
5 simultaneously when it comes back for rework.  
6 So we get back to Super S, it may have six  
7 other changes that are affecting and they'll  
8 all be done at the same time.

9           **DR. ZIEMER:** So well, they're overlapping  
10 these numbers then, too, is what you're  
11 saying.

12           **DR. NETON:** That was what we agreed to at  
13 the Department of Labor. When they send the  
14 case back we just rework it from soup to nuts  
15 because there's no reason to do these  
16 individual.

17           **MS. MUNN:** The bottom line question here --

18           **DR. ZIEMER:** Well, maybe it's not the bottom  
19 line of each line then. Maybe it's overall  
20 the bottom line. But what's the final outcome  
21 going to be? All the rework, maybe this is a  
22 reporting item. I guess I'd be interested in  
23 knowing the impact of all the reworks. It's  
24 not a, it's just an interest item.

25           **MS. MUNN:** For the time being is this kind

1 of information adequate?

2 **DR. ZIEMER:** You're going to know that at  
3 some point I guess.

4 **DR. NETON:** I think that's --

5 **DR. ZIEMER:** If it makes extra work, if it's  
6 something you're --

7 **DR. NETON:** Now easily I would say that I  
8 think we should be able to track that. Of  
9 course, every time I say that the computer  
10 people cringe.

11 **DR. ZIEMER:** Well, I'm not interested in  
12 making extra work. If it's something that --

13 **MR. HINNEFELD:** Well, I think that the data  
14 system that we're setting up, the application  
15 we're setting up to track this, the PER  
16 process, will be able to us for all the cases  
17 that are affected by Super S, how many changed  
18 ultimately. And now it can probably also tell  
19 us how many of those cases were also affected  
20 by other PERS as well during their rework.  
21 But it wouldn't necessarily be able to feather  
22 out which one really was the key change.

23 **DR. NETON:** What I think all Dr. Ziemer's  
24 asking is an overall number.

25 **DR. ZIEMER:** It would be kind of interesting

1 to know that.

2 **DR. NETON:** ^ rework processing moving  
3 claims from non-compensable to compensable.

4 **MR. PRESLEY:** The overall total matrix.

5 **DR. ZIEMER:** If it's something that can be  
6 done readily, I think it would be of interest.

7 **MR. HINNEFELD:** I think we'll be able to do  
8 that if I understand the design of the PER  
9 system, application correctly. I think we'll  
10 be able to do that. But it's just, I think it  
11 rolled out this week.

12 **DR. MAURO:** Do you work within an ACCESS  
13 database?

14 **MR. HINNEFELD:** Sequal.

15 **DR. MAURO:** Same thing.

16 **DR. NETON:** It's a relational database.

17 **MS. MUNN:** Therefore, I believe I'm hearing  
18 this information is, in fact, what this group  
19 wants to see from time to time on a continuing  
20 basis if the data can be expanded as Dr.  
21 Ziemer has requested without additional  
22 effort, then that additional information would  
23 be appreciated but is not absolutely  
24 necessary. Did I state that properly?

25 (no response)

1           **MS. MUNN:** Hearing no --

2           **DR. ZIEMER:** I have no interest in making  
3 additional work. If it's something you're  
4 going to, information you're going to track  
5 anyway just to share it, otherwise no. I  
6 think it would be of interest to know.

7           **DR. WADE:** Just so we're grounded in the  
8 Board's charter, the Board's charter when it  
9 comes to function instructs the Board of its  
10 functions and speaks to the need of the Board  
11 to advise the Secretary of HHS on the  
12 scientific validity and quality of dose  
13 reconstruction efforts performed under this  
14 program. Now your question is in order to  
15 perform that function is this valuable  
16 information for you?

17           **MS. MUNN:** Correct.

18           **DR. WADE:** I can certainly make the argument  
19 that it is, but the Board would need to make  
20 that judgment and then ask for what it needs  
21 to perform its function.

22           **DR. ZIEMER:** Well, I guess if you took the  
23 extreme case and said all these are being  
24 reviewed and reworked and so on and it didn't  
25 change anything, then we'd have a real

1 question on the validity of some of the  
2 changes. I just wouldn't expect that to  
3 occur. You're going to be somewhere in  
4 between I suppose.

5 **MR. HINNEFELD:** Various ones will be varying  
6 degrees. I mean, some of these are relatively  
7 large changes. Some of them are relatively  
8 large changes but only for certain target  
9 organs. So it's just going to be a mixture.  
10 There may be some of these where the actual  
11 change in compensation is very small in the  
12 reworked cases.

13 **DR. WADE:** So onto the issue of quality and  
14 validity of dose reconstructions, the Board  
15 could look at this summary information as a  
16 barometer. Whether or not it was comfortable  
17 with that or wanted to delve further, and I  
18 think that's quite reasonable.

19 **MS. MUNN:** The reworks could be expected to  
20 be all the way across the board I think. For  
21 the time being we're happy with what we have  
22 until we can identify whether some additional  
23 breakout is easy to do without a great deal of  
24 additional work.

25 **DR. WADE:** I do think it sort of leaves the

1 realm of procedures and gets into the Board's  
2 overall responsibilities.

3 **DR. MAURO:** I see this as -- When you think  
4 about it, this is where the rubber meets the  
5 road: collectively review the procedures, the  
6 review of the OTIBs, the review of the site  
7 profiles and all the commentaries that  
8 propagate through eventually are going to  
9 somehow affect all of these thousands of cases  
10 one way or the other and in the end closure  
11 is, okay, how many cases did it affect and  
12 were there any reversals. Then that's where  
13 we're trying to get to to see how robust the  
14 program is. And this is going to be the  
15 ultimate matrix, how robust that is working.  
16 It's very important.

17 **DR. ZIEMER:** And I suppose you could argue  
18 that this kind of information should be in the  
19 Dose Reconstruction Subcommittee ultimately  
20 because it's changing outcomes for dose  
21 reconstructions.

22 **DR. WADE:** If it has a place other than the  
23 Board, I think that's where it would be.

24 **MS. MUNN:** On to the next item.

25 **MR. HINNEFELD:** That is complete ^ revisions

1 of the five documents. I know that OCAS TIB-  
2 0008 is finished and is just waiting  
3 signature. It's been reviewed and the review  
4 comments have been incorporated, and I believe  
5 awaiting signature.

6 TIB six and seven has been revised and  
7 is in internal review. Comments, I believe,  
8 have been generated, and the author's on  
9 vacation, but I believe those will be  
10 resolved, those would be final this week and  
11 on the way to signature.

12 The other two, IG-0002 I think is  
13 going to take a little more time because of  
14 the breadth of the document, the variety of  
15 topics. And then ORAU OTIB-0001 I don't have  
16 a status on but the revision is being worked  
17 on.

18 **MS. MUNN:** So at our December meeting we can  
19 anticipate having seen OTIB-0006, -0007 and -  
20 0008.

21 **MR. HINNEFELD:** Six, seven, eight will be  
22 done by then so when they're signed should we  
23 go ahead and send them to the work group and  
24 to SC&A?

25 **MS. MUNN:** It would be helpful, I think, for

1 all concerned if that were to transpire in  
2 that fashion. And I'm sorry. I missed your  
3 comment on IG-002.

4 **MR. HINNEFELD:** IG-002 I don't have a date  
5 for when that will be revised. That will,  
6 because of the breadth of the document, some  
7 comments, I guess, will be kind of a rougher  
8 revision or a more difficult revision than the  
9 OCAS TIB revision, and OTIB-0001 revision is  
10 taking a fair amount of effort as well. So I  
11 don't have dates on either of those right now.

12 **MS. MUNN:** All right, we'll carry those over  
13 on our action item list so that we can keep  
14 track of them, and we'll anticipate six, seven  
15 and eight in December.

16 **DR. WADE:** Six, seven and eight.

17 **MS. MUNN:** Uh-huh, OTIBs six, seven and  
18 eight.

19 **MR. HINNEFELD:** These are actually OCAS  
20 TIBs. Normally an OTIB is ORAU TIB. These  
21 are TIBs.

22 **DR. WADE:** These are OCAS TIBs six and seven  
23 and eight. What about ORAU OTIB-0001?

24 **DR. ZIEMER:** He said that'll take some time.

25 **MS. MUNN:** He said it's going to take a long

1 time.

2 **MR. HINNEFELD:** It's in process. I just  
3 don't have a date.

4 **DR. WADE:** And OCAS IG-002?

5 **MR. HINNEFELD:** The same thing.

6 **MS. MUNN:** The same thing. That's the same  
7 thing. It's going to take awhile.

8 **MS. BEHLING (by Telephone):** Excuse me,  
9 Wanda, can I ask a question? When NIOSH does  
10 release OCAS TIBs six, seven and eight, am I  
11 hearing that SC&A will get that at the same  
12 time, and should we be reviewing that in our  
13 next set of procedures?

14 **MS. MUNN:** You did hear that SC&A will be  
15 doing that, will be receiving it. Is that on  
16 a list of procedures for you already?

17 **MS. BEHLING (by Telephone):** No, I don't  
18 believe it is.

19 **MR. HINNEFELD:** I don't think they're on.  
20 They've been assigned to review them again. I  
21 mean, they've reviewed them once, and we've  
22 now made a revision.

23 **DR. NETON:** It would seem to close out a  
24 revision in the matrix would suffice rather  
25 than a re-review of the entire procedure.

1           **DR. ZIEMER:** It appears that the revised  
2 documents are addressing the issues that were  
3 raised, so the close-out process has to, in a  
4 sense, require a look at what the resolution  
5 is in the whole review of that.

6           **DR. MAURO:** We have a bit of a transition  
7 question I guess that warrants some  
8 discussion. Perfect example, we have in  
9 Fiscal Year 2008 a budget for Task 3 to review  
10 procedures, PERs and ^ and OTIBs, new ones.  
11 But of course, at the same time we have this  
12 ongoing process of achieving closure some of  
13 which is protracted, some of which are not  
14 previously reviewed procedures.

15                   I guess right now what I'm hearing is  
16 that, for example, in the case of the  
17 procedures we're talking about this is really  
18 part of the close-out process --

19           **MS. MUNN:** Yes.

20           **DR. MAURO:** -- from the historical so we  
21 need to keep that. I guess from my  
22 perspective it's very helpful for SC&A to make  
23 a clear distinction between those activities  
24 that the Board is requesting us to or the work  
25 group, that really is relegated to previous

1 work. You know, fiscal year 2006-7 as opposed  
2 to something that's new and is going to be  
3 part of 2008. That would be helpful, too.

4 **DR. WADE:** Just, John, as you're building  
5 some hours for the close out of site profiles  
6 I think you're hearing that it would be  
7 appropriate for you to do that for procedures  
8 as well.

9 **DR. MAURO:** Well, the facts of the matter is  
10 Task 3, which is procedure reviews, the Fiscal  
11 Year 2007 budget for that work will be  
12 consumed this month. We have taken up quite a  
13 bit of additional add-ons --

14 **MS. MUNN:** Yes.

15 **DR. MAURO:** -- because we had the budget and  
16 it was a very convenient place to add on some  
17 additional work to take care of these things.  
18 And we will deliver all our deliverables by,  
19 very soon, a matter of weeks which includes  
20 this other, the latest one which had to do  
21 with General Steel Industries, if you recall  
22 it was Appendix BB, and TBD-6000.

23 Now the reason I'm bringing all this  
24 up is any procedure reviews that follow on,  
25 let's say into the future, we will have no

1 resources left in Fiscal Year 2007. We will  
2 have to -- once we do additional procedure  
3 review activities, including the close-out  
4 process for all procedures, we will need to go  
5 into Fiscal Year 2008 resources.

6 Now that being said it is my  
7 understanding that we can't do that until we  
8 are given direction and authorization by the  
9 Board to move forward on those activities. In  
10 other words because previously -- and please  
11 correct me if I'm wrong -- I guess it was a  
12 contractual question.

13 When we, right now we have the  
14 authority to go forward and do all that needs  
15 to be done on all the procedures that we were  
16 asked to review in the past within the budget  
17 that we have allocated. We are rapidly  
18 approaching the day where all of the resources  
19 that we've allocated for Task 3 activities  
20 will have been expended.

21 But there are ongoing Fiscal Year 2007  
22 procedure review close-out activities that are  
23 going to be continued well into Fiscal Year  
24 2008, and that will require us to dip into our  
25 Fiscal Year 2008 budget. And we do have money

1           there, but I was not planning on using that  
2           money. I was planning on putting that in the  
3           safe for when you do give us direction to do  
4           2008 activities. So we have a bit of a  
5           problem, and I guess we're looking for  
6           direction from the Board.

7           **MS. MUNN:** I can see the dilemma. Frankly,  
8           it never occurred to me that this would turn  
9           into a contractual problem simply because in  
10          my mind, once the Board had directed you to  
11          look at a specific procedure, if you raised  
12          questions, then activities were necessary to  
13          resolve the questions that were raised. And  
14          in my mind closure of those issues would be  
15          part and parcel of the initial direction. But  
16          I can see the concern that you have.

17          **DR. MAURO:** I might be wrong. I mean it may  
18          turn out that we have the wherewithal to just  
19          continue to work and start to use up resources  
20          that have been put in Task 3 for Fiscal Year  
21          2008 to do Fiscal Year 2007 work.

22          **DR. WADE:** Contractually that's not a  
23          problem. What you need to do is if you start  
24          to see your free board in terms of 2008 of new  
25          reviews in jeopardy because of continuing work

1 for 2007, you need to notify the Contract  
2 Officer of the Board of that. And then the  
3 Board -- it's a matter of scope, not a  
4 contractual issue.

5 **DR. MAURO:** Got it.

6 **DR. WADE:** So that's fine.

7 **MS. MUNN:** You will follow through on that?

8 **DR. MAURO:** I will take care of that.

9 **DR. WADE:** If you see it becoming an issue  
10 where you need to say, you know, I was going  
11 to do 30. I can only do 20 new because of my  
12 continuing efforts. You need to let us know  
13 that as quickly as possible. But feel  
14 empowered to do the work on the close out of  
15 previous procedures using '08 money based upon  
16 this discussion.

17 **DR. MAURO:** I understand.

18 **MS. BEHLING (by Telephone):** Wanda, this is  
19 Kathy again. The reason I raised the question  
20 about, and John talked about one portion of  
21 it, typically what we've done in the past is  
22 we don't treat this just as an issues  
23 resolution process where we only look at the  
24 revised procedure for outstanding findings.

25 We have in the past, just like with

1 the Implementation Guide One and Four, we  
2 review the entire procedure looking at old  
3 findings as well as looking at how that  
4 procedure is rewritten because very often the  
5 procedure is completely rewritten. TIB-0004  
6 is an example of that.

7 Now I'm not sure if NIOSH is  
8 indicating that on TIB-0006, TIB-0007 and TIB-  
9 0008 that the only changes that were  
10 incorporated into those procedures were based  
11 on our findings which maybe we can just go in  
12 and say, yes, did they satisfy those findings.

13 But quite often what we've seen in the  
14 past when they make a revision it doesn't only  
15 incorporate these findings. They may  
16 restructure the report or restructure the  
17 procedure or the guidance document and so on.  
18 So we make it a completely new review from our  
19 standpoint.

20 The other thing that's nice is if we  
21 incorporate these three procedures along with  
22 -- in fact, I don't think we finished talking  
23 about OTIB-0008 and OTIB-0010, if those get  
24 incorporated in for '08 fiscal year work, then  
25 again, we can put out one work product.

1 Everything gets put on one matrix, and we  
2 follow everything through very cleanly.

3 I'm a little concerned about just  
4 looking at TIB six, seven and eight and only  
5 these outstanding findings. I don't know how  
6 to capture all that very cleanly. What I  
7 would prefer is that the Board at some point  
8 says we will add to the procedures we've  
9 already identified for '08, we will add TIB  
10 six, seven and eight along with OTIB-0008 and  
11 OTIB-0010. I'm just suggesting that. It just  
12 makes things cleaner. I don't know if people  
13 agree or disagree.

14 **DR. WADE:** It's really a matter of degree.  
15 I mean if it turns out that the modifications  
16 are solely or largely based upon the previous  
17 critique, then I think that's one category.  
18 If those changes go well beyond those  
19 resulting from the critique, and in essence  
20 it's a new document, then you need to let the  
21 Board and the work group know that.

22 **DR. MAURO:** We have a bit of an optics  
23 problem in terms of SC&A's perspective. That  
24 is I would not as the project manager  
25 responsible for the budget and scope find

1           myself in the position where we end up using  
2           up 50 percent of the Fiscal Year 2008 Task 3  
3           budget closing out all the TIBs, and then I  
4           have to bring the bad news to the working  
5           group and the Board that, listen, we don't  
6           have any more money in Task 3 to do any of the  
7           work or that we originally hoped we would be  
8           able to do for you for Fiscal Year 2008  
9           because we used it as part of the close-out  
10          process.

11                       And as you said, it's really a  
12           judgment call. When are we just closing out  
13           some minor issues on some previously reviewed  
14           TIB, and when are we really doing a complete  
15           review? And sometimes that's not apparent  
16           until you're into the process.

17           **MS. MUNN:** Paul.

18           **DR. ZIEMER:** My comments are along the lines  
19           of what Lew was saying. It might be helpful  
20           if NIOSH could identify on these revisions, it  
21           seems to me there's three categories.

22                       One is the revision is solely to  
23           address concerns raised in the review process  
24           and addresses only those. Revisions that are  
25           completely independent of that, but NIOSH has

1 generated a revision because they have seen  
2 something themselves maybe that the old  
3 procedure was in effect and needs updating or  
4 whatever. It's a completely new one. Or  
5 something such as Kathy described where the  
6 opportunity to make other changes if they're  
7 revising it anyway occurs, and you're  
8 somewhere in the middle.

9 I don't know how easily we could  
10 identify those so that you would know, okay,  
11 on these it is really part of the close out,  
12 and you don't have to address anything else.  
13 These are really the only changes. I don't  
14 know how easily we could identify the nature  
15 of a revision.

16 **DR. WADE:** Well, the first part of  
17 identification would be with NIOSH. Can you  
18 say, can you answer that question on these or  
19 others? And then if you can, fine. SC&A  
20 might offer a critique.

21 **DR. ZIEMER:** Why did the revision even  
22 occur.

23 **MR. HINNEFELD:** Well, I can say for OCAS  
24 TIB-0008 that the revision occurred because of  
25 the findings from the earlier procedure

1 revision only addressed the findings in the  
2 procedure, and hence the grammatical  
3 corrections. I can say that about TIB-0008.  
4 I can say that because I revised it. I can't  
5 say the same thing about six and seven because  
6 I wasn't the person who revised it.

7 **DR. ZIEMER:** Well, I'm speaking generically  
8 though. I'm not saying you've got to tell us  
9 that now. Maybe as we go forward to think  
10 about when a revision is done, why is it being  
11 done. Is it in response to findings? Is it  
12 because, or both?

13 **MR. HINNEFELD:** Well, it may be both. I  
14 mean there's usually, on a revised procedure  
15 there's a record of changes page that  
16 describes the change and the origin of the  
17 change. And I'm pretty sure on TIB-0008 it  
18 says to respond to comments raised by the  
19 Advisory Board. So it may say that and to  
20 correct other things. You may get something  
21 that says that at some point. Now TIB-0008  
22 won't say that because the changes were  
23 strictly addressed to findings from the  
24 procedures work group. So, I mean, we can  
25 tell you, but at some point there will be some

1 judgment call about other changes that are  
2 either important or not.

3 **DR. WADE:** And possibly a vehicle to suggest  
4 to the work group is possibly on a call  
5 between NIOSH and SC&A, you could look at  
6 these issues and decide collectively if you  
7 think it's a TIB based upon, a modification  
8 based upon the review or if it's in essence a  
9 modification based on other things and then a  
10 new TIB to be considered for review. Whatever  
11 you guys decide would guide the process.

12 **DR. MAURO:** This precedent, this is exactly  
13 what we did with regard to the Savannah River  
14 site profile review where the nature of the  
15 re-issuance was of a substantive nature, and  
16 we actually decided let's not make the review  
17 of this new version of the Savannah River a  
18 continuation of the close-out process, but  
19 let's make it an actual site profile review.

20 I think it will be very helpful to us  
21 if we can make, when we are given direction  
22 such as the direction we're receiving now by  
23 either the working group or the Board, some  
24 judgment be made as best we can whether we  
25 want to call this just a continuation of close

1 out or if this is something that really is new  
2 work for Fiscal Year 2008.

3 **MS. MUNN:** Can we task NIOSH and SC&A with  
4 getting together offline on these three OTIBs  
5 that are going to be forwarded to you when  
6 they're complete to ascertain exactly what the  
7 correct approach is?

8 **DR. ZIEMER:** One we know already.

9 **MS. MUNN:** Yeah, we know eight's --

10 **MR. HINNEFELD:** Eight was strictly to  
11 address the findings.

12 **MS. MUNN:** Simple findings.

13 **MR. HINNEFELD:** I mean, if we can do that,  
14 who should we call? Who should I call?

15 **DR. MAURO:** For Task 3?

16 **MR. HINNEFELD:** Yes.

17 **DR. MAURO:** You can call me.

18 At that point in the process by the  
19 way, the sort of close-out protocol, let's say  
20 there's an appreciation between NIOSH and SC&A  
21 on which old procedures have now been really  
22 closed out and which ones really represent a  
23 need for new review. At that point do I  
24 inform Lew that, yes, here's a table. We'll  
25 have them in a table that says here's the way

1 we see it as far as the list of procedures  
2 that really constitute a new review and more  
3 appropriately assigned to us as part of Fiscal  
4 Year 2008 which my understanding means  
5 something that we have to be authorized by the  
6 full Board.

7 **DR. WADE:** I would inform the Chair of the  
8 working group, possibly all members of the  
9 working group and me. And then, again, the  
10 work group can take that up at the next, at  
11 its next sitting as to whether or not it wants  
12 to say add that as a new one of the 30 for  
13 next year.

14 **MS. MUNN:** I would hope that that list would  
15 be the result of your previous discussion with  
16 NIOSH already so that we wouldn't be having to  
17 inquire have you both talked about this.

18 **DR. MAURO:** No, this will be an active  
19 dialogue that we will maintain.

20 **MS. MUNN:** Excellent, so that will be a  
21 slight change in our process. In the future  
22 that's the way we'll deal with these issues,  
23 okay?

24 Kathy, does that meet your concerns?

25 (no response)

1           **MS. MUNN:** Kathy, are you still there?

2           **MS. BEHLING (by Telephone):** Yes, I'm still  
3 here. I'm sorry. I couldn't find my mute  
4 button. That's fine. That is fine. Yes, it  
5 does meet my concerns. Thank you.

6           **MS. MUNN:** Very good. Thanks.

7                         Last item on our carry-over list for  
8 NIOSH. Update Schedule 2 to indicate all  
9 completed matrix items.

10          **MR. HINNEFELD:** Schedule 2 being what  
11 exactly?

12          **DR. ZIEMER:** Was it Table 2?

13          **MS. MUNN:** Was it Table 2? Was it Schedule  
14 2? Could it have been Table 2?

15          **DR. WADE:** ^ this nomenclature.

16          **MS. MUNN:** Yeah, this nomenclature is doing  
17 it to us again.

18          **MR. HINNEFELD:** Is that it? I couldn't  
19 understand exactly what I was doing here  
20 unless that meant to on the, put as much  
21 information as we had on the Supplement 1  
22 matrix finding.

23          **MS. MUNN:** Didn't we have a section that was  
24 identified as Table 2?

25          **DR. ZIEMER:** We have a Table 2. I don't

1 know if we have a Schedule 2.

2 **MS. BEHLING (by Telephone):** This is Kathy.  
3 It almost appears to me that that's referring  
4 to Table 2 of the document that we discussed  
5 first thing this morning because that last  
6 column, the Resolved column, I had not  
7 completed all of the items in that column.  
8 Perhaps that's what we're referring to in this  
9 item.

10 **MS. MUNN:** Well, I thought that was a  
11 different action item, Kathy. I thought we  
12 had captured that in our expectations of SC&A.  
13 I thought this was an action item for NIOSH.  
14 I may have to go back during our extended  
15 lunch hour and take a look at my notes to see  
16 precisely what we were aiming for. My own  
17 notation was too cryptic. I'll check it, and  
18 we'll cover that after lunch.

19 **DR. WADE:** Excellent resolution.

20 **MS. MUNN:** It now being 12:30, let us  
21 adjourn for lunch. There is some concern  
22 about the amount of work that has to be done  
23 over this lunch period. Let's do extend the  
24 lunch hour an extra half hour so that instead  
25 of returning at 1:30, let's return at two. We

1 will reconvene at two o'clock --

2 **DR. MAURO:** Before we close, Kathy, are you  
3 still on the line?

4 **MS. BEHLING (by Telephone):** Yes, I'm here.

5 **DR. MAURO:** I just wanted to ask you a  
6 question. Is it possible for SC&A with Kathy  
7 on the line to use this room to do our  
8 business during the lunch break?

9 **DR. WADE:** If you are comfortable with that,  
10 it's certainly fine with us.

11 **DR. MAURO:** I appreciate it.

12 Kathy, are you available to work with  
13 us through lunch?

14 **MS. BEHLING (by Telephone):** Yes, that's  
15 fine.

16 **DR. MAURO:** Thank you very much.

17 **DR. ZIEMER:** Do you have a public call-in  
18 number?

19 **DR. MAURO:** Kathy, we'll call you back  
20 separately. This way it's limited to the SC&A  
21 --

22 **DR. WADE:** But you can use this room and  
23 that machine.

24 **MS. BEHLING (by Telephone):** That's fine.  
25 John, do you have my phone number?

1           **DR. MAURO:** Yes.

2           **DR. WADE:** We're going to break the line now  
3 and re-establish contact a few minutes before  
4 2:00 p.m. central standard time.

5           (Whereupon, a lunch break was taken from  
6 12:30 p.m. until 2:00 p.m.)

7           **MS. MUNN:** Let's come back to order, please.

8           **DR. WADE:** We've also been admiring the work  
9 of whoever's typing on the other end of the  
10 phone. We hear what we think is typewriter  
11 noise. Haven't heard that for a long time.

12          **MS. MUNN:** And there are some of us who  
13 really appreciate that more than others, I  
14 think.

15          **DR. WADE:** Okay, we're back in session.

16          **MS. MUNN:** The first thing I need to do is  
17 to let all of you know that I was not  
18 successful in identifying exactly what  
19 Schedule 2 was. My personal notes which were  
20 taken at the last meeting did not get to  
21 Naperville with me. They are in some other  
22 file some other place so I can't identify  
23 precisely when and about what this particular  
24 item was. So we're going to let NIOSH off the  
25 hook and not ask them to report on something

1                   that we can't identify what is.

2                   **RESULTS OF NOON DISCUSSIONS**

3                   And we'll move on to -- if it's all  
4                   right with those involved -- the results of  
5                   our discussions at noon while they're still  
6                   fresh in everyone's mind. Is someone going to  
7                   tell us?

8                   **DR. MAKHIJANI:** Kathy, do you want to go  
9                   over the titles of the things or should I --

10                  So for the titles of the reviews we  
11                  thought that we would call them Task 3, First  
12                  Set of Procedure Reviews; Task 3, Second Set  
13                  of Procedure Reviews along the line that we  
14                  discussed. And we thought that we could break  
15                  up the matrix into two portions. One would be  
16                  a very summary thing that you could see the  
17                  status of everything almost at a glance.

18                  So it would be a summary matrix  
19                  presented much in the manner, but there  
20                  wouldn't be the discussions there. It would  
21                  just be the procedure number, finding number,  
22                  review objective, rating, a brief description  
23                  of what the finding is, so probably one or two  
24                  lines, and then whether it's active or closed.  
25                  And whatever is closed it would be highlighted

1 so then you don't go to see the detail.

2 And then for each finding there would  
3 be a page that, each of those findings would  
4 have a page, and probably we could identify  
5 the page number up there or something like  
6 that. And the page header would have the  
7 procedure number, finding number, page  
8 numbers, and then it would be divided into two  
9 pieces. One is the review process and then  
10 the close-out working group process, review  
11 objective, the rating, the full statement of  
12 the finding and the full statement of the  
13 NIOSH response.

14 And then the second piece of that on  
15 the same page would be the close-out working  
16 group or working group process. Working group  
17 number one, meeting date, the discussion about  
18 that finding and its status, if the working  
19 group has asked anything to happen. You know,  
20 NIOSH is going to do X, Y or Z. Or SC&A is  
21 going to do X, Y or Z.

22 And then if an item is closed as there  
23 was agreement on something, then it would  
24 simply say SC&A and NIOSH agree. Working  
25 group closes out the item. And then we just

1 enter closed in the summary and highlight that  
2 as a closed item.

3 If it goes on, if there are action  
4 items, then we simply go to the next working  
5 group meeting and repeat this until it's  
6 closed. And that way we have more of a sense  
7 of the timing and progression of the  
8 discussion. We have a log of when the  
9 discussion happened and a little bit more  
10 substantive.

11 And it doesn't get carried on in long  
12 columns that are very narrow and maybe going  
13 through many pages and most of them empty. So  
14 we thought we would suggest that it could be  
15 split into two pieces this way. And there'd  
16 be one page like this for each finding.

17 **MS. MUNN:** The Chair is taken aback. My  
18 first feeling is that this looks more  
19 complicated than what we're doing now, but  
20 perhaps it's because I'm not understanding  
21 fully exactly how this is going to work.  
22 Let's see how other members of our working  
23 group react to that.

24 Paul.

25 **DR. ZIEMER:** As I understand it you would

1                   have a summary matrix at the front end so you  
2                   would have the overall picture.

3                   **DR. MAKHIJANI:** Yes, all of what you had  
4                   would be condensed into --

5                   **DR. ZIEMER:** And then the details of the  
6                   findings and so on which are what takes up the  
7                   space on the present matrix. There would be a  
8                   particular page that you would go to.

9                   **DR. MAKHIJANI:** It would be a lot like the  
10                  checklist in the procedure review itself.  
11                  There's a checklist, and whenever there's any  
12                  discussion, whenever the rating's other than  
13                  five, it doesn't give the discussion right  
14                  there. It says see review objective and then  
15                  you just go down and you see the review  
16                  objective and then the discussion there. So I  
17                  think this actually follows what we do  
18                  internally in the procedure.

19                  **MR. PRESLEY:** Now is that going to be on the  
20                  same page as what you have come up with a  
21                  finding procedure and a number and a finding.

22                  **DR. MAKHIJANI:** This will have a lot of  
23                  different lines.

24                  **MR. PRESLEY:** See, that's my problem.  
25                  You're going to have to go over to another

1 page just to --

2 **DR. MAKHIJANI:** Yes, that is the  
3 disadvantage of this.

4 **MS. BEHLING (by Telephone):** This is Kathy,  
5 and Wanda, I fully agree. We've also come up  
6 with an alternative to the format that we  
7 currently have which was my first suggestion.  
8 The reason that we are toying with the idea of  
9 going to this one-page issue -- and, John,  
10 maybe you can explain this a little bit better  
11 than I can -- is John felt that it was  
12 important that we capture what happened in  
13 each of the various working groups with each  
14 of our findings.

15 I believe that John has been tasked in  
16 the past with trying to recreate what has  
17 happened at the various working group levels  
18 and determining when was that finding  
19 ultimately resolved. And so that's how this  
20 evolved. And it's very, very different than  
21 we've suggested in the past. We're just, I  
22 believe there needs to be a little bit of  
23 discussion up front as to why we thought that  
24 this might be something we'd want to  
25 entertain.

1                   And as I said it had to do mostly with  
2                   John's feeling, so much goes on at each of  
3                   these working group meetings, and it maybe  
4                   isn't always appropriately captured, and we  
5                   can't go back and recreate what has happened  
6                   each segment along the way. So that's how  
7                   this evolved. And so just with that in mind  
8                   you can possibly have further discussions  
9                   that'll be more meaningful.

10                  **MS. MUNN:** Thank you, Kathy.

11                   Paul.

12                  **DR. ZIEMER:** Could you go to the second  
13                  page, please, and clarify for us on the close  
14                  out part two there, so let's say that we took  
15                  some action today on some item here. And next  
16                  time we came back we weren't satisfied with  
17                  that, whatever it was that was to be done. So  
18                  what would happen here?

19                  **DR. MAKHIJANI:** We would have these two  
20                  repeated.

21                  **DR. ZIEMER:** Would repeat, so you would  
22                  have, I see, so you would have kind of a  
23                  running tab of what occurred each meeting or  
24                  if it was continued. That's what you're --

25                  **DR. MAKHIJANI:** For example, just to take

1 the procedure four, five, 17 thing, we'd say  
2 working group meeting date. We'd go back to  
3 the old one and we'd say what happened. A  
4 certain review process was left open at that  
5 time. What was left open. And then in the  
6 next one, today, that these three things were,  
7 these three procedures were consolidated into  
8 Procedure-90, and then the to-do list was for  
9 SC&A to give you the list of open and closed  
10 items for that. And then we'd also, of  
11 course, go back and have all of the items in  
12 summary form here.

13 **DR. ZIEMER:** We'd have the instructions on  
14 each one as to --

15 **DR. MAKHIJANI:** You would have the  
16 instructions as well. And then when it's  
17 closed, when the working group decides that  
18 it's closed or the Board decides it's closed -  
19 -

20 **DR. ZIEMER:** I do like that feature that it  
21 does sort of contain what's supposed to happen  
22 on each one.

23 **DR. MAURO:** You see, when you look at the  
24 matrix, you find out that there's a part of  
25 the process that's right now, SC&A writes a

1 big report. We take a matrix. We put in in  
2 summary form SC&A's findings. And then  
3 there's no involvement with the working group  
4 yet. It goes over to NIOSH. Then NIOSH  
5 responds to the findings. So that's going to  
6 happen.

7 **DR. ZIEMER:** That's set.

8 **DR. MAURO:** What happens once that's in  
9 place, that triggers the working group. Then  
10 we move into the mode of the working group and  
11 what happens in the working group. What  
12 became clear is that what happens is we go  
13 through, we have a discussion on each issue,  
14 and we try to capture the nature of the  
15 discussion we had earlier and the degree to  
16 which SC&A and NIOSH have come to resolution  
17 on this issue.

18 And you listen, the working group  
19 listens to that conversation and try to  
20 capture whether there's a degree of agreement  
21 or disagreement. But at some point in the  
22 process the working group weighs in and says,  
23 okay, I think this issue has been resolved and  
24 then it ends. Or I think that, well, you may  
25 give marching orders to SC&A or to NIOSH and

1           that's going to be stated here. And those  
2           marching orders are given here to be addressed  
3           at the next working group meeting for whatever  
4           the action is.

5                       And then if there is the need for  
6           another working group meeting, this page will  
7           continue, and there'll be a date when the  
8           working group will meet, and we'll just do it  
9           again until we reach the point where the  
10          working group says this issue is closed. And  
11          then that brings us back to the matrix table.

12                      The matrix table, that issue would  
13          have a one-liner and either be active or  
14          closed. And right on that one page you'll  
15          know how many issues there are, and how many  
16          of them have been closed or are active. And  
17          that would be available for every working  
18          group meeting.

19                      **DR. MAKHIJANI:** I also here it might say,  
20          like today we've got a matrix in which NIOSH  
21          has responded to some item with ^ active close  
22          of NIOSH response pending so then you have to  
23          go to the pages that are active.

24                      **DR. MAURO:** This came about because recently  
25          I was asked to help out in trying to help

1 folks get a handle on the number of matrix  
2 tables, the number of -- this had to do with  
3 the site profile work, not with this -- but on  
4 the site profiles clearly there were a series  
5 of working group meetings and then a series of  
6 matrices.

7 And the reality is I had a very  
8 difficult time, the only reason I was able to  
9 resurrect the working group meeting dates was  
10 that on my calendar I put in a mark on my  
11 calendar, plus on my progress reports, they go  
12 back four years, I do indicate on the progress  
13 report that, yes, last month we had a working  
14 group meeting. Otherwise, I wouldn't be able  
15 to do that. And I think it's essential that  
16 we're able to reconstruct. This is where the  
17 important, the rubber meets the road.

18 And I think in this form forever we  
19 will have an archive of what we have  
20 accomplished; what we've done. You're right.  
21 It may become, some of these may go on for  
22 many pages. Some issues go on for -- for  
23 example, on Rocky Flats I think we have  
24 something like ten or 12 working group  
25 meetings to cover one particular issue.

1           **MS. MUNN:** Yes.

2           **DR. ZIEMER:** But there would be --  
3           basically, what you have is a worksheet on  
4           every issue, and you can see the progress.  
5           Right now on issues I find myself doing what  
6           you described, going back to different minutes  
7           in different documents and pieces of paper and  
8           trying to piece things together.

9                        This seems to me if we can preserve  
10           the matrix in the overall summary, I think the  
11           worksheets would be helpful to us in any event  
12           to have a worksheet per issue and be able to  
13           say, yes, we did this. Here's the outcome.  
14           Here's the next thing we did until it comes to  
15           closure. That, it seems to me, would be  
16           helpful. I know it's, could end up to be a  
17           thick document, but in reality as I go into  
18           these and start to try to pull all these other  
19           documents out, I think I end up in the same  
20           place but less organized.

21           **DR. MAURO:** I would argue that it's going to  
22           be thinner because most of the documents we  
23           have now space. We have one column that goes  
24           on for four pages and the rest of the page is  
25           space.

1           **DR. WADE:** So, John, now in terms of the  
2 need to see active items versus archived  
3 items, this first matrix would have it all?

4           **DR. MAURO:** Well, it would, yeah.

5           **DR. WADE:** So you'll have to pick out then  
6 from five pages of matrices, you'll have to,  
7 entries, you'll have to pick out the active  
8 item.

9           **DR. MAURO:** On this one page, all of the, in  
10 other words every procedure and finding would  
11 be here, maybe two pages. But you'll know for  
12 each one of the findings whether that finding  
13 is active or closed. Now they'll all be back  
14 here, but if it's been closed, you don't have  
15 to go to that one.

16                   In other words the only ones that,  
17 right off the bat, the first, we'll meet.  
18 We'll sit down around the table. This page  
19 will come up which we'll say, okay, here's  
20 where we are. Look down the list and say,  
21 okay, out of the 30, 40 or 50 findings that  
22 originally comprised the work of this working  
23 group, we have closed half of them, but the  
24 other half are still active.

25           **DR. WADE:** Okay, so how many pages this one

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

--

**DR. MAURO:** This would, one or two pages.

**DR. MAKHIJANI:** Well, it would be more because right now we've 30 procedures, you know, in the matrix.

**DR. WADE:** With two, three, four findings.

**DR. MAURO:** So yeah, maybe three, four, five, but these are going to be, in effect, I was hoping that we could make each one of these one line. But what's going to happen is we felt that we did need to put in like a one-liner of what the finding was, otherwise you wouldn't know what it was about. So at least something that says, oh, this is the high-fired plutonium issue. That's all it would say, high-fired plutonium issue, and so at least we know what that is. But we don't try to do anything on this first page to discuss it. It'll be just an identifier.

**DR. WADE:** So we might have eight pages. The matrix might be eight pages, but you can clearly identify opened issues from closed issues.

**DR. MAURO:** Yeah, let's say there are a hundred items. That'd be a hundred lines.

1                   And a hundred lines, how many pages that would  
2                   take.

3                   **MS. BEHLING (by Telephone):** This is Kathy  
4                   Behling. I believe one of the other things we  
5                   may want to consider here is on the dose  
6                   reconstruction matrices for the first three  
7                   sets -- and, Dr. Ziemer, you can correct me if  
8                   I'm wrong here -- but I believe that the  
9                   matrix is what was sent to the Secretary of  
10                  HHS. And I'm not sure for someone who hasn't  
11                  been sitting through this process that those  
12                  matrices are really going to really tell the  
13                  full story and give him a good understanding  
14                  as to what went on in this process where what  
15                  we're suggesting here is if this was sent to  
16                  the Secretary of HHS as a sort of final  
17                  product, it may be more meaningful.

18                  **DR. MAKHIJANI:** One of the things that might  
19                  make it logistically easier to have a page  
20                  number here, and you could probably do it in  
21                  the soft copy in such a way that you could  
22                  just click on it, and it would go to a page  
23                  number or something like that.

24                  **MS. MUNN:** Page number of what?

25                  **DR. MAKHIJANI:** Page number where you would

1 find that, the detail of that finding.

2 **MS. MUNN:** Yes, Paul.

3 **DR. ZIEMER:** I have an additional thought  
4 here but let me insert, I don't think we would  
5 be sending this report to the Secretary. We  
6 do report to the Secretary on the dose  
7 reconstruction because of a specific charge  
8 that we have, but this is something internal  
9 to the Board.

10 Here's another thought though.  
11 Suppose you had two such documents. One is  
12 the closed ones. You have the matrix of all  
13 closed items with the attached. And that  
14 changes you see from meeting to meeting. As  
15 you close items it changes.

16 And then you have a matrix of open  
17 items with the working attachment so that  
18 you're not having to sit through these and so  
19 on. Because even if it's closed, there's a  
20 history that you might want to access readily.  
21 So there's another thought. It's a variation  
22 on this, closed items, open items.

23 **DR. WADE:** Or all items opened items. I  
24 mean you could have a matrix of everything,  
25 and then extract from that open items. And

1 then the working group would say this is what  
2 we have to work with.

3 **DR. MAKHIJANI:** There are a few ways that  
4 that could be handled. I think we could  
5 consolidate all the open items at the top of  
6 the matrix, this summary matrix. So then you  
7 only in the beginning are looking at the open  
8 items. And then you could take the detail on  
9 the closed items and put them at the bottom at  
10 the end of the document. So everything that's  
11 open that's in the front of the document.  
12 Everything that's closed --

13 **DR. WADE:** That splits up findings in a  
14 particular procedure. I just think maybe a  
15 set up so you extract the open items and make  
16 a sub-matrix.

17 **DR. MAURO:** It becomes a working document  
18 for the purpose of this meeting so that here  
19 is what our work is for today.

20 **DR. ZIEMER:** I hate to monopolize this, but  
21 things are popping in my mind. I'm wondering  
22 if it would be worth doing this on a sort of a  
23 trial or pilot plan basis for the next work  
24 group meeting to have the contractor bring us  
25 the material in that form and try it out. We

1                   may find that it's cumbersome. We might find  
2                   out it's more efficient. I don't know.

3                   I'm just wondering if it's worth  
4                   giving it a trial to see how it works.  
5                   Because I think we still want to preserve the  
6                   matrix idea and the idea of being able to see  
7                   everything sort of the overview which is, I  
8                   think, what the Chair would certainly want us  
9                   to be able to do.

10                  **MS. MUNN:** That's very true.

11                  **DR. ZIEMER:** But I like the idea of the  
12                  worksheets has a certain attraction for me at  
13                  least.

14                  **MS. MUNN:** Being each of us creatures of our  
15                  past history and our own personal experience,  
16                  I cannot help but wince at the clerical effort  
17                  that I foresee as being inherent in this kind  
18                  of undertaking. It really is even with all of  
19                  the material digitalized and an ability to  
20                  move it around, this is not a trivial issue to  
21                  break this information up in this way.

22                  **DR. MAURO:** I find this is going to make  
23                  life so much easier for everyone concerned  
24                  because it's going to be just adding on the  
25                  next working group meeting, the next working

1 group meeting. It's just going to add on on  
2 any given issue. It's going to just keep  
3 rolling.

4 And at any time you want, if you want  
5 to go back to a particular issue whether it's  
6 the oronasal breathing issue, if we track this  
7 way of high-fired. That's an issue. It has a  
8 page, and we can find out how it was resolved.  
9 And you could just see. The whole story would  
10 be right there in front of you rather than at  
11 the end of the process.

12 For example, right now let's say we  
13 all wanted to get together and say, geez, how  
14 did we resolve the high-fired plutonium issue.  
15 How did it begin and what was done by NIOSH,  
16 the working group, the Board and SC&A to reach  
17 closure, which we have reached. I would say  
18 we'd be hard pressed right now to try to --  
19 without going back to reading all the minutes,  
20 all the transcripts that Ray put together --  
21 so we would be able to rebuild that. With  
22 this thing I would say five minutes you would  
23 see the whole story right in front of you.

24 **MS. MUNN:** Oh, once it's set up and  
25 operating very possibly.

1           **DR. MAURO:** We're doing it anyway. You  
2 realize we're doing it anyway. As a result of  
3 each meeting together working with the  
4 chairperson and NIOSH and SC&A, we do try to  
5 build a matrix.

6           **MS. MUNN:** Yes.

7           **DR. MAURO:** And the information is being  
8 assembled and put into some form. So we have  
9 to do it anyway, and in my mind let's do it in  
10 a systematic way that's in one place that's  
11 there forever. So the work is going to be  
12 done anyway.

13           **MS. MUNN:** Did I not hear somewhere in the  
14 discussion that the resolution issue, whether  
15 it occurs in this body or in one of the other  
16 work groups is proposed to be incorporated in  
17 some way?

18           **DR. MAKHIJANI:** Yeah, it will be in the  
19 summary and also in the detailed worksheet.

20           **DR. MAURO:** In that discussion section, you  
21 know, in other words on each sheet there's a  
22 discussion and the outcome might be issue  
23 closed because it's being dealt with ^. On  
24 the last stop if you end that issue because it  
25 has moved to a generic issue, for example,

1 let's say oronasal breathing, it moves to  
2 that. Well, that would be, that's how that  
3 issue would have been resolved and for that  
4 reason. And that would be the bridge to some  
5 other activity, some other working group.

6 **MS. MUNN:** Certainly the idea of having a  
7 single matrix that shows what's open and  
8 what's closed is more than attractive.

9 **DR. MAURO:** The point you just bring up  
10 though to tell you the truth is that it may  
11 not be as simple as active or closed for the  
12 reason you just said. Something might be  
13 closed under Task 3 because we moved that out  
14 of Task 3 and put it into some other task  
15 because it's part of the site profile issue or  
16 a generic issue. So we may actually have to  
17 have three labeled, in other words, active  
18 within Task 3, closed or it's been moved out.

19 **MS. MUNN:** Transferred.

20 **DR. MAURO:** Yeah, it's been transferred.

21 **MS. MUNN:** Yeah, transferred.

22 **DR. WADE:** And to deal with the whole  
23 universe now while it's on our mind to me the  
24 only eventual solution to this is a relational  
25 database that would have everything in it.

1           **DR. MAURO:** We were talking about an ACCESS  
2 or a Sequal database that in theory could  
3 actually link into the minutes of the meeting.  
4 I mean if you really want to get off the  
5 charts on it, but we can do that. That's very  
6 aggressive.

7           **DR. WADE:** Yeah, and I wouldn't do it. I  
8 wouldn't dismiss it. You see, what Wanda and  
9 I have always wanted, and we've talked about  
10 this to each other and not to each other about  
11 this, if you close something here, and it goes  
12 to the science issue column, there needs to be  
13 a guarantee that it's gone there and is there  
14 as opposed to what happens now. And if it  
15 goes to the site profile, a particular site  
16 profile, it needs to go with certainty. And  
17 the only way to make that happen is to have it  
18 within an overall data system.

19           **DR. MAURO:** We really haven't created those  
20 cross-links between tasks.

21           **DR. MAKHIJANI:** That's true. So when we say  
22 closed, it's just closed for here. NIOSH is  
23 revising the site profile then it comes back.

24           **DR. MAURO:** Let's say we're transferring --

25           **MS. MUNN:** Transferred to where.

1           **DR. MAURO:** -- but we're saying it's got to  
2 hook, that's got to activate some other part  
3 of the system.

4           **MS. MUNN:** Yes, uh-huh.

5           **DR. WADE:** So that's something we need to  
6 think about. And it comes to all of us. I  
7 know Larry and I have talked about it as well.  
8 How do we get that done? For the Board really  
9 to be certain that it hasn't dropped anything  
10 between the floorboards that sort of linked  
11 data system is necessary. For another day.

12          **MS. MUNN:** Let's suggest that the contractor  
13 put together the suggested summary matrix for  
14 what timeframe?

15          **DR. MAURO:** I could say we can do this very  
16 quickly. Whenever you want. All the  
17 information is here.

18          **DR. MAKHIJANI:** Which one? The one that  
19 we're working with now?

20          **MS. MUNN:** We're talking about the summary  
21 matrix of what we have on matrices currently.  
22 The procedures.

23          **DR. MAURO:** We'll work with the one we're  
24 working with right now. We'll try that.

25          **DR. ZIEMER:** Put it in this form?

1           **DR. MAURO:** This form.

2           **MS. MUNN:** Try putting in that form and see  
3 how it looks, and we'll spend a significant  
4 amount of time at our December meeting  
5 discussing whether or not this does, in fact,  
6 meet our criteria, whether it will be simpler,  
7 whether the time element is reasonable, and  
8 whether this fulfills the archival concerns  
9 that all of us have with respect to what we've  
10 done. Is that agreeable with everyone?

11           **MR. PRESLEY:** Question. Where do we get  
12 money for this? Have we got money to do this  
13 extra work?

14           **DR. WADE:** I believe we do. I mean, I don't  
15 know how big --

16           **DR. ZIEMER:** I wouldn't regard it as extra  
17 work. I think they're doing the work now.  
18 It's a way of sorting it in a more consistent  
19 manner. And you may spend a little time  
20 initially setting up, but it's like you pretty  
21 much have it defined now, and you type it in.

22           **DR. MAURO:** And this is the right time to  
23 begin it because we're, really, even though we  
24 did have one previous meeting regarding this  
25 matrix, we're on top of this so it's the right

1 time to try this out. This is the right set  
2 of cases, this Supplement -- I don't know the  
3 supplement number. I don't recall --  
4 Supplement 1 which is the one with 30 cases.  
5 This is the right time to try this out. To  
6 convert from this matrix to that is very easy  
7 to do. It's just going to look different, but  
8 it's going, it basically contained it in a way  
9 that I think will serve us all better.

10 **DR. WADE:** And then as a special request I  
11 would make of you, when you do this, and then  
12 when you present it, I would like to be able  
13 to ask you your thoughts about expanding this  
14 beyond to the linkage of work products. I  
15 don't want you to do anything about it, but  
16 just as your people do this, I'd like you to  
17 think about that.

18 **MS. BEHLING (by Telephone):** Wanda, this is  
19 Kathy Behling. Also one of the other things  
20 that I might just suggest is, because this  
21 will not take me much time at all, but to take  
22 the current matrix that we're working from and  
23 modify this to some extent as you had  
24 initially tasked us to do. We talked about  
25 maybe adding a column and changing some names

1 of some columns to make them more meaningful.  
2 And also present that as an alternative in  
3 case we decide that it is too cumbersome to do  
4 this approach we're suggesting today.

5 **MS. MUNN:** That would be helpful if it won't  
6 be too time consuming for you, Kathy.

7 **MS. BEHLING (by Telephone):** No, not at all.

8 **MS. MUNN:** That's good if you would.

9 **MS. BEHLING (by Telephone):** Okay.

10 **MR. PRESLEY:** Now the next thing. Do we  
11 need -- I realize that we are going to task  
12 the contractor to do this, but do we need  
13 anybody from NIOSH to look at this to see if  
14 they can live with the format and stuff like  
15 this? Because they're going to have to work  
16 with it along with us.

17 **MR. HINNEFELD:** Well, I've got no issue with  
18 this. It seems like it probably adds  
19 readability in the historical base. The one  
20 question that comes to my mind as I'm sitting  
21 here is for lack of a better term, version  
22 control. For instance, we'll have, now I  
23 think it'll be one document with this table on  
24 the front and supporting sheets behind in one  
25 document. So there will be times when NIOSH

1 will add information to some of those  
2 supporting sheets, but not all. And I don't  
3 suppose we would ever change this table. This  
4 table would only be changed by the work group  
5 to active, closed or transfer. That would be  
6 a work group action. We'll be adding to the  
7 document in that we add to the supporting. So  
8 presumably then the date, you know, we might  
9 have a current date so everybody knows they're  
10 current. So the document then would be re-  
11 dated or a new revised date each time anybody  
12 writes to it. The additional issue is that we  
13 will write to it. SC&A will write to it, and  
14 the Board essentially will write to it. So  
15 that we will have three different entities  
16 generating a next version of the document,  
17 maybe simultaneously. SC&A may be working, we  
18 may provide some, as we are wont to do, we  
19 will provide initial responses on some  
20 findings but not all. And SC&A may be  
21 analyzing and reaching conclusions on those  
22 initial responses while we continue to work on  
23 other initial responses. So I think there may  
24 be a way to do this with naming conventions or  
25 something like that so that the origin of the

1 document, not just the date of the document,  
2 but the organization that prepared this  
3 version of the document occurs in the name,  
4 the file name in some fashion. And I don't  
5 know if you want to think about that or not,  
6 but it occurs to me that it's going to be  
7 very, very difficult. It already is. The  
8 reason I bring this up it's already sometimes  
9 difficult for me to keep track of what is the  
10 most recent version.

11 **MS. MUNN:** It is very difficult.

12 **MR. PRESLEY:** And how we get on there --

13 **MR. HINNEFELD:** But I think if we just think  
14 about it as we design it and include some  
15 things like that, and whether it's in a file  
16 name or whether it's in a header of some sort,  
17 however it works easiest to build it. And I'm  
18 not a Word person so I'm not very good at  
19 offering advice at what would be best. But  
20 something like that to keep track of when I  
21 pick up one of these things, what exactly am I  
22 looking at. Am I looking at our product or am  
23 I looking at SC&A's most recent contribution  
24 or the Board's most recent determination or  
25 something like that?

1           **MS. MUNN:** What would appear to be the  
2 simplest method of doing that would be to  
3 simply identify as we currently are in the  
4 bottom right-hand corner of each page the date  
5 and who is issuing it. If we do that then it  
6 will be very clear that any change --

7           **DR. NETON:** I might suggest that --

8           **MS. MUNN:** Yes, Jim.

9           **DR. NETON:** -- I'm not a computer person,  
10 but putting this on a central drive like the O  
11 drive I think would take care of a lot of  
12 these issues where it exists in a central  
13 location where only one person can open it at  
14 a time. It's always there and always  
15 resident. And I think that would help.

16           **MR. PRESLEY:** We'd have to do that. If not,  
17 if you're not then we're going to be sitting  
18 there looking at that thing every day trying  
19 to figure out who added what to this.

20           **DR. NETON:** Every time you open it, you know  
21 you're opening the most recent version and  
22 only one person can add, writes at a time to  
23 that document.

24           **MR. PRESLEY:** If SC&A adds something today,  
25 and NIOSH adds something today, and we don't

1 know to go back in there and look at that,  
2 something's got to trigger for us to go back  
3 in there because I assure you I don't want to  
4 have to sit and look at this matrix every day  
5 just to see if there's something extra came up  
6 on it.

7 **MS. MUNN:** John.

8 **DR. MAURO:** I'm looking at it a little  
9 different. This thing is revised after every  
10 working group meeting. It's revised in a  
11 collaborative way between SC&A, NIOSH and the  
12 chairman of the working group. And it's put  
13 out by the chairman of the working group.  
14 Okay, here is the next revision that reflects  
15 the last meeting we just had. Now once that's  
16 done it's done, and it's not revised again,  
17 not touched again, until the next working  
18 group meeting was completed. So therefore,  
19 there's nothing going on. Now, it may turn  
20 out between the two working groups, there  
21 might be other white papers going back and  
22 forth. There might be all sorts of stuff  
23 going back and forth on the O drive. Nothing  
24 to do with this form. That's work that's  
25 going on perhaps to resolve an issue, but it

1 doesn't emerge and that activity doesn't show  
2 up until the next working group meeting where  
3 we have a chance to talk about this work. So  
4 in effect, there's going to be an issue of  
5 this revision of one of these after, within a  
6 matter of days.

7 **MS. MUNN:** Following each work group.

8 **DR. MAURO:** Each working group and that's  
9 it. After it's issued it's done, untouched  
10 until the next working group.

11 **DR. MAKHIJANI:** That's not quite right,  
12 John. NIOSH is, after this working group  
13 meeting, NIOSH is going to fill in a lot of  
14 those blanks in this where they haven't had a  
15 response yet.

16 **MR. HINNEFELD:** We can do it in some other  
17 format. For instance, we don't have to write  
18 it directly on the document.

19 **DR. MAKHIJANI:** That's true.

20 **MR. HINNEFELD:** We could, you know, we can  
21 restate, it's easy to cut and paste the  
22 finding and put it on a piece of paper, a new  
23 sheet, and write the response there.

24 **DR. MAKHIJANI:** Yeah, a multiplicity of  
25 documents in that case.

1           **MR. HINNEFELD:** That means there's a lot  
2 more stuff flying around.

3           **DR. MAKHIJANI:** That I think will create a  
4 kind of problem of its own because then you've  
5 got huge numbers of documents because there  
6 are so many issues.

7           **MR. PRESLEY:** I like Jim's idea about doing  
8 this.

9           **MR. HINNEFELD:** ^ O drive.

10          **MS. MUNN:** Paul, you were trying to say  
11 something.

12          **DR. NETON:** We all have the same O drive.  
13 They get transferred.

14          **DR. ZIEMER:** Well, I'm a little concerned  
15 about having anyone go in and make changes.

16          **MS. MUNN:** I am, too.

17          **DR. ZIEMER:** Because in principle while we  
18 trust each other, but who knows what could,  
19 sometimes my computer seems to change things  
20 and I don't even know why. I'm typing and I  
21 find that something else has, I've changed  
22 something. I'm a little concerned about  
23 anyone going into the O drive and fiddling  
24 with the document. So I kind of like the  
25 idea, and maybe we can do it through the chair

1 of the work group. If the ball is in NIOSH's  
2 court, let's say. Let's say they got the SC&A  
3 response version and we're waiting for the  
4 NIOSH responses for the next meeting. We  
5 would want to have that in advance, and it  
6 seems to me that whoever's going to be  
7 responsible for entering it, whether it's the  
8 contractor or the chair or NIOSH, we have them  
9 enter the new stuff and assign the new number  
10 or whatever the new identity is, and that gets  
11 distributed. And that's it until the next  
12 meeting. Something like that. I just don't  
13 like the idea that anybody can go in and  
14 change something.

15 **DR. MAURO:** What's split up, and I agree  
16 with this is that, okay, at the end of this  
17 meeting we put a product out. That's pretty  
18 straightforward. And that will be under your  
19 direction, and you put out a new version of  
20 the matrix. There's a new matrix.

21 Now, but then as a result of the  
22 direction provided by the working group,  
23 you've given NIOSH and SC&A, let's say, some  
24 marching orders. And we start to work, and we  
25 do some work, right? And the question becomes

1 -- and let's say SC&A puts out a white paper,  
2 and you folks put out a white paper. It goes  
3 up on the O drive or whatever we do.

4 The question is when does that  
5 information, the outcome of that exchange find  
6 its way into the matrix? Do you try to do  
7 that before the next working group meeting? I  
8 mean, I guess that's a good question. In  
9 other words whether we, and if we do it, how  
10 is that mechanistically done?

11 **DR. WADE:** So I think the way to do it if I  
12 could offer an opinion, I mean, I think you  
13 freeze the matrix at certain points in time,  
14 but people can post comments to it that can  
15 exist, they don't change the matrix.

16 So let's say after a work group  
17 meeting, it's put out. This is the situation.  
18 NIOSH has certain tasks. SC&A has certain  
19 tasks. You post those at the appropriate  
20 place in the matrix, but you don't change the  
21 matrix. Then they're there for people to look  
22 at as you will leading up to another Board  
23 meeting when then again, the chair can decide  
24 what changes will actually be made to the  
25 matrix.

1                   And you could have a document that's  
2 frozen in time with a layer on top of that of  
3 transient information that's captured there  
4 but not added until the gatekeeper makes that  
5 decision.

6           **DR. ZIEMER:** Can I ask is that then posted  
7 in a different color or a different font or  
8 something so we can identify it?

9           **DR. WADE:** Sure.

10          **DR. ZIEMER:** And then once it's approved  
11 it's changed or --

12          **DR. WADE:** The system I've worked with is  
13 color. You choose the color red, and that's  
14 there, transient, but it's not entered into  
15 the frozen version of the document.

16          **MS. MUNN:** We seem to be falling into the  
17 problem of spending 85 percent of our time  
18 talking about 15 percent of our problem. We  
19 really don't want to do that for much longer.  
20 May I suggest that it might be a good idea for  
21 us to set up a telephone conference between  
22 whoever wants to be the decision or needs to  
23 be the decision maker in NIOSH, whoever needs  
24 to be the decision maker about this at SC&A,  
25 perhaps Bob, perhaps me get together on a

1 telephone conference and talk about this after  
2 SC&A has had an opportunity to put together  
3 the first page of a draft format, and we'll  
4 address this specific issue of who makes  
5 changes when and how does that mechanistically  
6 occur. Does that make sense to everybody?  
7 Can we do that?

8 (no response)

9 **MS. MUNN:** At the end of this meeting we'll  
10 set up a time for a telephone group meeting,  
11 and we'll identify who's going to be on the  
12 call. Then we will bring that as a part of  
13 the straw man first trial to the Board either  
14 at the, I mean to the working group either at  
15 the working group's meeting, telephone meeting  
16 which we may be able to do or not or at our  
17 face-to-face meeting in December, one of the  
18 two.

19 **MAJOR PROCEDURES LIST**

20 All right, we have our major  
21 procedures list, Summary of Task 3, Supplement  
22 1, Rev. 1, Revised Draft, September 25, 2007,  
23 that I asked you to have in hand that we have  
24 not yet addressed as an item-by-item issue for  
25 what has been provided by NIOSH following our

1 last meeting. We need to go through that, and  
2 we need to make sure in the process of doing  
3 that that we're going to catch, was it 19 that  
4 we said we were going back and pick up?

5 **MR. HINNEFELD:** It's 33, I think.

6 **MS. MUNN:** Thirty-three probably. So who's  
7 going to take the lead on these new items, and  
8 where do you want to begin? I see the first  
9 one is OTIB-0023 on page eight. Am I  
10 mistaken?

11 **MR. HINNEFELD:** Correct.

12 **DR. MAURO:** Okay, we're talking about, are  
13 we on OTIB-0023, assignment of missed neutron  
14 doses based on dosimeter records? Is that  
15 where we are?

16 **MS. MUNN:** Yes, OTIB-0023, we have items  
17 one, two, three, four, five, six, seven,  
18 eight. All have responses to them now, and  
19 what we're expecting is a word from SC&A as to  
20 whether the NIOSH response is agreeable or for  
21 some reason leaves you with a continuing,  
22 outstanding issue.

23 **DR. BEHLING (by Telephone):** I guess I will  
24 take that issue on. This is Hans Behling. My  
25 comments, I guess, reflect a number of things

1           that involve the differences between OTIB-0023  
2           and the Implementation Guide-001.

3                   And I think it's kind of difficult to  
4           gather from the summarized comments on the  
5           matrix what the issues are because we'd almost  
6           have to go back to the report itself, and I  
7           used some quotes directly. And I guess  
8           central to the issue is one in which we define  
9           reliable dosimeters for neutron monitoring and  
10          unreliable.

11                   And I think therein lies the problem  
12          because the OTIB-0023 really is limited to  
13          instances where we are dealing with what are  
14          called reliable neutron dosimeters which on my  
15          estimation reflect perhaps the albedo badge  
16          that was introduced in the early '70s in most  
17          of the DOE locations although that's not  
18          necessarily the case in certain locations  
19          where NTA film was, in fact, viewed as a  
20          reliable neutron dosimeter.

21                   And most of the issues center around,  
22          I guess, the alternative approaches in which  
23          case the OTIB-0023 really is confined to those  
24          instances where we are dealing with a viable  
25          dosimeter, and the issue is one of assigning

1           either N times L over D over two as opposed to  
2           some other alternative method in the event  
3           that that particular  $\hat{\phantom{x}}$  ends up with a dose  
4           that is greater than 75 percent of the  
5           external whole body from penetrating gamma  
6           radiation.

7                     And I think we have a significant  
8           conflict between TIB-0023 and Implementation  
9           Guide-02 because they have very different  
10          opinions in terms of what is to be used under  
11          those conditions. I think Implementation  
12          Guide-002 is not confined to necessarily best  
13          estimates or not confined to instances where  
14          you're dealing with a credible neutron  
15          dosimeter of record. And I think most of  
16          these issues center around that difference  
17          between the two documents. And the OTIB-0023  
18          does, in fact, reference the Implementation  
19          Guide-002 as its basic document.

20                    And just one of the comments that I do  
21          want to make, you said, for instance, when the  
22          neutron dose defined by N times L over D over  
23          two exceeds the 0.75 or 75 percent of the  
24          gamma dose, there is a recommendation to make  
25          use of neutron survey data in state times and

1 other things which I have come to the  
2 conclusion is not likely to be available for  
3 most instances when you're dealing with a  
4 person who may have been exposed to neutrons  
5 but obviously in his personal dosimetry  
6 package, there won't be any reference to that  
7 kind of the data.

8 **MR. HINNEFELD:** Well, I guess from our  
9 standpoint it's true that it's not, you know,  
10 the information in IG-001 is not exactly the  
11 same as the information in OTIB-0023. OTIB-  
12 0023 was prepared later and probably after  
13 there was a little more practical experience  
14 with trying to do dose reconstructions and  
15 what kind of information are we going to have  
16 because IG-001 was prepared very early on. So  
17 it's true that they don't say exactly the same  
18 things, but these are two of the documents and  
19 there are many others that are available  
20 during dose reconstruction.

21 Part of our response in this is that  
22 there's an entirety of data that's used on  
23 each particular site, and IG-001 has general  
24 directions, general guidance. OTIB-0023 is  
25 supposed to provide some more specificity to

1           that, and then there is site specific  
2           information in the site profile that can be  
3           used in order to, you know, there should be a  
4           judgment statement in there about what years  
5           the dosimetry, the neutron dosimetry data  
6           should be bound, based upon the method they  
7           were using.

8                         So in terms of reading this OTIB on  
9           its own and saying that this OTIB in  
10          conjunction with IG-001, you know, I can see  
11          why some of these comments arise, but OTIB-  
12          0023 is not used by itself or only with IG-001  
13          but it's used in combination with other  
14          information available about this specific  
15          site.

16                        So it's a little hard to really sort  
17          out what would we write different in OTIB-0023  
18          that would provide the kind of instruction we  
19          want without, you know, here rather than  
20          writing that instruction in the site profile.  
21          You're looking at that kind of situation.  
22          Either have something like this that provides  
23          us with this information or you include this  
24          same kind of instruction in every site  
25          profile's neutron dosimetry section to sort of

1 enhance what IG-001 gives in association with  
2 those site profile documents.

3 So I guess I don't, I'm having a hard  
4 time figuring out what amendments or what  
5 revisions we make here to OTIB-0023 or to  
6 documents in general to kind of address this  
7 what may be a consistency issue but what we  
8 feel like is sort of layers of specificity in  
9 each document having its own, serving its own  
10 purpose in the dose reconstruction process.  
11 So that's kind of what I'm struggling with  
12 here because I'm not exactly sure what  
13 revisions to make here.

14 **DR. BEHLING (by Telephone):** Well, let me  
15 add a couple things. First of all, Kathy just  
16 reminded me that I kept on referring to  
17 Implementation Guide-002. It's Implementation  
18 Guide-001-2.

19 But let me go quickly over what OTIB-  
20 0023 really asks you to do. First of all in  
21 Section 3 and again in Section 6 it basically  
22 defines the use for this particular TIB in  
23 instances where the dosimeter is the dosimeter  
24 of record, meaning that we have faith in the  
25 neutron dosimeter and it's a credible

1 dosimeter for use in neutron monitoring.

2 And under condition one you are to use  
3 in cases where the dose ends up as being  
4 recorded as zero to simply apply the  $N$  times  $L$   
5 over  $D$  divided by two. But if such a number  
6 in the end exceeds 75 percent of the external  
7 gamma dose, then you are to default to a  
8 situation where you deal with survey data and  
9 time and duration of exposure as a surrogate.

10 Now I have to say, for instance,  
11 dealing with, and I can give you an example, a  
12 situation in ^ Hanford ^ a rubber glove line.  
13 And I looked at some of the data, and of  
14 course, post-1972 when the Hanford multi-  
15 purpose dosimeter was introduced, we have,  
16 expect to assume is now a credible dosimeter.

17 And I realize the neutron/photon ratio  
18 is under question, but at the same time there  
19 were data that I looked at where the  
20 neutron/photon ratio was probably in some  
21 instances close to a factor of four. In other  
22 words, you could have a neutron dose that was  
23 four times higher than your registered gamma  
24 dose.

25 And the issue of saying, well, it's

1 greater than 0.75, we'll default to some  
2 alternative method, would certainly not apply  
3 there. And so there are some instances, and  
4 I'm only giving examples where I would find  
5 that these methods, the two alternative  
6 methods here, are perhaps too restrictive.

7 **MR. SMITH (by Telephone):** This is Matt  
8 Smith of the ORAU team. Can I interject some  
9 information?

10 **MS. MUNN:** Please do.

11 **MR. SMITH (by Telephone):** Just historically  
12 this TIB was developed as Stu stated to kind  
13 of clarify and add onto what's in IG-001. One  
14 example would be the Savannah River site where  
15 OCAS has developed a TIB which is TIB-0007  
16 which further expands on neutron dosimetry  
17 practiced at Savannah River site.

18 It's that kind of additional technical  
19 information that you're either going to find  
20 in additional TIBs like that for a site or in  
21 the site profile itself that allows the DR to  
22 use item number two which is under Section  
23 6.0, the guidance section of this TIB, to make  
24 their determinations. If you really use a  
25 document as Stu stated that was put into the

1 system it kind of revises and extends what is  
2 stated in IG-001.

3 **MS. MUNN:** Thank you.

4 **DR. BEHLING (by Telephone):** I would like to  
5 make a final statement here because the OTIB-  
6 0023 really is based on having a credible  
7 neutron dosimeter. In other words, we trust  
8 what the neutron dosimeter says or records as  
9 a dose of record. And we're not going to  
10 contest that.

11 In other words if there is a zero  
12 recording that means we're below LOD. And  
13 there's no reason not to necessarily apply N  
14 times LOD over two for those reasons where we  
15 have a zero as a dose of record for that  
16 neutron monitoring period. And it would be no  
17 different from any others. And I agree that  
18 on average that N times L over D over two is  
19 probably somewhat claimant favorable, but so  
20 be it, and we do it for photon exposure.

21 On the other hand if the dose of  
22 record based on the belief that this dosimeter  
23 registers a fair and accurate neutron exposure  
24 exceeds 75 percent of the gamma dose, so let  
25 it be. I mean, after all, that was saying we

1 don't trust the neutron dosimeter if it goes  
2 above 75 percent or the 0.75 fraction.

3 To me the qualifying statement in TIB-  
4 0023 is that it's based on a credible neutron  
5 dosimeter. So for any time that is registered  
6 below LOD or zero recorded dose, you give the  
7 LOD over two. And for those instances where  
8 it's a true dose, you accept that as, on face  
9 value. If it's greater than 75 percent  
10 external gamma dose, well, let it be. That  
11 might just be the true radiation field in  
12 question.

13 **MR. HINNEFELD:** I guess the provision was  
14 entered or was put in here because to avoid a  
15 situation where when we're talking about  
16 missed doses, we're talking about the  
17 dosimeter didn't measure anything. So  
18 reliable dosimeter or not, it didn't measure  
19 anything.

20 So if it's limited detection, if the  
21 neutron dosimeter badge is limited detection  
22 is quite high relative to the photon limited  
23 detection which is quite often, quite easily  
24 could be the case, then for many cycles of  
25 missed dose, you know, a lot of missed dose,

1           you can have a photon limited detection quite  
2           a lot smaller.

3                    You could have a work environment that  
4           is reasonably well characterized in some  
5           fashion. You know, it may not be a survey  
6           instrument or ^ survey data, but it may be  
7           reasonably characterizable because of source  
8           term information or because reliable dosimetry  
9           measurements of some sort. You could put  
10          yourself in the situation where just using LOD  
11          over two for both the neutron and the photon  
12          badge would end up with the assignment of a  
13          neutron missed dose that just doesn't match  
14          the reality of the missed photon dose.

15                   In other words, if the neutron missed  
16          dose is going to be that high, you would have  
17          had to have had a measurable photon dose,  
18          because its limit ^. And so rather than just  
19          say automatically we will always assign LOD  
20          over two, which is our wont. You know,  
21          certainly on a photon badge, you'd ^ the  
22          photon badges were pretty good for most of the  
23          period, and we generally will assign LOD over  
24          two if they wore a badge that read zero, you  
25          know, it would be LOD over two.

1                   But rather than just follow that on a  
2 neutron badge, there are situations where you  
3 could have evidence that indicates that's just  
4 not credible. And so because of that  
5 situation, that's why this kind of provision  
6 was put in there. And now the actual  
7 implementation of it should be site specific  
8 and location specific and how much do we  
9 really know, and how much can we really say  
10 about the radiation field that they, that  
11 these people might have encountered in their  
12 work. So that's why this was in there was to  
13 allow for that eventuality.

14                   **DR. BEHLING (by Telephone):** And I agree,  
15 Stu, that on average in most locations, the  
16 neutron dose will be less than the photon  
17 penetrating dose, and 0.75 is not an  
18 unreasonable ratio to draw as a crossover line  
19 where you say, well, this doesn't seem  
20 reasonable.

21                   But two things, one, the idea of using  
22 instrumentation and time and motion studies is  
23 an unrealistic alternative, I would say as a  
24 minimum than to default to a 0.75 value and  
25 let it go with that value than default to a

1 time and motion study based on neutron  
2 measurements that may or may not really have  
3 any real significant value for a given  
4 individual.

5 **DR. NETON:** Hans, this is, Jim. That kind  
6 of runs counter to what you just said though  
7 that you've seen ratios that could be as high  
8 as four. All this really does is recommend  
9 you do a field investigation of some kind.

10 **DR. BEHLING (by Telephone):** And I agree.

11 **DR. NETON:** It doesn't buy you anything  
12 other than do a sanity check is what it's  
13 really trying to say here.

14 **DR. BEHLING (by Telephone):** Well, as I  
15 said, if you look at the rubber glove line at  
16 Hanford, you ^ that ^ a period of time,  
17 especially from the '60s on, there were  
18 probably neutron/photon ratios that were based  
19 on instrumentation measurements, approach a  
20 value of four. And clearly to deny a person  
21 that option of saying, well, you have the  
22 neutron/photon ratio that exceeds 75 percent  
23 of your photon dose, is perhaps not fair.

24 **DR. NETON:** Well, that's not what it says  
25 here though. It says that two conditions need

1 to be met, and if the second condition in this  
2 procedure is that if it could be established  
3 that the dose was basically zero. If it's  
4 not, then clearly it says you can, I think you  
5 can do what you feel with the dosimetry data.  
6 Just trying to do a, you know, a sanity check  
7 on the dosimetry data itself. Like Stu said,  
8 a missed neutron dose can be much, much higher  
9 than a photon dose. And so I don't think our  
10 part's to go back and say, does this make  
11 sense in light of what we know about the  
12 particular conditions of the site. I just  
13 don't see that being a bad thing to do.

14 **DR. BEHLING (by Telephone):** Well, I think  
15 you almost have to go back to look at the TIB-  
16 0023 and look at the actual instructions --

17 **DR. NETON:** I'm reading it right here while  
18 we're talking.

19 **DR. BEHLING (by Telephone):** Well, I am,  
20 too, and I'm somewhat in disagreement because  
21 --

22 **MR. SMITH (by Telephone):** Well, the other  
23 thing I would interject and maybe Scott could  
24 add to it there in the room is that any time a  
25 dose reconstructor does go down the road of

1 using as guidance, the final paragraph there  
2 in Section 6 applies which is that whatever  
3 assumptions were made are discussed in the DR  
4 report which is not a random thing that's just  
5 done in an automated sense with no thought to  
6 it.

7 And Scott, you've got a lot of folks  
8 that do Hanford claims so maybe you can add to  
9 that discussion.

10 **MR. SIEBERT:** You're right onboard, and if  
11 someone does, they have to defend it in the DR  
12 report. The peer reviewers are looking for  
13 that, and I know the OCAS reviewers are  
14 looking for that as well.

15 **MS. MUNN:** Does this satisfy some of your  
16 concerns, Hans?

17 **DR. BEHLING (by Telephone):** Well, not  
18 fully, but perhaps it's something that needs  
19 to be more carefully discussed between SC&A  
20 and NIOSH and not necessarily take the time  
21 away today.

22 **DR. MAURO:** What I'm watching is it's  
23 interesting that when we review the procedure  
24 what I'm really hearing is that there is a  
25 vast amount of information available to the

1 dose reconstructors that is continually  
2 expanding. And in theory all of them are kept  
3 abreast of this continually enriching dataset  
4 of information and guidance.

5 So in effect, it's really up to the  
6 dose reconstructor who has this OTIB in front  
7 of him which is just really one piece of  
8 guidance along with everything else. And in  
9 the end he uses this collective wisdom that's  
10 before him to make a determination what dose  
11 he's going to assign to a given worker for  
12 neutrons for a given year. And that's what he  
13 puts into his IREP code.

14 Now what I'm hearing though is that,  
15 so as a result there's a, he draws upon all of  
16 this knowledge base. One of our concerns I  
17 believe is that this knowledge base is vast,  
18 and it may turn out that different dose  
19 reconstructors may interpret and draw upon  
20 this array of information differently and come  
21 out with an inconsistent result. Now would  
22 you explain -- it makes perfect sense to me.

23 What you're saying to me is that, as  
24 Jim explained, now listen, you look at all of  
25 this, he doesn't look at this OTIB in a

1 vacuum. And I guess on the other side of the  
2 question is, well, where is the assurance that  
3 all of the 300 dose reconstructors, whatever,  
4 are, in fact, drawing upon this vast amount of  
5 information in a consistent way. And I guess  
6 by looking at the OTIB itself. It doesn't  
7 give you the pathways. Maybe this is a --

8 **MR. ELLIOTT:** Well, let me try to speak to  
9 that, John. First of all there's not 300 that  
10 are doing that. There's different groups that  
11 are assigned certain, specific types of dose  
12 reconstructions to do. There's, of course,  
13 internal dosimetrists, as you know, and  
14 external dosimetrists. And when a new tool  
15 comes online like this, it's my understanding,  
16 my belief, that ORAU has a training session.

17 Scott?

18 Run them through a training session.  
19 The peer reviewers are also included in that  
20 so they understand what the new guidance is  
21 and what they are to look for in reviewing the  
22 work of the dose reconstructors. And that  
23 also gets translated over into the OCAS peer  
24 review that we do.

25 And so I think, yes, we're all

1 concerned about consistency, too. We want to  
2 make sure that in this vast breadth of  
3 knowledge as it increases in its expanse we  
4 understand how people are taught to use it,  
5 and how we're charged with reviewing that work  
6 product when it comes out.

7 Am I --

8 **MR. SIEBERT:** Yes, you're exactly correct.  
9 It's each site has different small core groups  
10 that are really working the dose  
11 reconstructions, and Hanford is a perfect  
12 example. I won't take somebody who's working  
13 other sites and throw them into Hanford  
14 because there's just so much to learn. So  
15 each core group is working together, and they  
16 get to know the specific information that's  
17 needed for that site. And each site has a  
18 site expert.

19 Oftentimes when it gets confusing, an  
20 internal and external expert that really  
21 people can answer questions as the dose  
22 reconstructors have their questions. The peer  
23 reviewers or the senior dose reconstructors  
24 who are also part of the same group who know  
25 what's going on with all the portions. And

1                   then the PID and the PED, the internal and  
2                   external principals, also are available for  
3                   any questions and answers. And that's only on  
4                   our side, but then it goes up to NIOSH and the  
5                   same information is done there.

6                   **MR. ELLIOTT:** So you have that layer, but  
7                   let's talk in another layer, another context  
8                   layer. And that is how many dose  
9                   reconstructions does this particular issue  
10                  bear upon? It's really a best estimate,  
11                  right? In where you've got to look at  
12                  neutrons really hard to make sure you're  
13                  getting the right --

14                  **MR. SIEBERT:** Right, if it can be an  
15                  overestimate, you can throw in the LOD over  
16                  two, and it doesn't make any difference,  
17                  that's what will be done. But it has to be at  
18                  the sharp end of the marshmallow exactly.  
19                  It's a smaller subset of claimants.

20                  **MR. ELLIOTT:** And then that takes us back to  
21                  the previous layer and context. As Scott was  
22                  saying, you're not going to give one of those  
23                  types of very hard cases or best estimate  
24                  cases that's got to have a lot of attention to  
25                  detail to a really new dosimetrist. You're

1 going to make sure they give it to somebody  
2 that's worked through one of these before.

3 **DR. MAURO:** I hear what you're saying, and I  
4 appreciate it.

5 But when I read -- I mostly look at  
6 AWE sites so I won't speak to Hanford. But I  
7 guess my question would be to perhaps Hans and  
8 Kathy is that when you review a Hanford where  
9 this issue may very well come up where a  
10 neutron exposure is concerned, the DR report  
11 itself. And of course, behind the DR report  
12 is all of the spreadsheets, an array of  
13 information.

14 I know that when you look at the DR  
15 report itself, it does not communicate the  
16 richness of thought that goes into the  
17 selection of a particular strategy for doing  
18 that dose reconstruction. It's imbedded  
19 perhaps in an amazing amount of material that  
20 stands behind that ten-page DR report.

21 I guess this is more of a question to,  
22 that I could put on the table is that how  
23 transparent, is it important that this thought  
24 process and the way in which each dose  
25 reconstruction draws upon this and then, of

1 course, how it's checked, how transparent is  
2 that to, for example, to us as auditors? I  
3 know what when Hans and Kathy and myself and  
4 others review, we work our way through a lot  
5 of material. And sometimes we're able to  
6 match your numbers and understand how you got  
7 to where you got and the judgments that were  
8 made, and sometimes we don't.

9 And, Hans, when you review Hanford  
10 dose reconstructions, and you just heard an  
11 example of how this would be applied, do you  
12 run into situations where you find it  
13 difficult to understand the rationale or the  
14 decisions that were made ultimately in  
15 inserting a given number in the IREP input  
16 sheet?

17 **DR. BEHLING (by Telephone):** Actually, no,  
18 because at this point I do know the Hanford  
19 TBD for external dosimetry that addresses the  
20 issue of neutron exposures and assigns  
21 neutron/photon ratios. However, I will also  
22 add that we are not in agreement with those  
23 numbers, and 0023 does specifically state that  
24 in instances where you use or have a  
25 prescribed neutron/photon ratio this TIB does

1 not apply.

2 So in essence the time period prior to  
3 1972 when the albedo badge was introduced, the  
4 Hanford protocol would suggest a  
5 neutron/photon ratio of 0.71 which is under  
6 the 0.75 as the cutoff line prescribed in  
7 OTIB-0023 here. But there's still the issue  
8 that I have to question. That is, if you do  
9 exceed 0.75 based on the N times LOD over two,  
10 and you now face the challenge of  
11 reconstructing neutron dose based on neutron  
12 survey data and time motion studies, where do  
13 you go to get this information? How does the  
14 dose reconstructor address this as an option  
15 for assigning missed neutron dose?

16 **MR. HINNEFELD:** Well, I don't think an  
17 individual dose reconstructor would be  
18 expected to do that research. It would have  
19 to be a compendium that was collected probably  
20 in a site profile or something of that sort.

21 **DR. BEHLING (by Telephone):** I mean, you  
22 would have to have RWP data. You would have  
23 to have incredible detailed information  
24 available to you and to me when I say that  
25 this is information that is unlikely to be

1 available to virtually anybody.

2 **DR. NETON:** But, Hans, I think you're  
3 missing the nature of these numbers one and  
4 two in the Guides because it clearly says that  
5 both conditions have to be met. One is they  
6 have to exceed 75 percent. And the second  
7 condition says that based on his work location  
8 and information in the TBD or other places,  
9 the dose reconstructor determines the neutron  
10 dose was zero. So if he can't come up with  
11 that information, then clearly the two  
12 conditions haven't been met.

13 **DR. BEHLING (by Telephone):** Well, that is  
14 the third option which is totally unfair  
15 because if, in fact, now the number of zeros  
16 for neutrons exceeds the 75 percent of the  
17 external deep dose, then the guy ends up  
18 getting into the third category that says we  
19 don't have any data. He exceeds 75 percent of  
20 the deep dose; and therefore, we're going to  
21 assume he didn't get any neutron exposure.  
22 That to me is totally unrealistic.

23 **DR. NETON:** What?

24 **MR. HINNEFELD:** That's not the thought  
25 process though.

1           **DR. NETON:** That's not what it says though.

2           **MR. HINNEFELD:** The thought process is there  
3 are some people, I just gave you an example  
4 this morning like the secretary to the  
5 president of the company who you would not  
6 expect to, for instance, be monitored. I used  
7 it as being monitored, but there are certain  
8 jobs you would not expect neutron dose.

9                         But there were sites that hung a  
10 combination dosimeter that included a neutron  
11 component whenever they badged somebody. So  
12 even though they think those people didn't  
13 particularly need to be monitored for  
14 neutrons, their combination badge had a  
15 neutron so the record will probably show zero  
16 for the cycle.

17                         And so under those circumstances where  
18 you can determine that a person really wasn't  
19 neutron exposed -- and you've got to have a  
20 reasonable amount of evidence -- then you can  
21 conclude, well, okay, any neutron exposure  
22 would have been incidental or essentially  
23 zero, and so we're not going to include it at  
24 all. That would be the only time that you  
25 would do that, not just because there's a lack

1 of data but because when there's sufficient  
2 data to say this person wasn't neutron  
3 exposed.

4 But other than that there has to be  
5 some adjustment, some accounting for  
6 unmeasured neutron dose. Neutron doses below  
7 the detection level of the badge. If a person  
8 was exposed to neutrons, and his neutron  
9 readings are zero, you have to account for  
10 that in some way. That missed dose has to be  
11 accounted for in some fashion.

12 **DR. BEHLING (by Telephone):** I understand,  
13 Stu. There are really three categories. You  
14 could have a situation where your LOD over two  
15 and times N gives you less than 75 percent of  
16 your deep dose in which case you're fine. You  
17 assign that dose.

18 On the other hand when it does exceed  
19 75 percent, you have a choice to make. You  
20 can, based on job description as you mentioned  
21 a secretary, and say, well, they were handed a  
22 multi-purpose dosimeter, but there was very  
23 little or no reason to assume that that  
24 individual was exposed to neutrons; and  
25 therefore, come to the conclusion that there's

1 no need to assign any.

2 But there's still yet the alternative  
3 that yet the job description would suggest  
4 that the person was exposed to neutrons, but  
5 his calculated dose based on N times L over D  
6 over two is greater than 75, and you're still  
7 faced with the issue of trying to figure out  
8 what to do about that person.

9 **MR. HINNEFELD:** Well, I think there would  
10 be, there are ways to do that. I don't know  
11 that they're spelled out in TIB-0023, but  
12 presumably there's site profile information or  
13 some document like that that would provide an  
14 alternative. And the question here is not,  
15 we're trying, the point here is if LOD over  
16 two is not realistic because of a known  
17 characterization of the work place, if the  
18 neutron LOD over two just isn't feasible,  
19 isn't credible, because of his photon  
20 dosimetry record, and some knowledge of the  
21 radiation characteristics of where he worked,  
22 then you don't just blindly assign LOD over  
23 two. If that doesn't, I mean, now, if you  
24 don't just blindly assign LOD over two, that  
25 doesn't mean that you blindly assign zero.

1                   What it means is there must be some way to  
2                   account for that. It could be that TIB-0023  
3                   by itself doesn't explain that very well.

4                   **DR. BEHLING (by Telephone):** But it defaults  
5                   to Implementation Guide-001 which says you use  
6                   a time motion study and survey data which to  
7                   me is also a highly unreasonable approach to  
8                   filling in that void.

9                   **MR. HINNEFELD:** Well, I think it's  
10                  unreasonable to expect the dose reconstructor  
11                  to do that. I'll agree with you. To ask an  
12                  individual dose reconstructor to search down  
13                  those records and make that determination.  
14                  That's unreasonable. But that or other ways  
15                  of doing a work place radiation  
16                  characterization are supposed to be available  
17                  in some other vehicle to the dose  
18                  reconstructor whether, and I'm thinking site  
19                  profile. There may be other vehicles as well,  
20                  other technical documents as well, that would  
21                  provide that. But the question here is not,  
22                  it's strictly a matter of let's assign a dose  
23                  that's credible here, not one that's  
24                  incredible just by following this procedure,  
25                  just applying it. That's the whole point of

1 this.

2 **DR. BEHLING (by Telephone):** I believe ^  
3 would be to say, okay, if you think 75, we'll  
4 stop at 75, and that will be a bounding value  
5 even under best estimates.

6 **MR. HINNEFELD:** Well, I think that might the  
7 case in some sites. I'm just not completely  
8 familiar with all the techniques, but I think  
9 it sounds as if maybe some better explanation  
10 of the intent here, whether we put it in the  
11 TIB or not may help us out. And so, I mean, I  
12 can take that back and say, look, if I were  
13 going to read this thing, and I was going to  
14 read this, how would it be clear to me what  
15 exactly are we trying to attain?

16 I mean, we can go and take that as an  
17 action and try to come up with some clarity to  
18 the usage here because it appears that it's  
19 not. It appears that it's not very clear, and  
20 so I guess --

21 **MR. SMITH (by Telephone):** Well, I would  
22 just interject again on item number two under  
23 Section 6 where it says other documentation,  
24 again, if it was Savannah River site, I'm  
25 going to go get OCAS TIB number 0007 which

1 goes into further depth and detail about  
2 neutron exposures at Savannah River site. And  
3 that's what's going to get used, as Dr. Neton  
4 stated, to qualify the situation under item  
5 number two.

6 The document was made general in  
7 nature and all with the future in mind knowing  
8 that there would be a wide variety of site  
9 profiles, and in addition, add additional TIBs  
10 that might be added in the future to clarify  
11 what's in the sight profile or to expand on  
12 what's in the site profile.

13 **DR. BEHLING (by Telephone):** I still  
14 personally think that you can simplify things  
15 because under the current ^ guidance, if a guy  
16 ends up with a calculated neutron dose that  
17 ends up coming just under the wire, let's say  
18 74 percent of his deep dose, you would give to  
19 him. If he ends up with 76 percent, or 0.76,  
20 you would end up defaulting to some very  
21 incredibly difficult option for assigning the  
22 dose. Why not just simply assign a cutoff  
23 date and say thou shalt never exceed 75  
24 percent of your deep dose and let it go?

25 **DR. NETON:** Hans, you can give him 76

1                   percent if the documentation is not supporting  
2                   the fact that he was not neutron exposed.

3                   **DR. BEHLING (by Telephone):** Of course,  
4                   we've been through that. If the person was a  
5                   secretary, and you come to the conclusion that  
6                   there should be no neutron exposure, I agree.  
7                   But what if you come to the realization that  
8                   there was a potential for neutron exposure,  
9                   and you don't have the data?

10                  **DR. NETON:** Hans, this is no different than  
11                  what we do for every single thing we use  
12                  coworker data. It's ambient; it's 50 percent;  
13                  it's 95<sup>th</sup> percentile. There are value  
14                  judgments made on these cases. I don't know  
15                  why this is that different to you.

16                  I mean, you have to make a value  
17                  judgment at some point. Was this person  
18                  potentially exposed, yes or no? And if they  
19                  were exposed, were they heavily exposed or  
20                  average-type exposed. That's the exactly what  
21                  this is doing. It's no different.

22                  **MS. MUNN:** We're getting really down into  
23                  the weeds here with respect to the issues on  
24                  OTIB-0023. Let's establish that the further  
25                  technical discussion on this particular item

1 covering all eight of the outstanding issues  
2 under OTIB-0023 will be discussed by the  
3 technical experts at NIOSH, ORAU and SC&A.  
4 And we will revisit this at our next face-to-  
5 face meeting to see whether any resolution has  
6 occurred so that our SC&A experts are  
7 comfortable with, more comfortable with the  
8 responses that have been given by NIOSH. Is  
9 that acceptable?

10 **DR. BEHLING (by Telephone):** Yeah, and I  
11 would, add to that, Wanda, just perhaps a  
12 simple statement that under Section 6 of the  
13 TIB, under Guidance, there should be a little  
14 more definitive explanation given in terms of  
15 what are the options here. One and two  
16 basically says if you need both one and two,  
17 you don't get anything. That to me is an  
18 incomplete guidance for dealing with this  
19 particular OTIB.

20 **MS. MUNN:** I'll leave that to the discussion  
21 group to resolve.

22 And it's time for us to take a 15-  
23 minute break now. When we come back for your  
24 information the first item that we want to  
25 cover is the one that I indicated we would

1 cover no matter what, OTIB-0019, which  
2 interestingly enough is on page 19. Let's put  
3 that one in its appropriate slot immediately  
4 following a 15-minute break. We'll go offline  
5 for that period and be back here in 15.

6 **DR. WADE:** We'll mute the phone and back in  
7 15.

8 (Whereupon, a break was taken from 3:25 p.m.  
9 until 3:42 p.m.)

10 **MS. MUNN:** Thank you, we are back on track  
11 here.

12 Dr. Ziemer, did you have a question?

13 **DR. ZIEMER:** I have question following up on  
14 the previous discussion. Will the next  
15 version of our document have some kind of a  
16 summary of Hans' comments which really, I feel  
17 like we're not close to resolution on those  
18 items. And it's almost like, okay, here are  
19 SC&A's responses to NIOSH. I mean it seems,  
20 or something like that here. In this new  
21 version will there be a synopsis? Because  
22 Hans raised a lot of issues, some of which I  
23 think had to do with interpretation of what  
24 the document actually says versus the  
25 technical because I want to --

1           **MS. MUNN:** But, Paul, it was the intent when  
2 the groups were asked to meet offline and  
3 discuss this to try to distill the issues to  
4 the bottom line as it were, and for this group  
5 to discuss that on our forthcoming phone call  
6 before any entry would be made on our --

7           **DR. ZIEMER:** Oh, okay. I felt like we  
8 needed to capture, I mean, that's, I don't  
9 know if those are the official responses or  
10 what this, but I mean, that's the sort of  
11 thing that's hard to --

12           **DR. MAURO:** This is the perfect test case.  
13 In other words in effect on this issue where  
14 we are in the process is this working group  
15 meeting on this date. There's this issue,  
16 right? And right here --

17           **DR. ZIEMER:** Right, and I feel like we're  
18 pretty far apart right now.

19           **DR. MAURO:** Right. And right now we'll try  
20 to keep it brief that there is an issue here  
21 that we're --

22           **DR. ZIEMER:** SC&A's concerns remain --

23           **DR. MAURO:** Right, well, we'll briefly  
24 summarize it. That's why we wanted to bring  
25 this form. We will discuss it amongst the

1 three, I guess whoever needs to discuss what  
2 the work should be here. And then there  
3 certainly will be Board words here regarding  
4 what your direction is to what needs to be  
5 done to take action.

6 **DR. WADE:** If you have this call where the  
7 technical people discuss it, the results of  
8 that call will then be captured.

9 **DR. MAURO:** Yeah, if we could do that  
10 quickly. You see, in my mind we'd like to  
11 capture that. Now the only question becomes  
12 how deep do we go into it. In my mind our  
13 intent was to revise this quickly after the  
14 working group meeting so that we could re-  
15 issue this. So if we can get the right  
16 language in quickly after this meeting for  
17 this spot right here on this issue, that would  
18 be the intent. And not make this so drawn  
19 out.

20 I mean, for every one of the issues  
21 where we have to put something in every one of  
22 these issues we discussed today, the intent  
23 would be to somehow capture the discussion  
24 that was held and put it right in here soon  
25 after this meeting, let's say within a week

1 from today after we get back. And that would  
2 be what I had in my mind for this form.

3 **MS. MUNN:** It would be hoped that not much  
4 would go on there until that telephone call  
5 between the technical parties that was  
6 requested to try to really distill that to its  
7 essence.

8 **DR. MAURO:** I guess that's the question  
9 before the working group.

10 **DR. ZIEMER:** Well, let me speak to that in a  
11 somewhat generic way. One of the things that  
12 we've sort of allowed the contractor and NIOSH  
13 to do separate from the Board is address  
14 issues of factual, do you have the right  
15 information, but not resolution of issues if  
16 you understand what I'm saying.

17 So that if they're saying to Hans,  
18 actually, you don't have the right  
19 information. Maybe we didn't say this right  
20 in our procedure so we'll revise the  
21 procedure. So we say what we intend, what  
22 we're trying to explain here. And Hans says,  
23 oh, that's what you mean, okay, then I don't  
24 have any problem with. That I think you can,  
25 no, you can do that offline.

1           **DR. MAURO:** What goes here is --

2           **DR. ZIEMER:** If there's a resolution that  
3 you need to come to, that's where we have the  
4 work group. I'm not clear which is which  
5 because it seems like some of what I heard was  
6 Stu saying or Jim saying to Hans, well, you're  
7 not understanding that correctly, or we don't  
8 read it in the same way so have we not said it  
9 right or what. And that's sort of fact  
10 finding and I think that can be done offline.

11           **MS. MUNN:** That was the request to be done  
12 offline and then discussed with us on our  
13 working group call.

14           **DR. ZIEMER:** And then if there's still  
15 issues, then we have to resolve them.

16           **MS. MUNN:** Right.

17           **DR. MAURO:** So it goes in that spot as you  
18 just said. That is, if there's any  
19 disagreement regarding interpretation of  
20 language or clarity of the information  
21 provided in 23, and that is to be further  
22 evaluated by the working group.

23           **DR. ZIEMER:** Or you have gotten together and  
24 agreed on certain things that maybe some of  
25 these will fall away then.

1           **DR. MAURO:** Well, that's the question I'm  
2           posing to the working group. To what degree  
3           do we actually start to resolve it during this  
4           call? See, to me my intent was simply to  
5           capture what was discussed at this meeting  
6           clearly and not in that step which would be,  
7           let's say, the exchange of the meeting, the  
8           conference calls, the exchange of white  
9           papers. See, in my mind that is separate from  
10          this form. This form is filled out and just  
11          captures what transpired and the direction  
12          given by the working group to NIOSH and SC&A  
13          at this meeting and not say anything more than  
14          that unless you see it differently.

15          **DR. WADE:** If at the next meeting the result  
16          of that little clarification session is  
17          reported out, then it can be captured.

18          **DR. MAURO:** Then it will be captured then.

19          **MS. MUNN:** Yes, yes, no problem with any of  
20          that I believe. And we have one other outlier  
21          here before we take up what I said we were  
22          going to take up immediately.

23                   John, would you like to tell us what  
24          the issue is here?

25          **DR. MAURO:** There is going to be a meeting

1 with General Steel Industries on October 9<sup>th</sup>, a  
2 site visit. NIOSH will be going there. One  
3 of the things I asked Wanda and Lew was  
4 whether it would be appropriate for --

5 **DR. ANIGSTEIN (by Telephone):** John, let me  
6 interject. The site visit is not, it's still  
7 pending, and it probably has not been approved  
8 and may not be approved by the current site  
9 operator.

10 **DR. MAURO:** This October 9<sup>th</sup> meeting, how  
11 should I refer to it?

12 **DR. ANIGSTEIN (by Telephone):** Well, it is a  
13 worker outreach meeting.

14 **DR. MAURO:** A worker outreach meeting.

15 **MR. ELLIOTT:** Let me clear about this.  
16 There are two meetings, I believe. There's  
17 one meeting, one meeting for GSI, and it's not  
18 a worker outreach meeting. It is a town hall  
19 meeting where we are proposing to explain to a  
20 claimant-based audience how we are doing their  
21 dose reconstructions using TBD-6000 and  
22 Appendices BB. So it's not a worker outreach  
23 meeting.

24 A worker outreach meeting, for  
25 everybody's understanding, is a narrow focus

1 group-type setting where we pull six-to-eight  
2 workers together who have knowledge about the  
3 operations and the process. And we pose  
4 questions to them and we try to get a better,  
5 clearer understanding of how they interacted  
6 with the work they had to do. So this is not  
7 a worker outreach meeting.

8 **MS. MUNN:** And we muddied the meeting for  
9 you a little bit the last time.

10 **MR. ELLIOTT:** We're all on a learning curve  
11 here.

12 **DR. MAURO:** That's the reason I posed the  
13 question whether or not it would be worthwhile  
14 for Bob Anigstein representing S&A to  
15 participate in that simply because he has a  
16 number of questions related to the modeling  
17 he's doing for General Steel Industries and  
18 the Betatron. But it sounds like the  
19 questions he has won't be answered at this  
20 meeting. What he had in mind was the ability  
21 to perhaps actually go to the site and ask  
22 certain questions.

23 **DR. ANIGSTEIN (by Telephone):** John, I keep  
24 saying that's not up to NIOSH. That's up to  
25 the site operators. So far they have not

1 given their consent.

2 **DR. MAURO:** Okay, there's information we'd  
3 like to get our hands on if we can. I guess I  
4 was under the impression that perhaps Bob  
5 joining in this meeting that information could  
6 be acquired. But if that's not the case, it  
7 may not be worthwhile for Bob to make that  
8 trip.

9 **MR. ELLIOTT:** Well, let me pose this as an  
10 opportunity for Bob to pull people aside from  
11 that meeting. I mean he can identify people  
12 that may want to talk to him one-on-one. And  
13 he could ask his questions and maybe get some  
14 clear responses.

15 **DR. ANIGSTEIN (by Telephone):** That I can  
16 also, you know, we have a contact liaison with  
17 the workers, [name redacted], that's been  
18 talking with us. And I believe he could  
19 arrange some telephone interviews. I mean a  
20 face-to-face is even better, but --

21 **MR. ELLIOTT:** But I have no problem with Bob  
22 going there and pulling people aside from the  
23 meeting if that's what he wants to do. I  
24 think we ought to talk a little bit about this  
25 site visit though. And just to be on the

1 record here and conducting site visits with  
2 entities who perhaps don't, who own the site  
3 now, own the facility, or if it's the same  
4 entity, let's say it was GSI still that owned  
5 this site, NIOSH has no access, no entré  
6 authority. We can't go in under any  
7 regulatory authority. We can't get your  
8 contractor access to the site. So we're on  
9 the good graces of the owners of that site to  
10 let you in. And I know that [name redacted]  
11 really thinks it's important and would like to  
12 have everybody walk through that facility.  
13 But it's not something that we can make happen  
14 unless the owners will allow it to happen.

15 **DR. ANIGSTEIN (by Telephone):** I understand  
16 that.

17 **MS. MUNN:** The question that John posed is  
18 whether or not it was reasonable for the SC&A  
19 representative to be going to that particular  
20 meeting given their contractual obligations.  
21 I responded to him that I certainly was not  
22 comfortable making any thumbs up or thumbs  
23 down decision about that kind of undertaking  
24 because I felt it was outside the authority of  
25 this particular group. But since there seems

1 to be a time issue, a time versus cost issue  
2 here, I asked him to bring it to this group so  
3 that we would at least be aware of it.

4 I'm open to any suggestion from anyone  
5 else with respect to whether this is something  
6 that you're comfortable even saying personally  
7 that you have feelings on one way or the other  
8 or whether it needs to go to the Board. Or  
9 whether it's something, a decision that John  
10 can make himself.

11 **DR. ZIEMER:** I don't think it's this work  
12 group's prerogative so that it's really the  
13 contractor's call at this point as to how you  
14 gather information.

15 **DR. MAURO:** Yes, thank you, and it is  
16 important, the point that you've made is that  
17 if we are in a position where we can pose  
18 certain questions and talk to people like  
19 that, then the trip will be well worth it.

20 **MR. ELLIOTT:** There's certainly going to be  
21 people in the room.

22 **DR. MAURO:** That'll be able to answer the  
23 questions.

24 **MR. HINNEFELD:** If it matters, at our last  
25 worker outreach or kind of town hall-type

1 meetings out there in the past and some of the  
2 people at that meeting had direct knowledge of  
3 the use of the Betatron and were the  
4 operators, you know, the radiographers that  
5 operated it, and could speak very clearly  
6 about what they did. So if the same people  
7 show up or some of the same people show up, it  
8 may be you can gain some valuable information.

9 **DR. MAURO:** Then on that basis I'd very much  
10 like to see Bob go.

11 **DR. NETON:** Let's be clear. I mean, this  
12 would take place on the side in addition to  
13 the town hall formatted meeting.

14 **DR. ZIEMER:** As part of the meeting.

15 **DR. NETON:** As, Wanda, we learned at  
16 Blockson Chemical, you just cannot mix the  
17 two.

18 **MS. MUNN:** You don't do that.

19 **DR. NETON:** You have emotions running very  
20 high with people who want to voice their  
21 opinions, and you just can't --

22 **DR. ANIGSTEIN (by Telephone):** This would  
23 be, this would take place before the meeting.  
24 In other words this would be in the afternoon  
25 before the meeting; some individual, small

1 group meetings would be arranged. That's my  
2 understanding in talking to the workers'  
3 representatives.

4 **MS. MUNN:** Sounds like the agreement here is  
5 it's your call, John.

6 **DR. MAURO:** Okay, good. I'd very much like  
7 Bob to do that.

8 **MR. ELLIOTT:** Is it your preference, John,  
9 that Bob do this without any NIOSH  
10 involvement?

11 **DR. MAURO:** No, my preference would be that  
12 NIOSH participate so that everyone has the  
13 same information.

14 **MR. HINNEFELD:** We'll be there then.

15 **MR. ELLIOTT:** So if that's okay with you,  
16 John, we would like to sit in and hear what's  
17 being said.

18 **DR. ANIGSTEIN (by Telephone):** Can I ask who  
19 would be sitting in?

20 **MR. HINNEFELD:** It will be probably Dave  
21 Allen, will be Dave Allen and probably myself,  
22 Stu Hinnefeld.

23 **DR. ANIGSTEIN (by Telephone):** Who's  
24 speaking?

25 **MR. HINNEFELD:** This is Stu Hinnefeld.

1                   **DR. ANIGSTEIN (by Telephone):** Oh, hi, Stu.  
2 I just wasn't sure who it was. I see so there  
3 would be, and I would be there. Okay,  
4 understood.

5                   **DR. MAURO:** Thank you.

6                   **MS. MUNN:** And one other item of business  
7 before we get back to OTIB-0019. We had asked  
8 Mark Griffon to put together specific words  
9 for us that he was going to be using tomorrow,  
10 and -- rather Thursday. What day is today?  
11 This is Tuesday. It'll be Thursday. I'm told  
12 that Mark is probably not on the line.

13                   **DR. WADE:** Mark, are you on the line?

14                   (no response)

15                   **DR. WADE:** He sent the words to me.

16                   **MS. MUNN:** Very good.

17                   **DR. WADE:** Now this is, Mark was generating  
18 words that would form a question to be asked  
19 of the Legal team if you recall about the re-  
20 interviewing. Quote, one of the  
21 recommendations of SC&A's review of PROC-92  
22 was that the Board interview those claimants  
23 who were the subject of the SC&A review to  
24 gain a better understanding of the claimant's  
25 opinion of the effectiveness of the close-out

1 interview process. If the work group-slash-  
2 Board accepts SC&A's recommendation, can the  
3 Board conduct such interviews with the narrow  
4 purpose of gaining insight from the claimant's  
5 standpoint on the effectiveness of the close-  
6 out interview process? Closed quote.

7 **MS. MUNN:** Sounds reasonable to me. Any  
8 disagreement?

9 **MS. HOMOKI-TITUS:** Lew, if the Board accepts  
10 that, can you just forward that language to us  
11 so we can respond to this and ^ that question?

12 **DR. WADE:** Certainly.

13 **OTIB-0019**

14 **MS. MUNN:** Thank you. Now to the item that  
15 would be taken up immediately following our  
16 break, page 19, OTIB-0019, with respect to  
17 regression analysis. We have a response from  
18 NIOSH to the item. There's only one item.  
19 Take just another moment to re-read it. I'm  
20 sure you've all read it before.

21 SC&A?

22 **DR. MAURO:** I'm going to turn this over to  
23 Bob Anigstein who has been working on this  
24 issue.

25 Bob, can you address OTIB-0019?

1                   **DR. ANIGSTEIN (by Telephone):** Sure. I  
2                   pretty much have the same thing to say that I  
3                   said at the telephone conference, the one that  
4                   we had earlier in the summer about, with this  
5                   working group.

6                   I read over the NIOSH response and we  
7                   don't agree with it. The reason being that  
8                   our point, ours because I've looked at this  
9                   and so has our statistician, Dr. Harry  
10                  Chlmynski, that R squared is a valid measure  
11                  of correlation when you are examining the data  
12                  where there has been no correlation imposed on  
13                  it.

14                 So let's say if there was, to make up  
15                 an example, a known uranium intake and then  
16                 corresponding urine analyses of the same  
17                 individuals, it would be reasonable to take  
18                 these pairs of data and do a correlation  
19                 between them. And if you had an R squared of  
20                  $^{.9}$ , we'd say yes, that indicates that the  
21                 urine analysis is a good indicator of intake.  
22                 And maybe 0.7 may be sort of passable.

23                 But here you don't have independent,  
24                 you don't have two independent variables that  
25                 you're comparing. You're comparing the Z

1 score and the rank. These are already  
2 correlated by nature of the process. You sort  
3 them out; you sort out the data. You assign  
4 the Z score, and then you assign a rank to it,  
5 and the two are automatically correlated. So  
6 to say whether the R squared is a measure of  
7 whether or not these are lognormal is not  
8 valid.

9 And there is a paper that's cited in  
10 our response which shows that artificially  
11 making up data points, you always get an R  
12 squared of around 0.9 sometimes even 0.99, but  
13 this does not indicate that it's lognormal.  
14 And there are other tests that are valid.  
15 There are valid tests for lognormality, a  
16 number of them which would be more appropriate  
17 to apply.

18 And the reason the question of  
19 lognormal is important is if, say, one were to  
20 pick the 95<sup>th</sup> percentile and assign that as a  
21 worker dose or for a bioassay result for an  
22 unmonitored worker, it becomes very important  
23 because, one, if a 95<sup>th</sup> percentile can be  
24 calculated by -- I'm not sure I'm correct ^ --  
25 1.6 or five times the standard deviation --

1 I'm just going off the top of my head.

2 Or the other way would be to actually  
3 take it off the distribution. And there is a  
4 nonparametric method by determining the 95<sup>th</sup>  
5 percentile of ranked data that makes no  
6 assumptions as to whether it's lognormal or  
7 not. And that would seem to me to be a more  
8 valid, and in some cases, more claimant  
9 favorable approach. That's basically the  
10 response I would have had to that.

11 **DR. NETON:** This is Jim Neton, Bob, I think  
12 I don't disagree with some of the things you  
13 just presented here. I think we need to go  
14 back and reword this a little better. I do  
15 disagree that in the sense that I think a  
16 straight line fit is a reasonable thing to  
17 look at when you're fitting cumulative  
18 probability data because for the exact reason  
19 you just stated, if you can demonstrate that  
20 that cumulative probability fit is a straight  
21 line, then you can make some reasonable  
22 assumptions or extrapolations about what the  
23 95<sup>th</sup> percentile is.

24 **DR. ANIGSTEIN (by Telephone):** I agree.

25 **DR. NETON:** That's what you're exactly

1           trying to do.

2           **DR. ANIGSTEIN (by Telephone):** I agree with  
3           that.

4           **DR. NETON:** So I think maybe we're doing the  
5           right thing. We're saying it may be slightly  
6           statistically improperly here, and we'll  
7           reword this to I think better reflect what  
8           we're really using that for.

9           **DR. ANIGSTEIN (by Telephone):** Okay. Also,  
10          as long as we're on this, at this point in the  
11          procedure if that's acceptable to the  
12          Chairman, I'd also like to mention OTIB-0012.  
13          That's not on the agenda for the reason that  
14          it was given a five.

15                 Now what happened internally at SC&A  
16          is these were, both 12 and 19, were  
17          statistical issues, but they were assigned to  
18          our statistician, Dr. Chlmynski, who reviewed  
19          12 and found, he did his own Monte Carlo  
20          analysis, and found that the mathematics are  
21          correct. That the statistics procedure was  
22          correctly implemented.

23                 However, what was not considered at  
24          the time of that review were the actual  
25          Health-Physics and dose reconstruction

1           implications of that procedure. And having  
2           looked at that it appears that because the, as  
3           one example, the OCAS, the Appendix B to OCAS  
4           1G, or to Procedure 1G, does indicate these  
5           are photon dose conversion factors based on  
6           zero to 30 keV, 30 to 250 keV over 250 keV.

7                     And these were taken from the  
8           appropriate tables in ICRP Publication 74  
9           which gives a great deal of detail. They give  
10          it broken in much smaller steps, maybe ten or  
11          maybe 12 or 20 increments in energy. And  
12          these were sort of condensed into what is  
13          representative a triangular distribution. And  
14          typically, the number in both  $\wedge$  is much higher  
15          than the mid-range.

16                    And my understanding is that that is  
17          the number that is usually used. If the dose  
18          reconstructor looks for a single value, he  
19          would use that number. Now, when you do the  
20          procedure in OTIB-0012, which is folding that  
21          triangular distribution into a normal  
22          distribution that is assigned that accounts  
23          for the uncertainty in the measurement, you  
24          end up actually with a lower value that is  
25          less claimant favorable as the mid-point. And

1 so that's the objection that SC&A ^ John Mauro  
2 has ^.

3 **DR. MAKHIJANI:** It's not in the matrix  
4 because -- I'm just looking at our document --  
5 OTIB-0012 I think had all scores of five --

6 **DR. ANIGSTEIN (by Telephone):** I know that,  
7 but based purely on a statistical evaluation -  
8 -

9 **DR. MAKHIJANI:** No, no, I'm just informing  
10 people as to where to find what you're talking  
11 about, Bob.

12 It's in the full report on page 115  
13 where the checklist is, but it doesn't show up  
14 in the matrix because everything's a five.  
15 And I think what Bob is saying is that  
16 everything shouldn't have been a five, and  
17 there should have been an elaboration made.

18 **DR. MAURO:** Yeah, that's a good question.  
19 In the process for preparing for this meeting,  
20 reviewing the original document that was about  
21 a year ago, we revisited some of these issues.  
22 And Bob Anigstein had looked at this other  
23 OTIB procedures, 19 and 12. And we're in a  
24 situation now where, I guess the bottom line  
25 is we do have some concerns with 12 that we're

1                   expressing now, OTIB-0012, that we did not  
2                   have before. And you heard what the concern  
3                   was. How best to proceed?

4                   **MR. SMITH (by Telephone):** I could address  
5                   some of them right now. This is Matthew  
6                   Smith, but I'll leave that to OCAS as to  
7                   whether or not they want me to speak on an  
8                   issue we haven't had time to consider yet.

9                   **DR. NETON:** Why don't we see what the Board  
10                  is going to do with it, or working group.

11                  **MS. MUNN:** This is an interesting issue, and  
12                  one that probably will come up over and over  
13                  again when we encounter these, oh, by the way,  
14                  back when sorts of issues. Clearly, it falls  
15                  under the purview of Task 3 and what we have  
16                  done. It would appear that we need to  
17                  formulate in our own minds a standard  
18                  procedure for dealing with this.

19                  I can see no reason why that procedure  
20                  shouldn't simply be a one-page statement, a  
21                  one-page white paper from SC&A identifying  
22                  chapter and verse and the reasons why you feel  
23                  that it now should be undertaken as a part of  
24                  our responsibility. When you do that, then we  
25                  will incorporate it in our agenda at the next

1 working group meeting, and we'll undoubtedly  
2 anticipate seeing it on the matrix as well,  
3 and that way we can track it. But as long as  
4 we have, it's my feeling in any case. Please  
5 other Board members tell me if you feel  
6 otherwise. We need to have at least a simple  
7 document of some sort to refer to as the  
8 trigger for this action to occur. Any  
9 objection to that?

10 **DR. ZIEMER:** I concur with that. I think we  
11 certainly don't want to have a rule that you  
12 can't bring up anything for the time that's  
13 passed. But we do need to have it documented  
14 and then there'll be a reason for it to show  
15 up in the matrix next time.

16 **DR. MAKHIJANI:** Then also you might  
17 incorporate that page in a page change.

18 **DR. MAURO:** I was thinking the best way  
19 perhaps would be just to submit to everyone, a  
20 page change and just insert this page here and  
21 replace that page.

22 **DR. MAKHIJANI:** Then you have a loose page,  
23 one-page document kind of ^.

24 **DR. ZIEMER:** Well, it may change your  
25 summary, your front summary, too.

1           **DR. MAURO:** It would just replace the --

2           **DR. ZIEMER:** The finding, you'll have a  
3 finding. So you'll have a couple of pages  
4 probably to --

5           **DR. WADE:** But you'll bring it to the work  
6 group, and then they'll decide whether it can  
7 be entered in the matrix.

8           **DR. MAURO:** Yeah, and the next action to be  
9 taken. I guess that's the question.  
10 Certainly we could take that particular review  
11 for OTIB-0012, revise it, have it sitting at  
12 SC&A. The question is, okay, what do we do at  
13 that point in time.

14           **DR. WADE:** Wanda asked that you prepare a  
15 one-page document raising the issue to the  
16 work group for consideration at the next  
17 meeting. If they agree, then they'll say go  
18 and add it.

19                           That's what you said?

20           **MS. MUNN:** Yes, yes, essentially.

21           **OTIB-0017**

22                           Are we ready for the next item on our  
23 list? We skipped over OTIB-0017. We were  
24 going along in an orderly manner, but I  
25 insisted that we go to 19 because I wanted to

1 get that off of our yet-to-be-done list, but  
2 we still have something to be done. The NIOSH  
3 action, they're going to reword their response  
4 to express that differently.

5 OTIB-0017 starts on page 11 and has 15  
6 action items, 15 findings. Take just a moment  
7 to read through them.

8 These are fairly wide-ranging issues  
9 with a significant variant of depth to the  
10 response and the concern. I don't know of any  
11 way to address this other than starting  
12 through it one finding at a time. Does anyone  
13 have any problem with that? I can't see how  
14 else to do it.

15 (no response)

16 **MS. MUNN:** One finding at a time then.

17 John?

18 **DR. MAURO:** I'll be the point man on this.  
19 I guess the first item has to do with the  
20 guidance given to the dose reconstruction.  
21 This all has to do by the way with shallow  
22 dose to beta or photon radiation. And the  
23 first concern expressed here has to do with  
24 clarity regarding, first of all being able to  
25 make a distinction between whether the shallow

1 dose was due to electrons or was due to  
2 photons, and that distinction is important.

3 And apparently the procedure is not,  
4 somewhat ambiguous on how to interpret the  
5 reading that you get back from the dosimeter,  
6 and how that dosimeter was calibrated, whether  
7 or not it was calibrated for low energy photon  
8 exposure versus electron exposure. And in the  
9 procedure the concern was that it's unclear on  
10 how to make that distinction and that  
11 distinction was important to be made when  
12 you're determining what the shallow dose is.  
13 I don't know if I --

14 **MR. HINNEFELD:** Yeah, I think we understand  
15 the issue. Again, this OTIB is used in  
16 conjunction with other technical documents, in  
17 this case most directly the site profile. So  
18 information that's a site specific question  
19 about how is the shallow dose, what does it  
20 mean when they report a shallow dose, how did  
21 they arrive at that. It's a site specific  
22 question.

23 So this information is utilized in  
24 combination with the site profile information  
25 to make that judgment. And it's site

1 specific, and it doesn't lend, that kind of  
2 the information doesn't lend itself to an OTIB  
3 that's used for all the sites.

4 **MS. MUNN:** Does that clarify?

5 **DR. MAURO:** Yep. I mean, as long as that,  
6 in other words the main concern is that there  
7 is a vehicle by which someone could make that  
8 distinction, and you're saying it's contained  
9 in the site profile. And the dose  
10 reconstructor will go there and be able to --

11 **MR. HINNEFELD:** The dose reconstructors are  
12 a team of people who work on that site.

13 **DR. MAURO:** We're probably going to run into  
14 this --

15 **MR. HINNEFELD:** Sure, sure, I think we will  
16 a lot because, again, when you review these by  
17 themselves, I think it's perfectly  
18 understandable for these things to appear, but  
19 they do, these documents are used in this  
20 context with other technical documents as  
21 well.

22 **MS. MUNN:** The response to 01 is acceptable.  
23 Zero two.

24 **DR. MAURO:** Well, this has to do with  
25 protective clothing. Let me just take a quick

1 look.

2 **MS. MUNN:** Clothing specific transmission  
3 factors.

4 **DR. MAURO:** Okay, yeah, this is pretty  
5 straightforward. There's a default protection  
6 factor that's based on certain information  
7 around the shielding effect of standard  
8 clothing. The comment that's made here is  
9 that, I guess we'll see it again, that it  
10 could be very variable what that protection  
11 factor is and whether or not the particular  
12 one that was selected as a default value is  
13 the most appropriate value to be used.  
14 Apparently, that the author of this, John  
15 Hunt, found that there are perhaps better  
16 values to be used as your default value for  
17 protection factors or shielding effectiveness.

18 **MR. HINNEFELD:** And I think part of this has  
19 to do with why bother to have an  
20 overestimating rather than underestimating  
21 shielding value when you've got more specific  
22 ones in there. There's a certain  
23 psychological aspect to doing this that if I  
24 underestimate this dose, this guy's dose  
25 intentionally, and it's still compensable, I

1           felt good that this is a compensable case, and  
2           it's going to be compensable. And so there's  
3           a kind of a reassurance to a dose  
4           reconstructor to be able to do that.

5                       Or conversely, there's a kind of  
6           reassurance to a dose reconstructor to  
7           intentionally overestimate the dose and arrive  
8           at a non-compensable value. So that's done  
9           sometimes, and maybe it's done needlessly, and  
10          maybe a best estimate would be, you know, you  
11          can pick out what is the true value. What's  
12          the true, you know, shielding factor we should  
13          use.

14                      But we have not really interfered with  
15          that process of an underestimating or  
16          overestimating approach when they choose that.  
17          It's one of those things that's done commonly,  
18          and were done commonly from the start.

19                      **DR. MAURO:** So in other words what you're  
20          saying here is that the dose reconstructor  
21          really has the, if he's doing the minimizing  
22          versus maximizing, he has the flexibility to  
23          choose what he feels is most appropriate in  
24          the case. Now, I guess I'd have to go back  
25          and read the procedure again, but it --

1           **MR. HINNEFELD:** That's essentially the  
2 intent of the response is that there are  
3 variations in what clothing, what protection  
4 clothing would provide to a beta dose. And  
5 there are some ranges given or there are some  
6 specific values given even for a specific  
7 thing. I think a common coverall is so much  
8 and some things like that. And if the dose  
9 reconstructor can choose a larger shielding  
10 factor and still arrive at a compensable  
11 decision for the case, it provides him a  
12 little psychological reassurance. I got this  
13 one right. I underestimated, and it's still  
14 compensable so I can worry less about this  
15 one.

16           **DR. MAURO:** I fully agree and understand  
17 what you're saying. I presume the language is  
18 in there.

19           **MR. HINNEFELD:** I believe that's in there.  
20 I'm not 100 percent sure, but it's presumed.  
21 I mean the dose reconstructor. The dose  
22 reconstructor's ^ all think that way. Yeah,  
23 there is language in here that says an  
24 acceptable claimant favorable approach is to  
25 assume 100 percent transmission. In other

1 words in that case you're ignoring it.

2 **DR. MAURO:** No shield.

3 **MR. HINNEFELD:** And for compensable cases an  
4 acceptable minimizing approach is a  
5 transmission 0.6. So that does come out of  
6 the ^. Yeah, that does come ^.

7 **DR. MAURO:** A perfectly acceptable answer.

8 **MS. MUNN:** Acceptable. Dash 03.

9 **MR. HINNEFELD:** Well, this is about whether  
10 you can measure beta doses.

11 **MS. MUNN:** Beta doses.

12 **DR. MAURO:** And this is going to be  
13 recurring with a lot of these is when all is  
14 said and done, the most important comment that  
15 was made here is most of the time when we're  
16 talking about doing beta dosimetry, you're  
17 talking a beta exposure at some distance where  
18 a certain part of the body might be exposed to  
19 both photon and beta from some source.

20 However, very often the exposure is  
21 because a particle has landed on a person's  
22 skin or on his clothing and some more highly  
23 energetic beta emitters landing even on the  
24 clothing will deliver relatively high,  
25 localized dose. The film badge is not going

1 to pick up. And I guess when I read through  
2 the commentaries it appeared that the  
3 procedure in terms of how do you deal with  
4 that, skin contamination by beta emitters for  
5 a person that's a claimant for a skin cancer  
6 or other shallow organ cancer; however,  
7 ultimately how is that dealt with.

8 So that goes toward this question here  
9 about, yes or no, was the person, was he  
10 exposed to that or not. And I guess we'd like  
11 to hear a little bit about that and see what  
12 the answer is to it.

13 **MR. HINNEFELD:** Well, I guess on the,  
14 certainly, the dose reconstructor has the  
15 option, you know, if there's evidence of a  
16 contamination event that's expected that will  
17 be addressed in the dose reconstruction. If  
18 there were some experience with hot particle  
19 that was never, you know, no detection of hot  
20 particles, I don't know that we don't have an  
21 approach that says add so much dose for  
22 undetected hot particle exposure. So we don't  
23 have an approach like that.

24 **DR. MAURO:** So I mean in theory what I'm  
25 hearing is that, okay, you have a worker.

1 He's leaving his work area. He's frisked.  
2 Nothing is picked up; therefore, no issue, no  
3 problem. However, ten years later he comes  
4 down with a skin cancer, and the question  
5 becomes is it possible that that skin cancer  
6 was due to some localized deposition. And the  
7 answer is, well, if there's no record that we  
8 ever saw any skin contamination as part of his  
9 frisking, then we will not assume that that  
10 occurred.

11 **MR. HINNEFELD:** I think that that is  
12 probably accurate. I don't know that we  
13 assume that a, we don't look at a, we don't  
14 treat every skin cancer case and say, okay,  
15 how much of a hot particle experience would  
16 there have had to have been for this to be  
17 compensable. So we're kind of in a situation  
18 now where if there's evidence, including  
19 interview information, you know, I was ^ up  
20 several times, you know, found on the way  
21 home. Generally, a dose reconstruction will  
22 address that, or at least will take steps to  
23 make sure that the skin dose would account for  
24 those times ^. But if there's no evidence,  
25 for instance, it's a person who went to work

1 in an area with potential hot particles, and  
2 there's no evidence that they were ever  
3 exposed or contaminated in some fashion, it's  
4 not normally our practice to say, okay, then  
5 for this skin cancer case, and I guess, for  
6 the skin cancer case what would kind of a hot  
7 particle experience would he have had to have  
8 been in. Is that credible? And how to pursue  
9 that.

10 **DR. MAURO:** I understand what you're saying,  
11 and I wouldn't disagree. But if I recall, and  
12 it's been awhile since I read that procedure,  
13 the procedure itself. And I don't recall  
14 there being any guidance along those lines.  
15 That is what you're effectively saying is,  
16 listen, if this guy's job and job location and  
17 the history of that particular site, this type  
18 of thing just didn't happen very often or  
19 happen at all. I can understand that  
20 argument. But I don't believe that's  
21 contained in the text.

22 **MR. HINNEFELD:** No, I don't believe there's  
23 any. You're right.

24 **DR. MAURO:** It might be worthwhile putting  
25 some text to point the dose reconstructor in

1           that direction. Because just to simply say  
2           that, well, the scanner, the frisker didn't  
3           see it, by definition it's not a problem. I  
4           like the idea -- I'm just speaking now as one  
5           of the reviewers, I like the idea that, well,  
6           let's go one step further.

7                       Beside the frisking, let's take a look  
8           at the records of the workers that work in  
9           that area, the potential for airborne  
10          particulates causing localized skin  
11          contamination, and put that to bed also. That  
12          would be, I would see that as a claimant  
13          favorable strategy for dealing with this.

14                   **MR. HINNEFELD:** I guess I can kind of see  
15          the point. I think there would be certain  
16          cases where there's no evidence of skin  
17          contamination. The person worked in an area  
18          where it was feasible, and there's no evidence  
19          of a skin contamination. A person gets skin  
20          cancer. Is that sufficient evidence to say  
21          that, well, there's a causal relationship  
22          here?

23                   **MR. ELLIOTT:** It goes to professional  
24          judgment, I think. The dose reconstructor's  
25          working through the claim, and it's a skin

1 cancer claim. And it comes down to, well, I  
2 can reconstruct the dose and will produce a  
3 POC of 48 percent, but I can't get it over.  
4 Maybe I need to look at this harder and is  
5 there hot particles involved. Is the process,  
6 does it have hot particles related to it? I  
7 just assume they would pick that up and follow  
8 that thread.

9 **MR. HINNEFELD:** I think there's some  
10 discussion for us to pursue with the ORAU dose  
11 reconstructors who are perhaps more expert on  
12 this if you do it a lot. So, I mean, we can  
13 pursue that some more and look at the  
14 suggestions in the report and see what in  
15 there might lend itself. I mean, we may be  
16 getting into a situation where we can't  
17 reconstruct the skin dose.

18 **DR. NETON:** How do you prove a negative?  
19 It's the same old issue.

20 **DR. MAURO:** This is really a question that -  
21 -

22 **DR. ZIEMER:** I'd like to ask, I don't  
23 understand the SC&A finding, that it's a  
24 yes/no basis. What does that mean? Because  
25 if someone is working with a beta emitter,

1           let's say it's P-32, you're going to monitor  
2           him with a film badge, and you're going to  
3           get, you can get the skin dose values, and  
4           usually you're doing extremity measurements on  
5           many beta emitters anyway, so you have an  
6           extra sort of check on that.

7                     If they're getting hot particles, if  
8           you can't pick it up with a scan, I mean, hot  
9           particles are exactly what they're talking  
10          about. They are not uniform contamination.  
11          Usually a tiny particle, and it's very hot,  
12          and it's very easy to detect normally and set  
13          off a monitor. So then the problem on hot  
14          particles has been for those who have found  
15          them on their skin they worked with them on  
16          there all day.

17                    How do you figure out dose for that?  
18          What is that? A concept of dose average is  
19          stuff over sort of big areas, and the  
20          arguments on hot particles has been how do you  
21          figure out the dose? Usually you know there's  
22          been hot particles.

23                    I mean, there are very few cases where  
24          people haven't known, they just don't know how  
25          to go about calculating the dose from that in

1 a way that's meaningful. If you got skin  
2 cancer from the hot particle, and the particle  
3 is here, you better not get skin cancer  
4 somewhere else and attribute it to that  
5 particle. But anyway, that's beside the  
6 point, but what does it mean about the yes/no  
7 business?

8 **DR. MAURO:** The yes/no means if you get a  
9 positive reading on your film badge or beta  
10 emitters, well, that's a yes, and it's  
11 unambiguous, and the answer yes. This person  
12 was exposed to a beta exposure. But when you  
13 get less than a detectable level on your film  
14 badge, that doesn't mean that there may have  
15 been parts of your body, either localized or -  
16 -

17 **DR. ZIEMER:** Oh, I see what you're saying.  
18 And that per se doesn't rule out hot  
19 particles.

20 **DR. MAURO:** Right, so in other words when  
21 you --

22 **DR. ZIEMER:** So the hot particle issue  
23 usually is being detected in other ways.

24 **DR. MAURO:** It's more than, in other words  
25 basically, it's possible to get a localized

1 exposure to a portion of the body from a beta  
2 emitter whether it's from a hot particle or  
3 just a source that might be close to a part of  
4 your body and still get a negative reading on  
5 their film badge. And so when you get a no,  
6 when you get no, zero, for a beta exposure, it  
7 doesn't, there's not very convincing that  
8 means you didn't get any beta exposure. I  
9 guess that was the point I'm really making.

10 **DR. ZIEMER:** But for it to be significant,  
11 you've got to be able to get by scanners.

12 **DR. MAURO:** Well, we ran --

13 **DR. ZIEMER:** Not everybody scans.

14 **DR. MAURO:** Once you, for example, when you  
15 postulate a certain particle size specific  
16 activity of a beta emitter. We ran a VARSKIN.  
17 We could run MCNP. You could predict what the  
18 localized dose is, the tissue beneath the  
19 particle. So I mean, this could be done.

20 Our concern with this procedure, I  
21 guess, goes to how do you deal with the fact  
22 that some people may have gotten some hot  
23 particles that were not detected, and later on  
24 they come down with a skin cancer. And quite  
25 frankly, I mean, whether or not it's adequate

1 to argue, well, we never saw it on the frisker  
2 so therefore, it's not an issue.

3 If that's satisfactory to the working  
4 group, that's fine. But to me I would say it  
5 really goes to the question of is it  
6 commonplace for a person to miss something on  
7 the scanning process? Is it possible? Is  
8 that a plausible scenario?

9 **DR. ZIEMER:** I think it is for an  
10 individual, but places that have had hot  
11 particles it's usually showing up in the  
12 system. It's showing up in the laundry  
13 system. It's showing up amongst their  
14 coworkers. So I think you would have to look  
15 at the total system on that.

16 **DR. MAURO:** I think that may be all we're,  
17 maybe that's what needs to be said in the  
18 procedure. That is that there's a --

19 **DR. ZIEMER:** So if someone gets skin cancer,  
20 you sort of ask the question are they working  
21 an area where that could have been a  
22 consideration. I see what you're saying.

23 **MR. SMITH (by Telephone):** Well, but that's  
24 why the OTIB has a section on non-uniform  
25 exposure of the skin.

1           **DR. NETON:** Isn't that really more --

2           **MR. SMITH (by Telephone):** And it summarizes  
3 much of what Dr. Ziemer just said.

4           **DR. NETON:** In terms of how you calculate  
5 the dose though, right?

6           **MR. SMITH (by Telephone):** Correct. And in  
7 addition, the gentleman was speaking to the  
8 professional judgment. That's what occurs as  
9 the DRs go through it, and Scott can attest to  
10 that.

11          **MS. MUNN:** So is there an action here?

12          **MR. ELLIOTT:** I think we ought to look at  
13 our language in the guidance that we give,  
14 maybe be a little more clearer or a little bit  
15 more proscriptive in what happens if the  
16 claimant, the energy employee, was in a  
17 process or an operation, perhaps had a hot  
18 particle circumstance.

19          **MS. MUNN:** Look at it and report back to us.

20          **DR. WADE:** This 17 three?

21          **MS. MUNN:** Seventeen three, correct.

22          **DR. MAURO:** Plus four and five I believe,  
23 too.

24          **MS. MUNN:** Does NIOSH agree to that? Four  
25 and five?

1           **MR. HINNEFELD:** They're all the same.

2           **DR. ZIEMER:** Yeah, they're all related.

3           **MS. MUNN:** All right. Six, we get to  
4 dosimetry recorded LODs.

5           **MR. HINNEFELD:** This speaks about adjustment  
6 of limited detection based on the type of  
7 radiation this badge was exposed to and its  
8 reaction to that, and how was it calibrated  
9 versus what it was exposed to. Is that where  
10 we're going here? I'm having trouble from the  
11 page, from page 77 of the report as to where  
12 exactly where this finding appears on here.

13           **DR. MAURO:** I am at a bit of a loss to help  
14 out here. I see that you have responded, an  
15 adjustment to the LOD is needed, but  
16 technically, it isn't stated in this section.  
17 So apparently --

18           **MR. ELLIOTT:** So we've accepted your  
19 comment?

20           **DR. NETON:** No, I think we did an  
21 adjustment.

22           **MR. ELLIOTT:** Oh, we've already done an  
23 adjustment.

24           **DR. MAURO:** So you're saying it has been  
25 done?

1           **DR. NETON:** Well, that's the way I read this  
2 is apparently they're saying that the LOD  
3 should be used, and it reads to me that some  
4 adjustment has been made to compensate for the  
5 over response of the dosimeter's beta  
6 particles. I can't be sure of that, but  
7 that's --

8           **MR. HINNEFELD:** I think it's the old ^  
9 approach.

10          **MS. MUNN:** Are we still talking about beta  
11 particles here?

12          **MR. HINNEFELD:** Well, we're talking about  
13 shallow dose measuring which may be beta  
14 particles or may be low energy photons. And  
15 so the question relates in the TIB there is a  
16 discussion about how much, if you're under 30  
17 keV photon dose, and you're using film  
18 calibrated with, say, a higher energy photon,  
19 the low energy photon, the fact the film would  
20 over-respond a lot to the low energy photon.

21                   And so I think what the TIB contains  
22 is a sort of a reminder to that effect is that  
23 when you're using, it may not be acceptable to  
24 use an LOD at face value depending upon how it  
25 was calibrated, and what it was exposed to.

1                   And there may be some need to adjust an LOD  
2                   when you're assigning missed dose LOD over two  
3                   missed dose. So I think that's the statement  
4                   that this is addressing. And I'm not exactly  
5                   sure though what, well, yeah, I guess the  
6                   finding is that says use the LOD.

7                   And I think our response is it's  
8                   important before you just use the LOD, you  
9                   know, how was that LOD arrived at based on the  
10                  calibration badge, and too, what was the ^ of  
11                  the badge exposed in the field. So it doesn't  
12                  necessarily automatically translate the  
13                  published LOD would be the correct one to use.  
14                  So that's what our response is.

15                 **DR. ZIEMER:** Which way do you correct it?

16                 **MR. HINNEFELD:** Well, if the LOD for the  
17                 badge were --

18                 **DR. ZIEMER:** Let's say it's a certain amount  
19                 of blackening, and if that's done by betas,  
20                 that certain amount of blackening actually  
21                 represents a lower dose than had it been  
22                 gammas.

23                 **DR. NETON:** Because this is for a low energy  
24                 photon.

25                 **DR. ZIEMER:** Yeah.

1           **MR. HINNEFELD:** Yeah, the same way.

2           **DR. ZIEMER:** Or a lower energy photon.

3           **MR. HINNEFELD:** If you have a badge --

4           **DR. ZIEMER:** Your LOD is really a certain  
5 amount of darkening.

6           **MR. HINNEFELD:** Yes, and if it was  
7 calibrated, and the LOD was determined based  
8 on an exposure to, say, a cesium source, then  
9 that amount of darkening, if you were exposed  
10 to a low energy photon, you would have a much  
11 smaller dose so it would be an adjustment  
12 downward, yes.

13           **DR. ZIEMER:** Yeah, that's what I'm saying.  
14 And they seem to be saying use the actual LOD  
15 because it's more claimant favorable.

16           **MR. HINNEFELD:** Yeah, it would be.

17           **DR. ZIEMER:** It looks to me like if you  
18 assigned the higher dose --

19           **DR. NETON:** The badge would over-respond at  
20 low energies, right?

21           **MR. HINNEFELD:** Yeah.

22           **DR. NETON:** So the dose would be --

23           **DR. ZIEMER:** No, it's the other way. If it  
24 over-responds, it takes less dose to give you  
25 that response.

1           **DR. NETON:** Right, so the measured dose  
2 would be higher.

3           **DR. ZIEMER:** No, they're assigning an LOD.

4           **MR. HINNEFELD:** What they're saying, what  
5 apparently is being done here is a  
6 recommendation to adjust the LOD downward  
7 because it was calibrated to a high energy  
8 photon, but it was exposed to a low energy  
9 photon. So the LOD should be adjusted  
10 downward from the one that was calculated to  
11 the high energy photon. That appears to be  
12 what is being said.

13           ^: That is correct.

14           **DR. ZIEMER:** You're assigning less dose, so  
15 they seem to be saying assign the LOD because  
16 you will be assigning a higher dose. I guess  
17 that's what they're saying. And you're  
18 saying, well, they're assigning the correct  
19 amount.

20           **MR. HINNEFELD:** Our view is that we're  
21 trying to assign the correct missed dose.

22           **DR. MAURO:** It sounds like I can't answer  
23 this, whether or not, it sounds like there is  
24 a reasonable answer, response to the concern  
25 here. The ball's in our park to make sure

1 that this answer is satisfactory. I can't  
2 speak to it off the top of my head.

3 **DR. ZIEMER:** I'm trying to understand  
4 whether your recommendation is just in order  
5 to be more claimant favorable as opposed to a  
6 technical reason.

7 **DR. MAURO:** Yeah, I have to say this is a  
8 bit of a brain teaser because of the low  
9 limits of detection, calibrated with a higher  
10 energy photon. And the question is, and right  
11 now you have an adjustment factor to increase  
12 --

13 **DR. NETON:** No, you reduce the LOD. The  
14 efficiency of the measurement is much greater  
15 for lower energy photons.

16 **DR. ZIEMER:** It doesn't take as much dose to  
17 get that minimum detection.

18 **DR. NETON:** ^ that predominates is just a  
19 huge absorption.

20 **MS. MUNN:** So you'll have the action to come  
21 back to us on 06, 06.

22 **DR. MAURO:** We have the action.

23 **MS. MUNN:** Finding seven.

24 **MR. HINNEFELD:** Finding seven has to do with  
25 what thickness of clothing is likely to cover

1 a particular target. Shallow dose and why use  
2 four millimeters. The author measured his  
3 clothing using a micrometer and arrived at  
4 four millimeters. So it's an actual  
5 measurement of the clothing being worn. He  
6 made sure he did this at home, not in the  
7 workplace. So it's an actual measurement of  
8 the clothing.

9 **MS. MUNN:** Is that acceptable, SC&A?

10 **DR. ZIEMER:** Do we need to specify whether  
11 it was Jockey's or Hanes?

12 **MS. MUNN:** And was the t-shirt tucked in or  
13 out?

14 **MR. SMITH (by Telephone):** It was Hanes and  
15 Levis.

16 **DR. ZIEMER:** Levis are too thick.

17 **MS. MUNN:** Well, no, it sounds likely from  
18 most sites that I'm familiar with.

19 Is that acceptable, John?

20 **DR. MAURO:** Yes.

21 **MS. MUNN:** Finding number eight.

22 **DR. MAURO:** Oh, this goes again to the fact  
23 that in the case of ^, well, I guess it goes  
24 to this business of where the organ of concern  
25 is relative to where the film badge is, and

1                   whether it's beta or photon there is this  
2                   issue. And this has come up before.

3                   **MR. HINNEFELD:** I think that that has to be,  
4                   I mean, that's something that can't be  
5                   ignored, you know, depending on where is the  
6                   cancer, and where was the badge particularly  
7                   in a beta dose environment. And there have  
8                   been some doses, sort of a badge geometry  
9                   thing. We've made some site specific  
10                  adjustments in some cases or case specific  
11                  adjustments in some cases. So it's in there.  
12                  This OTIB may not address it in detail, or it  
13                  may in fact. I'm not even sure. It's  
14                  certainly something that's considered in dose  
15                  reconstruction.

16                  **MR. PRESLEY:** I thought you all were going  
17                  to come up with a, some type of an overall  
18                  statement.

19                  **MR. HINNEFELD:** Well, that was about hot  
20                  particles.

21                  **MR. PRESLEY:** Oh, was that just for hot  
22                  particles?

23                  **DR. NETON:** No, it was also for photon  
24                  exposures, remember?

25                  **MR. HINNEFELD:** Yeah, right.

1           **DR. NETON:** We were going to take the  
2           Mallinckrodt experience and make a generic.  
3           That was not intended to address, at least in  
4           my mind, non-uniform beta exposures. A  
5           classic example was a picture of a guy at  
6           Fernald sleeping on the ^. I'm not saying it  
7           shouldn't be done, but it wasn't going to be  
8           ^.

9           **DR. MAURO:** I know that non-uniform exposure  
10          is addressed in other OTIBs. I've seen that.  
11          Now you say does this particular guideline  
12          cross-reference it or is it silent on this  
13          issue?

14          **DR. NETON:** It's silent.

15          **DR. MAURO:** It's silent. Okay, I guess  
16          that's the issue.

17          **MR. HINNEFELD:** Well, it's got a section  
18          that says non-uniform exposure to skin.

19          **DR. NETON:** What I was speaking of it's not  
20          cross-referenced to the non-uniform documents  
21          that we have, and possibly it should.

22          **DR. MAURO:** We run into this often except  
23          for, you know, we're reviewing the particular  
24          document, and if we see it's silent on an  
25          issue, the question becomes should it cross-

1 reference other places where that issue is  
2 more thoroughly addressed.

3 Or should we assume that, especially  
4 if we're aware that the issue is addressed  
5 some place else, even though it's silent in  
6 the particular procedure, but we know because  
7 we've been looking at all this stuff that we  
8 know is addressed somewhere else, is it  
9 reasonable to assume that the dose  
10 reconstructor is aware of that and will use it  
11 accordingly? Or should the policy be no,  
12 there should be some explicit statement in  
13 here in the section on non-uniform exposure to  
14 refer the dose reconstructor to this other  
15 guidance that would help him deal with that  
16 issue? That's really the question that --

17 **MR. HINNEFELD:** Well, it's a reasonable  
18 question, I guess. We consult with dose  
19 reconstructors and see whether or not that  
20 kind of a statement in here would be helpful  
21 in that application. To me, I mean, basically  
22 it seems like it would be helpful in actuality  
23 if the dose reconstructor is using mainly  
24 tools for dose reconstruction and can choose  
25 various options and tools, then the words in

1 this OTIB would be even less important.

2 **MR. ELLIOTT:** It could be restraining, too.

3 **DR. MAURO:** This is a difficult question  
4 because your OTIBs, your procedures, your  
5 site, I mean, is a living process where you're  
6 adding, you're refining and building this  
7 collection of guidelines. Is it incumbent to  
8 make sure that all guidelines are  
9 appropriately cross-referenced to all other  
10 guidelines as appropriate a burden that would  
11 be quite burdensome to be able to do that?

12 Or is it reasonable to say, listen, we  
13 realize that every, you know, there is a need  
14 to, the dose reconstructor has to be cognizant  
15 of the full sweep of guidance available to him  
16 and the very fact that this particular  
17 guidance, OTIB-0017, doesn't cross-reference  
18 anything to other documents that might be  
19 useful, that's not a deficiency. And I think  
20 that's an important question I believe for the  
21 working group or the Board to judge.

22 I mean, all we're doing is pointing  
23 out that in this particular case, dealing with  
24 non-uniform exposures and how to best deal  
25 with that is not described at a level of

1 detail that stands alone. And the question  
2 becomes is it really a policy question.

3 **MS. MUNN:** It is a policy question.

4 **DR. MAURO:** It is, yes.

5 **MS. MUNN:** To the best of my knowledge, we  
6 haven't addressed it very fully. It's always  
7 an issue of efficiency as well as a question  
8 of completeness to be able to identify that  
9 whoever is doing the work is fully aware of  
10 all of the items that need to be referenced,  
11 whether they are specifically referenced or  
12 not. So it's, has NIOSH discussed this  
13 internally with regard to how best to address  
14 the cross-referencing issue?

15 **DR. NETON:** I don't think we have. I mean,  
16 clearly we're comfortable with the way it's  
17 organized now which is sort of a tier-down  
18 approach. I would suggest that again, this is  
19 an issue where the proof is in the dose  
20 reconstructions. Now, are there instances  
21 where we have site-specific TIBs that were  
22 ignored because the generic guidance was  
23 applied and ignore a more specific approach  
24 that was outlined for geometry or a site? So  
25 I think, I agree with John. It would be

1                   cumbersome and burdensome to have to go back  
2                   and continue to cross-reference all of the  
3                   procedures against each other.

4                   **MR. HINNEFELD:** I'd say in practice we rely  
5                   on the training of the dose reconstructors  
6                   when the documents are generated, and there's  
7                   a training determination that is training  
8                   needed in the case of the document revision  
9                   came out.

10                   And so the training of the dose  
11                   reconstructors as well as their peer reviewers  
12                   and the leadership of the dose reconstruction  
13                   team leaders, you know, we kind of rely on  
14                   that system as opposed to this interlocking  
15                   referencing system, you know, referring back  
16                   and forth to various technical documents.  
17                   It's a relatively dynamic and popping up all  
18                   the time.

19                   So that's what we're doing now, and if  
20                   it's, and I think like Jim said, if it shows  
21                   on dose reconstructions that people are  
22                   missing instructions, well then maybe what  
23                   we're doing isn't good enough. But in a  
24                   procedure review it's hard to determine  
25                   exactly which is better.

1           **MR. ELLIOTT:** I think the proof of that  
2 pudding is we haven't seen it come out in our  
3 technical peer reviews.

4           **MR. HINNEFELD:** Well, we would not know what  
5 was found in ORAU's technical peer review.

6           **DR. NETON:** You mean NIOSH.

7           **MR. ELLIOTT:** You mean in our --

8           **MR. HINNEFELD:** In our reviews. I don't  
9 know that we have. I hesitate to sit here and  
10 say that I know for sure what the suite of our  
11 comments have been on dose reconstruction  
12 reviews. What the ^.

13           **MS. MUNN:** The real question then becomes  
14 what can we do to reassure this group right  
15 here that the training is adequate enough that  
16 we don't have to worry about the individual  
17 dose reconstructor being fully aware of all of  
18 the material that's necessary and available to  
19 them to make these --

20           **MR. ELLIOTT:** Again, it goes back to what  
21 Jim said. It's in the evaluation completed  
22 dose reconstructions.

23           **DR. NETON:** It's certainly a big part of it  
24 in my mind.

25           **MR. ELLIOTT:** I mean we could go back and

1 talk to our ORAU dose reconstructors and get a  
2 sense from them as to how they feel toward  
3 this. I mean, are they comfortable with the  
4 status quo or do they see that this might be a  
5 benefit given the increasing suite of tools  
6 that are being used. I don't know. That's  
7 one thing we could do, I guess.

8 **DR. NETON:** I think one thing we haven't  
9 talked about is the workbooks which tend to  
10 automate a fair amount of these approaches  
11 when you're doing certain, when new things  
12 come online, they are incorporated into  
13 workbooks to a very large extent which takes  
14 some of the burden --

15 **MR. ELLIOTT:** Scott or Matt, do you have a  
16 gut sense of what the reaction would be from  
17 the dose reconstruction teams?

18 **MR. SIEBERT:** Personally, I haven't heard it  
19 being an issue that people are saying I can't  
20 keep up with everything going on. I mean,  
21 there's always a lot going on, but I haven't  
22 heard general tendencies from the group  
23 saying, and we haven't, as far as I know and  
24 like Stu said, you can't run the breadth of  
25 the comments, but I don't, I haven't noticed a

1 trend of those types of comments coming back.

2 **MR. FARVER:** Is there a training requirement  
3 for an OTIB?

4 **MR. SIEBERT:** Yeah, there's training  
5 required.

6 **MR. FARVER:** Then I assume there's a  
7 training record that shows that the  
8 dosimetrist was trained.

9 **MR. SIEBERT:** Yeah, you can always go back  
10 and look at the training record.

11 **MR. FARVER:** I'd say as long as they'd been  
12 trained for the OTIBs that apply to their  
13 site, that would be a verification.

14 **MR. PRESLEY:** Also, each one of your, each  
15 procedure you do, you don't have a checklist  
16 that you check off when you're through that  
17 says I did this. I did this. I did this. I  
18 did this.

19 **MR. SIEBERT:** There's a peer review  
20 checklist to make sure everything was covered.

21 **MR. PRESLEY:** Yes.

22 **MS. MUNN:** Yeah, and it's worked very well  
23 and had a high level of performance and  
24 accuracy. I guess some of us have undoubtedly  
25 relied on the knowledge that the workbooks

1           that have been produced would incorporate all  
2           of the information that any dose reconstructor  
3           would need. I can't get clear in my mind what  
4           we would need to do or what we could do that  
5           would answer the direct question as to whether  
6           we need to pursue the possibility of a cross-  
7           referencing policy. It seems to be working  
8           all right.

9           **MR. PRESLEY:** Yeah, I think you've got a  
10          process. It seems to be working. I think we  
11          have something we don't need to fix if we  
12          don't have a problem.

13          **DR. MAURO:** It seems that beta exposure,  
14          skin cancer, is extremely prevalent, and a  
15          very difficult thing to reconstruct. That's  
16          the sense I get from reading the procedures,  
17          my own knowledge of the subject. And in order  
18          to make sure that a person that is a claimant  
19          with a skin cancer, especially since skin  
20          cancer isn't covered by, for example, SECs,  
21          this is in my mind a particularly important  
22          assurance that if there are holes in the  
23          process whereby you could miss some doses to  
24          the skin from either calibration of the  
25          dosimeters from hot particles, localized

1 irradiation of the skin, that needs to be rock  
2 solid because it's one of the tougher ones.

3 It's like neutron dosimetry. It's as  
4 difficult as that, making sure that you  
5 haven't missed important doses. I guess  
6 that's, and all you're really looking at now  
7 in one after the other after the other is Dr.  
8 Hunt's experience in struggling with doing  
9 dosimetry for ^ . That his life's experience  
10 has been dealing with that issue. So what  
11 you're looking at is that life's experience.

12 **MS. MUNN:** But, John, we're back here to the  
13 geometry issue on this particular one --

14 **DR. ZIEMER:** Are we still on eight?

15 **MS. MUNN:** We're still on eight, and we're  
16 still talking about geometry.

17 **DR. ZIEMER:** Eight doesn't seem to be  
18 talking about skin cancer, does it?

19 **MS. MUNN:** No, it's geometry.

20 **DR. ZIEMER:** It's talking about breast  
21 cancer and testicular and geometry correction  
22 factors, and --

23 **MR. SMITH (by Telephone):** I can interject a  
24 little bit on this if you like.

25 **MR. HINNEFELD:** Go ahead, Matt.

1           **MR. SMITH (by Telephone):** In this section  
2 there was prepared correction factors to deal  
3 with different beta energies and exposure to  
4 breast, penis and testicles. So what would  
5 happen here is actually some modeling. So  
6 that's why we state regarding the geometry  
7 issue isn't really relevant here because this  
8 is a table that was put together based on some  
9 modeling -- I believe these are VARSKIN 3 --  
10 just to come up with some correction factors.  
11 So it was an empirical calculation that was  
12 going on.

13           **DR. ZIEMER:** Well, that's what I was  
14 wondering is that --

15           **DR. NETON:** Well, that's missing the point  
16 of the comment though. I think the comment is  
17 related to the geometry issue I believe which  
18 is film badge which is located near the breast  
19 would actually record the dose more accurately  
20 than if the testes were exposed,  
21 notwithstanding the fact that there are  
22 different depths of energy which one needs to  
23 calculate that which is the modeling that Matt  
24 referred to. But I think my take on his  
25 comment was that it's a geometry comment, not

1 an energy ^.

2 **DR. ZIEMER:** But that's always the case --

3 **MR. SMITH (by Telephone):** Could be and, you  
4 know, again, the reference is given to check  
5 on page seven where geometry is discussed in  
6 the OTIB.

7 **DR. ZIEMER:** Yeah, that's what we were  
8 trying to find here, whether that had to do  
9 with the --

10 **MR. SMITH (by Telephone):** In the OTIB  
11 itself there is a section on exposure  
12 geometry. In the way this book's put together  
13 there are not numbers in front of each  
14 subsection. You'll find it on page seven.

15 **MS. MUNN:** But somehow we've gotten away  
16 from the issue of this particular finding and  
17 have gotten into the policy realm with whether  
18 or not we should be cross-referencing items.  
19 Let's --

20 **DR. ZIEMER:** We still need to know whether  
21 does the OTIB speak to the geometry between  
22 the badge and the organ of interest? I mean  
23 you always have that issue for everything. Is  
24 that what the comment is? It's --

25 **DR. MAURO:** Yes, the comment goes toward

1 that. The question is is there adequate  
2 guidance in this particular OTIB --

3 **DR. ZIEMER:** There is an exposure geometry  
4 statement in this.

5 **MR. HINNEFELD:** There's a paragraph that  
6 just says you have to worry about this.

7 **DR. MAURO:** And I know there are other  
8 documents, and it gets back to there are other  
9 documents, which for example, I know there's  
10 one that has two between if you're working at  
11 the glove box, between the ^ and let's say the  
12 waste. So there's some specific guidance  
13 there.

14 Now in this case the question becomes  
15 the fact that this problem exists, and you  
16 alert the dose reconstructor to the fact that  
17 this problem exists, is that sufficient.  
18 Okay, he's aware of it, do you give him any  
19 further guidance or do you leave it up to his  
20 own skills in order to make the appropriate  
21 corrections and deal with this problem?

22 **MS. MUNN:** And what we were saying earlier I  
23 believe is that to this point training and  
24 workbook accessibility has taken care of that  
25 issue.

1           **MR. HINNEFELD:** And I believe, again, dose  
2 reconstructors don't work by themselves. You  
3 know, they have a team leader. They work on a  
4 team that's familiar with the site. They  
5 discuss what are the things we run into, and  
6 what are the approaches to solve those. So  
7 those things are, I believe, are addressed so  
8 it's addressed in the system.

9           **DR. ZIEMER:** I'm looking at this thing now,  
10 and there is some guidance in here as to when  
11 no correction should be made, and when  
12 correction should be made. So I, it appears  
13 to me that there is a sort of generic guidance  
14 already there. Obviously, it has to look at  
15 the particular --

16           **MR. HINNEFELD:** It's essentially a warning  
17 to figure it out. Now remember every, a dose  
18 reconstructor can always put a case on a  
19 technical hold and say there is some research  
20 that needs to be done. Actually, the  
21 reconstructor would probably be able to do it  
22 himself, but with the team leader, that's  
23 probably what happens. Say look, there's some  
24 research here that we need to get in order to  
25 do this case.

1                   And they'll put it on a technical hold  
2                   until that research and approach is completed.  
3                   So a dose reconstructor doesn't have to charge  
4                   off and invent something on his own and  
5                   wouldn't. He would consult with the, if he  
6                   doesn't know the approach. If he doesn't know  
7                   what we're doing in this case, he would  
8                   consult with his team leader or the peer  
9                   reviewers and the principal external  
10                  dosimetrist to say, okay, what are my options  
11                  here because the badge reading's not good  
12                  enough.

13                  So there are ways to do that, but I  
14                  don't know that we can specify them here  
15                  because they're so case specific and the  
16                  aspects of the site enter into it as well.  
17                  But this is essentially an admonition. The  
18                  fact that, yes, there is not specific guidance  
19                  here, but it's an admonition that prevents a  
20                  dose reconstructor from just saying the badge  
21                  said this so that's what I'm using.

22                  So it's sort of a, to me it's a help  
23                  to say, okay, don't do it wrong. So it may  
24                  not say, essentially it doesn't say, but  
25                  essentially it says you may have to get help

1 to do it right, but don't do it wrong.

2 **DR. MAURO:** When these circumstances arise  
3 as you just described, and then let's say we  
4 can go back to a case, a real case, and go  
5 into his record and the rationale for the  
6 assumptions made, I know when I look into some  
7 of these dose reconstructions -- and you have  
8 a lot more than I have -- sometimes it's not  
9 apparent of the rationale behind what was  
10 done. And it is a bit of a struggle I know on  
11 our end to, and there may be good reason.

12 As a person that's done a lot of the,  
13 that's ^ some skin dose reconstructions, is it  
14 in your experience -- or, Hans, on line --  
15 that when you go back and look into the  
16 records that the rationale behind the  
17 assumptions made for dealing with questions  
18 like this, are they transparent to that? Is  
19 it self evident? Oh, yeah, they did take this  
20 into consideration, and this is what they did  
21 to factor in that particular issue. You're  
22 saying in the end the dose reconstruction's  
23 done correctly.

24 **MR. HINNEFELD:** Well, yeah, I believe  
25 probably they are.

1           **MR. FARVER:** Well, when they do the skin  
2 doses that I remember looking at you have your  
3 OTIB which then refers you where you go to the  
4 more or less a technical basis document, and  
5 that will provide you with more specific  
6 information. Like you said our hardest part  
7 is just going backwards and trying to figure  
8 out where they got it. It's not that they got  
9 it wrong. It's where did they get it. But,  
10 yes, a lot of times it does come from multiple  
11 places.

12           **MR. HINNEFELD:** I think we've struggled with  
13 this from the start is how fully can we  
14 explain the dose reconstruction. And we've  
15 not given up on the idea that a different dose  
16 reconstruction format, you know, with a  
17 section for the claimant, that the claimant  
18 had a hope of reading, and a section for a  
19 technical reviewer. We've not given up on  
20 that. It's been held in abeyance for money  
21 reasons, but it's costly.

22                   It's a costly thing to do. But it's  
23 kind of part of that is that how much do you  
24 explain in the dose reconstruction report  
25 without just completely intimidating the

1 claimant into saying, well, they're just  
2 trying to, you know, they're just messing with  
3 me.

4 **DR. MAURO:** Oh, I'm not saying it should be  
5 in the dose reconstruction report.

6 **MR. HINNEFELD:** So you think supporting?

7 **DR. MAURO:** Yeah, I'm saying that --

8 **MR. HINNEFELD:** Supporting information.

9 **DR. MAURO:** Yeah, when either SC&A in doing  
10 its audits or even your own technical people  
11 doing their independent reviews, there should  
12 be a story told where the rationale for the  
13 judgments that are made in accordance with  
14 your procedure.

15 **DR. NETON:** That kind of defeats the whole  
16 purpose of having procedures though. If  
17 you're doing it per procedures that are out  
18 there for the world to see and only technical  
19 people can probably understand them, then once  
20 you start explaining what the procedures mean  
21 --

22 **DR. MAURO:** No, no, I'm saying that I agree  
23 with you. I'm saying though that, okay, in  
24 this particular, for example, in a dose  
25 reconstruction for a person with a skin cancer

1                   whereby you're following OTIB-0017, you get to  
2                   the point where you have to take and say, yes,  
3                   there could be a concern regarding localized  
4                   exposure. There's in my mind in the dose  
5                   reconstruction record describing the  
6                   assumptions that were made to deal with that  
7                   issue, there should be something in there that  
8                   says this is how I dealt with this issue, or I  
9                   used this procedure.

10                  **DR. NETON:** More often than not what's going  
11                  to happen as Stu explained, there's going to  
12                  be a technical hold. There'll be a panel  
13                  convened to do a technical approach to a TIB  
14                  issue. I just made a list here. We've done  
15                  this, every time there's a unique exposure  
16                  geometry, the glove box TIB that was issued,  
17                  overhead piping, contaminated plane  
18                  geometries.

19                  These are things that come up that are  
20                  solved technically by our staff, and then for  
21                  the world to see we say, okay, well, this is  
22                  how we handled it, and then the dose  
23                  reconstruction would reference TIB whatever.

24                  **MR. FARVER:** And most of the time they do.  
25                  Sometimes they don't. Sometimes they do it

1                   correctly and just forget to reference the  
2                   TIB.

3                   **DR. NETON:** And that happens, but that's a  
4                   valid comment then if it's not --

5                   **MS. MUNN:** So finding 08 which is  
6                   specifically about geometry, how do you find?

7                   **DR. ZIEMER:** Well, I just want to say that I  
8                   think the NIOSH response is appropriate.

9                   **MS. MUNN:** I do, too.

10                  **DR. ZIEMER:** It references on page seven  
11                  certain things that they are to do. It is  
12                  somewhat generic, but it does address the  
13                  geometry issue and I think for the nature of  
14                  this OTIB it's appropriate. That's my  
15                  opinion.

16                  **MS. MUNN:** I do as well.

17                  **DR. MAURO:** Well, I'm not --

18                  **MS. MUNN:** Can SC&A accept that?

19                  **DR. MAURO:** This is a judgment call and what  
20                  you're saying is we have a pointer in there  
21                  that just alerts, and what I'm hearing is that  
22                  there's a process in place that that pointer  
23                  is sufficient to make sure that the dose  
24                  reconstructor is alerted to this issue, and he  
25                  knows what to do from there on because of his

1 training.

2 **MR. HINNEFELD:** And he can find out what to  
3 do. He has colleagues. He has supervisors.

4 **DR. MAURO:** And that's the answer.

5 **MS. MUNN:** Now ladies and gentlemen, friends  
6 and colleagues, it has become what I  
7 anticipated the witching hour would be, and we  
8 are nowhere near where I had hoped we might  
9 be. We're in the midst of one OTIB that I  
10 hoped we would complete, but we still have,  
11 we're only halfway through it. What is your  
12 pleasure? Do you want to take a 15-minute  
13 break or do you want to stop where we are now?

14 We have some housekeeping issues that  
15 we have to take care of before we walk out the  
16 door. My preference would be to stop what  
17 we're doing at this juncture, make note of  
18 where we are, anticipate picking this up in  
19 December at our face-to-face meeting together  
20 with the additional items that we have. At  
21 this time review our action items, get out our  
22 calendars and make some date commitments for  
23 each other and call it a day.

24 **DR. WADE:** Who could argue with the wisdom  
25 of the Chair?

1                   **MS. MUNN:** Do I hear any disagreement?

2                   (no response)

3                   **RECAP OF ACTION ITEMS**

4                   **MS. MUNN:** That being the case I have listed  
5                   about 11 action items I think that Dr. Wade  
6                   has been good enough to record them for me.

7                   **DR. WADE:** Do you want me to read them?

8                   **MS. MUNN:** Yes, please do, briefly.

9                   **DR. WADE:** Remember back to the morning.  
10                  NIOSH will report summary PER data to the  
11                  Board during regularly scheduled program  
12                  updates.

13                  Next item, NIOSH will send revisions  
14                  of OCAS OTIBs six, seven and eight to SC&A and  
15                  the work group.

16                  NIOSH and SC&A will discuss OCAS OTIB-  
17                  0006 and -0007 to determine if they need to be  
18                  reviewed as quote documents modified as a  
19                  result of this review or as new documents.

20                  SC&A will review the modified OCAS  
21                  OTIB-0008 and either six or seven if those  
22                  documents are determined to be documents  
23                  reviewed as the result of this review or await  
24                  work group instruction if either six or seven  
25                  are to be considered new documents.

1                   The science issue on ingestion will be  
2 presented to the Board during the January  
3 meeting.

4                   SC&A will prepare a working matrix of  
5 their review of PROC-92 during this week.  
6 NIOSH will prepare a response to SC&A's review  
7 of PROC-02 by mid-November, and the work group  
8 will discuss that situation at the December  
9 work group meeting.

10                  SC&A has recommended consistent  
11 terminology for matrix titles. That was on  
12 the board. SC&A will modify all previous  
13 products consistent with this new terminology.

14                  SC&A will report a trial matrix  
15 worksheet package including the definition of  
16 templates to be reviewed by the working group  
17 at the December meeting.

18                  A small group consisting of NIOSH,  
19 SC&A and work group members will meet to  
20 explore the issues of updating and  
21 implementing the matrix worksheet approach.

22                  SC&A, particularly Arjun, will review  
23 the materials to determine if PROC-90  
24 captures, is based upon OTIBs four, five and  
25 17 or if it contains new procedures and should

1 be reviewed as a new document or whether the  
2 heading, PROC-90, can be used in the matrix to  
3 capture the findings of four, five and 17.

4 Further technical clarification  
5 discussions will take place between NIOSH and  
6 SC&A on OTIB-0023, particularly this relates  
7 to Findings 23-1 through eight, and NIOSH and  
8 SC&A will report on those technical  
9 clarification discussions to the work group in  
10 December.

11 **MS. MUNN:** I have November call.

12 **DR. WADE:** November call?

13 **MS. MUNN:** That's what I had originally.

14 **DR. WADE:** I don't have a November call.

15 **MS. MUNN:** We haven't scheduled it yet.

16 **DR. WADE:** Okay, I will change that to  
17 November.

18 NIOSH will reword its response to  
19 OTIB-0019, Finding OTIB-0019-1 to better  
20 reflect the actual procedure.

21 SC&A will prepare a one-page workup on  
22 the OTIB-0012 findings to be presented to the  
23 work group for consideration. The work group  
24 will decide if the findings in OTIB-0012  
25 should be added to the matrix.

1                   NIOSH will review the language  
2 relative to Findings 17, three, four and five  
3 and report to the work group.

4                   SC&A will review NIOSH's response to  
5 Finding 17-06 and report to the work group.

6                   That's all that I have.

7                   **MS. MUNN:** You have broken down into little  
8 pieces some of the larger ones that I had and  
9 with only one or two minor wording changes --

10                  **DR. WADE:** You, my lady, are a lumper. I am  
11 a splitter.

12                  **MS. MUNN:** Yes, there's no question about  
13 that. If you would be good enough to get me a  
14 copy of that electronically, or I will take  
15 that one if you want me to. We'll get that  
16 put together and out to everyone within the  
17 next few days.

18                  **FUTURE DATES AND MEETINGS**

19                  **DR. WADE:** Now we have the issue of dates  
20 and meetings.

21                  **MS. MUNN:** Yes, we do. The first date that  
22 I believe needs to be set -- let me get my  
23 calendar out -- is the one for the small group  
24 that's going to talk about how to track which  
25 revision of the matrix that's in hand at any

1 given time. We were going to have, that will  
2 be a conference call, I guess, for members of  
3 this group that want to listen in, but I'd  
4 hope it would be a conference call of people  
5 like you and me and --

6 **MR. PRESLEY:** Conference call or face-to-  
7 face?

8 **MS. MUNN:** Conference call.

9 **MR. PRESLEY:** That's good.

10 **DR. MAKHIJANI:** What date was being  
11 proposed?

12 **MS. MUNN:** Well, we don't have a date yet.  
13 We're looking at, unfortunately, I can't do  
14 that until toward the end of October. I'd  
15 like for that to take place much sooner than  
16 that but I can't be a part of that discussion.  
17 If it's not necessary for me to be on it then  
18 you folks could do that earlier. Otherwise, I  
19 would suggest November 1<sup>st</sup>? This is the small  
20 group call, and who all is going to be on  
21 that? John? Who from NIOSH?

22 **MR. HINNEFELD:** I should be there.

23 **MS. MUNN:** John, Stu, Bob, Wanda.

24 Lew, do you need to be on that?

25 **DR. WADE:** No, because I don't think it's

1 going to be a formal work group meeting.

2 **MS. MUNN:** No, it isn't. It's just a how  
3 are we going to do this.

4 **DR. WADE:** I'd say it would be better if I  
5 wasn't on it.

6 **MR. PRESLEY:** We'll talk about just the way  
7 that the form's set up?

8 **MS. MUNN:** Yeah, just the way the form's set  
9 up. Maybe by that time we'll already have a  
10 straw man to look at, think about.

11 **MR. PRESLEY:** Make darn sure whenever we set  
12 this, we've got something to look at at least  
13 three or four days prior to the phone call.

14 **DR. WADE:** So a call, no transcript of the  
15 call.

16 **MS. MUNN:** No transcript, just working out  
17 how we're going to track these new matrices  
18 that we're --

19 **DR. WADE:** Minutes kept of the call, brief  
20 findings of the call?

21 John, can you organize the call?

22 **MS. MUNN:** Brief meetings and organize the  
23 call, John.

24 **DR. MAURO:** Okay, I'll organize it, and if I  
25 could just get a list of the names at some

1 point whenever.

2 **MS. MUNN:** Yes, well, it's you, Stu,  
3 Presley, Munn.

4 **DR. WADE:** That was easy.

5 **MS. MUNN:** Yes, it was so far. How about  
6 2:00 p.m. eastern time? Okay?

7 (no response)

8 **MS. MUNN:** Now the next date that we need to  
9 set is the full work group's working call.  
10 Either the following week or the week of the  
11 11<sup>th</sup>, 12<sup>th</sup> in November. What is the  
12 availability of the people sitting around this  
13 table right now? Because they're the key  
14 people.

15 **MR. PRESLEY:** Which one did you say? The  
16 week of the 11<sup>th</sup>?

17 **MS. MUNN:** Either the week of the 4<sup>th</sup> or the  
18 week of the 11<sup>th</sup>.

19 **DR. NETON:** I'm out most of the week of the  
20 11<sup>th</sup>, but that shouldn't be the deciding  
21 factor.

22 **MS. MUNN:** Okay, there's no reason why we  
23 shouldn't. I'm looking at Thursday, the 8<sup>th</sup>.  
24 Is that a reasonable date?

25 **MR. PRESLEY:** I can be there up until three

1 o'clock that day. After that I have a  
2 planning commission meeting.

3 **MS. MUNN:** What if we backed off to  
4 Wednesday, the 7<sup>th</sup>?

5 **MR. PRESLEY:** Okay.

6 **MS. MUNN:** Wednesday, the 7<sup>th</sup>?

7 **MR. PRESLEY:** Is this going to be an all day  
8 conference call?

9 **MS. MUNN:** It will probably be at least four  
10 or five hours.

11 **DR. MAURO:** This is a conference call and  
12 not face-to-face?

13 **MS. MUNN:** Conference call, not face-to-  
14 face.

15 **DR. MAKHIJANI:** What's the agenda of that  
16 call would be? I wonder would I need to be on  
17 it. I don't think I'm available on the 7<sup>th</sup>.

18 **MS. MUNN:** I'll have to go back through the  
19 action items to identify what we said we would  
20 definitely cover on the 7<sup>th</sup>.

21 **DR. MAURO:** I assume it's a continuation of  
22 --

23 **MS. MUNN:** It's a continuation of that.

24 **DR. MAKHIJANI:** I don't think I need to be  
25 on this.

1           **MR. PRESLEY:** We'll start at nine, right?

2           **MS. MUNN:** Yep, we'll start at nine, and  
3 we'll have several items from our action list  
4 generated today.

5           **MR. PRESLEY:** We'll start on OTIB-0009. Is  
6 that correct?

7           **MS. MUNN:** We will start on action item,  
8 Finding number nine, OTIB-0017.

9           **DR. WADE:** So 9:00 a.m. to mercifully four?

10          **MS. MUNN:** Mercifully.

11          **DR. WADE:** But this will be a formal meeting  
12 of the work group, so we'll set it up and Ray  
13 will be with us telephonically, November 7<sup>th</sup>,  
14 9:00 a.m. to 4:00 p.m. eastern whatever.

15          **MS. MUNN:** No, not 9:00 a.m. I'm sorry.

16          **MR. PRESLEY:** You're not going to get up at  
17 six o'clock and start this thing?

18          **MS. MUNN:** No.

19          **DR. WADE:** Eleven.

20          **MS. MUNN:** Eleven's all right with me.

21          **DR. WADE:** Eleven to five eastern time.

22          **MS. MUNN:** And then we come to December and  
23 our next face-to-face which I propose to be  
24 Tuesday, the 11<sup>th</sup>.

25          **MR. PRESLEY:** This is going to be a face-to-

1 face in Cincinnati?

2 **MS. MUNN:** Yes.

3 **DR. WADE:** That doesn't work for me I hate  
4 to say; the 12<sup>th</sup> does.

5 **MS. MUNN:** The 12<sup>th</sup>, okay.

6 **DR. ZIEMER:** I'm out the 12<sup>th</sup> through the  
7 14<sup>th</sup>.

8 **MS. MUNN:** Okay, that doesn't work. And if  
9 we do the 10<sup>th</sup>, the only person really, if we  
10 start late enough.

11 **MR. PRESLEY:** I can get to this one.

12 **DR. WADE:** No, that's good. Either I'll  
13 make arrangements or Christine will be here.

14 **DR. BRANCHE:** Both of us have a conference  
15 on the 11<sup>th</sup>, but we can make arrangements.

16 **DR. WADE:** One of us will have to be one  
17 place or the other.

18 **MR. PRESLEY:** The 10<sup>th</sup> all right?

19 **MS. MUNN:** The 10<sup>th</sup> is okay with me.

20 **MR. PRESLEY:** The 10<sup>th</sup>'s fine with me.

21 **MS. MUNN:** I can fly, it won't be the first  
22 time I've flown on Sunday.

23 **DR. WADE:** Well, not on Sunday. Don't worry  
24 about me. My conflict is the 10<sup>th</sup> and 11<sup>th</sup>, so  
25 let's do the 11<sup>th</sup>.

1           **DR. MAURO:** Have we got a start time?

2           **DR. WADE:** And the city.

3           **MS. MUNN:** Since we're, well, let's do it in  
4 Cincinnati. It's always easier for everybody  
5 else to get there, and it's okay with me to  
6 get there. Start time 9:30.

7           **DR. MAKHIJANI:** You said the 11<sup>th</sup>?

8           **DR. WADE:** December 11<sup>th</sup>.

9           **MS. MUNN:** December 11<sup>th</sup>.

10          **DR. WADE:** Nine-thirty a.m., Cincinnati,  
11 hotel to be named.

12          **MS. MUNN:** Hopefully, the Marriott.

13          **MR. PRESLEY:** Yeah, hopefully the Marriott.  
14 It works like a million dollars. They come  
15 right over at the airport and pick us up.

16          **MS. MUNN:** All right, is there anything  
17 crucial left on our plate that we can't  
18 postpone until the phone call or our meeting?

19               (no response)

20          **MS. MUNN:** If not, this meeting is  
21 adjourned.

22          **DR. WADE:** Thank you, Madam Chairman,  
23 wonderfully done.

24               (Whereupon, the working group meeting was  
25 adjourned at 5:20 p.m.)

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Oct. 2, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 14th day of March, 2008.

---

**STEVEN RAY GREEN, CCR, CVR-CM**  
**CERTIFIED MERIT COURT REPORTER**  
**CERTIFICATE NUMBER: A-2102**