

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

WORKING GROUP

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

PROCEDURES REVIEW

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held telephonically on Nov. 7, 2007.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070

C O N T E N T S

Nov. 7, 2007

OPENING REMARKS	6
MATRIX CONSTRUCTION	11
ACTION ITEMS	55
RESUME MATRIX ITEMS	91
DISCUSSION OF THIRD SET	140
RECAP OF ACTION ITEMS	144
COURT REPORTER'S CERTIFICATE	148

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^"/((inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

IDENTIFIED PARTICIPANTS

ANIGSTEIN, BOB, SC&A
BEHLING, HANS, SC&A
BEHLING, KATHY, SC&A
BRACKETT, LIZ, ORAU
CHANG, CHIA-CHIA, NIOSH
ELLIOTT, LARRY, NIOSH
HINNEFELD, STUART, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
MAURO, JOHN, SC&A
OSTROW, STEVE, SC&A
SIEBERT, STEVE, ORAU
SMITH, MATTHEW, ORAU
THOMAS, ELYSE, ORAU

P R O C E E D I N G S

NOV. 7, 2007

(10:00 a.m.)

OPENING REMARKS

1
2
3
4
5 **DR. WADE:** This is the work group on
6 Procedures of the Advisory Board chaired by
7 Ms. Munn, members Gibson, Griffon, Ziemer,
8 Robert Presley is an alternate. I've
9 identified that Munn, Gibson and Ziemer are on
10 the call. Is Mark Griffon with us?

11 (no response)

12 **DR. WADE:** Robert Presley?

13 (no response)

14 **DR. WADE:** Are there any other Board members
15 on the call other than those identified as
16 members or alternates to the work group?

17 (no response)

18 **DR. WADE:** Okay, so we have three members of
19 the work group. There are four regular
20 members, and that's fine. We don't have a
21 quorum of the Board. What I would do is ask
22 that we do some introductions so that we all
23 know, particularly the principals. And let's
24 start with members of NIOSH or the ORAU
25 extended team who are on the call,

1 participating actively on the call.

2 Again, this is Lew Wade. I work for
3 the NIOSH Director, and I serve as the DFO for
4 the Advisory Board.

5 **MR. ELLIOTT:** This is Larry Elliott. I
6 serve as the Director for the Office of
7 Compensation Analysis and Support.

8 **MR. HINNEFELD:** This is Stu Hinnefeld,
9 Technical Program Manager for OCAS in
10 Cincinnati.

11 **DR. WADE:** Other NIOSH/ORAU team members?

12 **MS. THOMAS:** This is Elyse Thomas with the
13 O-R-A-U team.

14 **DR. WADE:** Welcome, Elyse.

15 **MR. SMITH:** Matt Smith, the ORAU team.

16 **DR. WADE:** Welcome.

17 **MR. SIEBERT:** Scott Siebert, ORAU team.

18 **DR. WADE:** Welcome.

19 Other NIOSH or ORAU?

20 (no response)

21 **DR. WADE:** How about SC&A team?

22 **DR. MAURO:** Yes, this is John Mauro from the
23 SC&A team.

24 **MS. BEHLING:** Kathy Behling of SC&A.

25 **DR. BEHLING:** Hans Behling, SC&A.

1 **DR. ANIGSTEIN:** Bob Anigstein, SC&A.

2 **DR. WADE:** Other members of the SC&A team?

3 (no response)

4 **DR. WADE:** Are there other federal employees
5 who are working on this call?

6 **MS. HOMOKI-TITUS:** This is Liz Homoki-Titus
7 with HHS.

8 **MS. CHANG:** This is Chia-Chia Chang with
9 NIOSH. I did not get Wanda's agenda. Could
10 someone e-mail that to me, please?

11 **MR. ELLIOTT:** I'll send it to you, Chia-
12 Chia, Larry.

13 **MS. HOMOKI-TITUS:** Hey, Larry, I didn't get
14 it either, and I assume that Emily probably
15 didn't. Can you include us on that e-mail?

16 **MR. ELLIOTT:** Will do.

17 **MS. HOMOKI-TITUS:** Thanks.

18 **DR. WADE:** Okay, beyond Chia-Chia, any other
19 feds on the line?

20 **MS. HOMOKI-TITUS:** Lew, Emily Howell should
21 be joining us in a few minutes.

22 **DR. WADE:** Thank you.

23 **MR. KOTSCH:** Jeff Kotsch is here with Labor.

24 **DR. WADE:** Jeff, as always, welcome, thank
25 you for joining us.

1 Other feds?

2 Are there workers, petitioners,
3 representatives of members of Congress or
4 anyone else who would like to be identified
5 for the record as being on this call?

6 (no response)

7 **DR. WADE:** Any others who'd like to be
8 identified?

9 (no response)

10 **DR. WADE:** One last caution about etiquette.
11 We're doing real well. We had a rough call
12 last week I believe it was so again, if at all
13 possible, mute the instrument that you're
14 using if you're not speaking, obviously. Try
15 and use a handset when you speak although we
16 do understand Wanda's special circumstances,
17 the Chair.

18 But again, for the rest of us try and
19 use a handset if at all possible and be very
20 aware of background noises. Last week we had
21 someone who had put the phone on hold and then
22 the background music would play, and it's
23 impossible to conduct business. So think
24 about those things as you do business.

25 As I had mentioned to the work group

1 Chair, I'll have to leave this call in a half
2 an hour or so, and I'll identify when I do.
3 Chia-Chia Chang will serve as designated
4 federal official and Emily and Liz are on the
5 call to deal with any legal issues. If I have
6 to be reached, Chia-Chia has a number to reach
7 me. So, Wanda, please begin.

8 **MR. GRIFFON:** Hey, Wanda and Lew, this is
9 Mark Griffon. I joined after you were already
10 in the middle of introductions.

11 **DR. WADE:** Good, Mark, thank you, now the
12 work group is whole.

13 **MS. MUNN:** Mark, did you get the agenda all
14 right?

15 **MR. GRIFFON:** Yeah, I did. Thank you,
16 Wanda.

17 **MS. MUNN:** And Liz and Emily, I should be
18 including you as a standard thing on the
19 distribution. I guess I haven't been doing
20 that. If one of you would send me at your
21 convenience telling me which or both of you
22 you would like to have notified when I send
23 these things out, I'll include you in a
24 standard mailing.

25 **MS. HOMOKI-TITUS:** Okay, that would be

1 great. We'll provide you with our e-mail
2 addresses.

3 **MATRIX CONSTRUCTION**

4 **MS. MUNN:** Now then we are hoping that all
5 of the members of our work group have in their
6 hands a copy of the format, the suggested
7 format that our subgroup worked with Kathy on
8 putting together earlier in the week. Do you
9 all have that?

10 (Members replied affirmatively.)

11 **MS. MUNN:** Good, I sent it out and hoped
12 you'd have an opportunity by now to take a
13 look at it. I think what the subgroup tried
14 to do was to capture all of the issues that we
15 had discussed in full work group sessions
16 while we were in Naperville. Kathy very
17 helpfully put this all together for us and
18 after some suggestions that she got back from
19 us, provided us with this sample of what the
20 entire package would look like.

21 As you probably are aware just from
22 thinking about it, issues tracking matrix for
23 the Procedures review is going to be a bulky
24 document. So I hope that as we seek
25 resolution on something, that page will drop

1 out of our active group and go into what would
2 be an archival that we've done. But the
3 issues tracking system, the one-liner, would
4 in my view continue to accumulate as we go
5 along.

6 Kathy, was that your thinking? Am I
7 correctly having what you had in mind when you
8 put this together?

9 **MS. BEHLING:** Well, I'm going to defer that
10 question to John. He has made up this more
11 complex matrix initially, and I'm not sure if
12 he thought that these longer one-page matrices
13 would go away at some point in time. But I
14 believe that was the thought, that once an
15 issue has been resolved it would be something
16 that would be archived. But we would still be
17 able to track it through the table up front,
18 the one-liners, to let us know that, yes, this
19 item has been closed.

20 Am I correct there, John?

21 **DR. MAURO:** Yeah, in fact, I guess where we
22 are right now in our thinking is that the one-
23 liners won't be always complete. In fact, as
24 I understand it, direction from the previous
25 work group meeting, the one-liners would

1 contain all, the first set, the second set and
2 the recently issued third set. So in one
3 place there would be one line assigned to each
4 finding associated with every procedure ever
5 reviewed collectively on the project. And
6 that would be, stand as a living document.

7 It would probably be on the order of
8 ten or 12 pages. I think it's about seven
9 pages right now and contains many or hundreds
10 of findings. But they would all be there so
11 that one could quickly go down the one-liners
12 and see which ones are open, which ones are
13 closed, which ones have been transferred. So,
14 yeah, we did not anticipate that would be
15 archived. That would always be complete.

16 Now with regard to the more extensive
17 sheets, the one where you have all the dates,
18 the tracking, which I will eventually get
19 into, we could either way. Namely, we could
20 keep, right now I guess my thought was we
21 would keep them, the set, like for example the
22 set you have right now before you that we
23 prepared originally, and now, of course, we've
24 been revising. The idea was that that would
25 be coupled back to one of the three-ring

1 binder reports.

2 In other words, there would be,
3 there's a three-ring binder for set one.
4 There's a three-ring binder for set two, and
5 now recently you received a three-ring binder
6 for set three. And that the question we could
7 ask you I guess really now I'll punt back,
8 right now the thought was that we'd have a
9 complete thick package for, a separate one for
10 the first set, a separate one for the second
11 set, and a separate one for the third set.
12 However, if you would like, we could integrate
13 that just like we're integrating the one-
14 liners.

15 And also if you would like, as issues
16 or findings are closed or transferred -- this
17 is your call, of course, closed would be more
18 appropriate -- we could pull that from the
19 big, thick package or not. I mean, that's
20 really, so we would have one which we would
21 call our working package which would only
22 contain open and active findings. But behind
23 that, of course, in the archives there would
24 be a complete package which would have
25 everything in it. So we're available to do it

1 whatever way you folks would like.

2 **DR. ZIEMER:** Wanda, this is Ziemer. I'd
3 like to make a suggestion on that. I think
4 John's suggestion that we have an open working
5 set of papers is more practical. I don't
6 think we want a new copy every time of closed
7 items and all those pages. Once an item is
8 closed, I'd like to see it archived. We could
9 all have the binders or whatever with the
10 closed items in it.

11 But I don't think every time we meet,
12 we're going to want to have a new copy of
13 those closed items. It would seem to me that
14 just the open items, we would have the packet
15 of the open items which are ones which are
16 changing each time we meet. Once they're
17 closed it seems to me it makes, there's no
18 reason to get a fresh copy of the closed items
19 every time.

20 **MS. MUNN:** I agree.

21 Other feelings about that?

22 **MR. ELLIOTT:** Yeah, I agree with that.

23 **MS. MUNN:** My only variance with John's
24 vision is a small one. I'd envisioned first
25 of all binders with the original findings in

1 them which we probably will read at the time
2 that they come to us and more than likely will
3 not refer to very often after that. But that
4 whole point in this matrix is to capture the
5 essence of the findings, all of them. There
6 would be, once issued and separated into the
7 matrix, they would become a part of the
8 archive itself. My vision would be that our
9 active list, our active package, would
10 include, would be both the one-liners and the
11 individual pages for the open ^.

12 **DR. ZIEMER:** Yeah, this is Ziemer. I agree
13 with that. I think that makes sense to have
14 the, the summary should have everything on it
15 as John described it, but as far as the
16 detail, the working package would be the open
17 items.

18 **MS. MUNN:** If we, other people plan to do
19 this individually, but my thinking was I would
20 put together a gigantic three-ring binder with
21 those two items in it. And as we close items,
22 I would remove that sheet and place it in the
23 archives as a closed item that would show on
24 our one-liner but not elsewhere. So that's my
25 personal view of how I expect to juggle that.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Anyone else?

DR. ZIEMER: Well, this is Ziemer again. I just want to ask. You had a working group of the working group last week, and what was their sort of overall conclusion on the sample tracking matrix that John provided or Kathy provided?

MS. MUNN: We were pretty much of a mind in the framework of what I've just given you without that just one or two twitches, we may need some minor revisions of one sort or another. But that primary change that we made, the original draft that was provided to us for our -- was to make sure that dates were added to all of these activities so that we could track the procedures that we're looking at alphabetically.

And it gets confusing jumping back and forth from the first group to the second group to the third group. There's no rhyme or reason to the order in which these things could be coming to us before. Suggested that the order be alphabetized, that we add the date column so that it's easy to find the item alphabetically. There's the one-liner or the

1 complex.

2 **DR. MAURO:** Wanda, this is John. I have a
3 point of clarification regarding what you just
4 stated. When we compile these lists, whether
5 they're the one-liners or the more complete
6 documents, you had mentioned alphabetical.
7 When we last spoke it was my understanding
8 that they would be first grouped of whether
9 they were OTIBs or OCAS documents.

10 In other words, O-R-A-U-T documents or
11 OCAS documents. And then within that grouping
12 they would be grouped according to their
13 number, namely, the lowest number first, you
14 know, OTIB-0001, OTIB-0002, OTIB-0003 would be
15 the order in which they would appear under the
16 category called OCAS as opposed to
17 alphabetical. We certainly could do it
18 alphabetical according to title, but when we
19 last spoke I did get the impression that we
20 were leaning more toward numerical sequencing.

21 **MS. MUNN:** Numerical sequencing after they
22 have been sorted by their alphanumeric. The
23 order in which Kathy provided the one-liners
24 is exactly what I had in mind.

25 **DR. MAURO:** Okay.

1 **DR. ZIEMER:** Could you clarify -- this is
2 Ziemer again -- so they would be sorted first
3 as to whether they're an OCAS or an OTIB or
4 whatever and then by number?

5 **MS. MUNN:** It would be sorted as to whether
6 they were OCAS or ORAUT and then by number.

7 **DR. ZIEMER:** Yes, okay, thank you.

8 **DR. MAURO:** Okay, good. When you said
9 alphabetical I was thrown a bit by that. I
10 wasn't quite sure what you were referring to.

11 **MS. MUNN:** Well, to me, in my mind that's
12 alphabetized.

13 **DR. ZIEMER:** Is the sample matrix that was
14 sent out and dated modified on the seventh of
15 November? Is that the one that was modified
16 based on the subgroup's review?

17 **MS. MUNN:** Working draft and drafts that
18 have the date 11/5/2007 on them.

19 **DR. ZIEMER:** Eleven-five.

20 **MS. MUNN:** The date that's on the --

21 **DR. ZIEMER:** Was on the document itself.

22 **DR. MAURO:** Wanda, right now I'm looking at
23 the file that you distributed, the one-liners,
24 and on the bottom as a footer it has a date
25 11/7/2007.

1 **DR. ZIEMER:** Yeah, that's what mine shows,
2 11/7. I don't see 11/5.

3 **MS. MUNN:** That's fine.

4 **DR. ZIEMER:** Does that one include the
5 recommendations from the subgroup then?

6 **MS. MUNN:** Yes, it does.

7 **DR. ZIEMER:** I thought it looked very good.
8 I think it will be extremely helpful in
9 tracking issue resolution on all of these, and
10 I'm hopeful that a similar methodology can be
11 used by some of the other groups as they track
12 issues.

13 **DR. MAURO:** Wanda, this is John. There's
14 one other aspect of the question I raised
15 earlier that I don't think we addressed. That
16 is, for the big document that we're going to
17 be tracking, whether it's the subset which is
18 the active ones or the completed archived one
19 which has everything, do you want us to
20 integrate this first set, second set and third
21 set into one master matrix? Or do you want to
22 keep those separate where they key back to the
23 individual deliverable, three-ring binder
24 deliverable?

25 **MS. MUNN:** Well, it was my understanding

1 from the subgroup that it is our desire that
2 all of them be incorporated into a single
3 item. That was one of the reasons why we
4 thought the date was so important; as long as
5 we have the date column there it's easy to
6 identify whether that item came from group
7 one, group two or group three.

8 **DR. MAURO:** Very good. No problem.

9 **MS. BEHLING:** Wanda, just for one
10 clarification from me. This is Kathy Behling.
11 I assume you're talking about the roll-up
12 table or that summary table; we're going to
13 include all procedures that have been done in
14 that summary table, correct?

15 **MS. MUNN:** That's correct.

16 **DR. MAURO:** But what I'm hearing is not only
17 does it apply to the one-liner table, it also
18 applies to the big table.

19 **MS. MUNN:** Yes, it does. So we want,
20 instead of having little slumps that we can't
21 identify because we think of them in terms of
22 alphanumeric designations and to have to think
23 then whether they are set one, set two or set
24 three is too much of a confusing factor. All
25 of the items on which we're working will go

1 into one table, both the one-liners and the
2 more complex. It will all be one group, all
3 be organized in the alphanumeric order that we
4 originally discussed. The date will identify
5 for us whether it was from the first set, the
6 second set or the third set.

7 **DR. ZIEMER:** Well, in that connection then
8 as I look at the, I guess you'd call it a
9 sample roll up, all of these seem to have the
10 same dates. What's an example of --

11 **MS. BEHLING:** This is Kathy Behling, and I
12 can answer that question. The reason these
13 all have the same date is because these were
14 all associated with the second set of
15 procedures that we submitted to the Board.
16 That's why --

17 **DR. ZIEMER:** The full table would have a
18 whole other group which would have the earlier
19 date, and then there would be yet another
20 group?

21 **MS. BEHLING:** That's correct.

22 **DR. ZIEMER:** For example, then, what you're
23 saying, let's take OTIB-0017, there would be
24 perhaps some earlier OTIB-0017 findings, and
25 then these 6/28 findings, and then some later

1 OTIB-0017 findings?

2 **MS. BEHLING:** Right, that's correct.

3 **DR. ZIEMER:** Okay, I got you, so they would
4 just be inserted in here.

5 **MS. MUNN:** Right, that's how the work group
6 perceived it so that we would at all times be
7 working from a list that would give us all of
8 the findings from any given procedure. The
9 date would key us whether they were group one,
10 group two --

11 **DR. MAURO:** And you know what's good about
12 this as you pointed out in, for example, OTIB-
13 0017. If we did go through multiple reviews,
14 let's say the first set and then the second
15 set we reviewed a new version, it would all
16 appear under one-liners --

17 **DR. ZIEMER:** Right.

18 **DR. MAURO:** -- and in the major document
19 right adjacent to each other. Yeah, that's
20 good.

21 **MS. BEHLING:** This is Kathy Behling. The
22 only thing that I want to make mention of here
23 is if we, I wasn't convinced, I wasn't sure
24 that we were going to go back to the first set
25 of procedures that we reviewed and take that

1 matrix and convert it into this format. And
2 that's fine. I just want to caution everyone
3 that that's going to take quite a bit of
4 effort just because in order to capture what
5 happened in each of the working group
6 meetings, I assume it will mean going back to
7 transcripts, and it will require some effort.

8 **MS. MUNN:** I don't think it was the intent
9 of the subgroup that we go to that extensive
10 effort, Kathy. I think it was the intent to
11 simply transfer, to see that those items were
12 placed on the roll up, but as far as the
13 individual pages were concerned, that only
14 information that is on the existing matrix be
15 transferred.

16 **MS. BEHLING:** Okay, I misunderstood that.
17 That's fine, okay, thank you.

18 **DR. MAURO:** Kathy, what I put together, my
19 first draft of the big matrix for the second
20 set, I had that problem. That is, we did have
21 three working group meetings, and the
22 particular package that I put together for
23 consideration by the working group only picked
24 up from the October 2nd, the previous two are
25 not actually captured. In other words we

1 don't have any material that goes for the two
2 earlier ones.

3 So what I did is simply say, listen,
4 we're starting this with the October 2nd
5 working group, and I put a little asterisk
6 next to it saying, listen, keep in mind that
7 the information you're looking here has been
8 captured that was discussed previously, but we
9 didn't break it out by date. Because I didn't
10 go back to the transcripts for the two earlier
11 working group meetings because that would have
12 been a heroic effort.

13 So I think that maybe the way we can
14 make sure we, when we do this integrated,
15 combined package including the first set, I
16 think we just capture the where it is but not
17 try to resurrect and reconstruct the history
18 according by date of working group. We may
19 want to indicate that there were three or four
20 working group meetings or whatever to get us
21 to the point that we reached.

22 But to try to flesh out what happened
23 in each working group meeting, that would be
24 quite an effort. And I don't know whether it
25 would really add that much value at this point

1 in the process.

2 **DR. ZIEMER:** So I think we use this going
3 forward.

4 **DR. MAURO:** Going forward, exactly, yes.

5 **MS. BEHLING:** Okay, very good, thank you for
6 the clarification.

7 **DR. ZIEMER:** I mean, what's already been
8 done and particularly items closed, we don't
9 have to go back and reconstruct all that at
10 this point.

11 **MS. MUNN:** No, they'll be on the roll up.

12 **DR. ZIEMER:** The purpose of the document is
13 really to help us in the resolution process,
14 and going back and reconstructing stuff that
15 occurred a year or two or three ago, it won't
16 help us any I don't think.

17 **MS. MUNN:** I agree, and it was not the
18 intent of the subgroup anyway for that
19 extensive archive of what transpired during
20 that step forward.

21 We're clear where we're going. Do we
22 have any idea how long it might take us to
23 have that matrix in hand? That's the only
24 reason I'm really concerned about that because
25 I have an eye to our next scheduled meeting

1 which is a face-to-face meeting in Cincinnati
2 on December the 11th, and we're hopeful that a
3 new matrix format might be available for us
4 before that time.

5 **MS. BEHLING:** This is Kathy. I'll make an
6 attempt to put the entire matrix together by
7 December 11th.

8 **MS. MUNN:** Good, it would be very helpful if
9 we had, if we could begin to work from that
10 new matrix.

11 **MS. BEHLING:** Okay, very good.

12 **MS. MUNN:** If it's impossible, let us know,
13 but otherwise it would be great if we could
14 have that.

15 **MS. BEHLING:** Okay, I will do that.

16 **MS. MUNN:** Any other comments with regard to
17 the new matrix format?

18 **DR. MAURO:** Wanda, by way of clarification
19 to make sure that we're looking at this the
20 same way, I have in front of me the first page
21 of what's called Sample Number One where we,
22 this is the sample of the new product that we
23 will be putting out. I just want to make sure
24 that we're, in terms of, we understand what
25 the format is and the content is, but there's

1 also a process issue, and I want to make sure
2 that everyone is on board, especially NIOSH
3 sees it the same way we do.

4 When you look at this format, you'll
5 notice that there's a, for example, a category
6 underneath working group meeting. Like right
7 now if you folks have it in front of you,
8 you'll see a date called 11/7/2007, and that's
9 today. And we're having a working group
10 meeting. And you'll notice underneath that
11 there is two columns, one called NIOSH/SC&A
12 discussion and one called Work Group
13 Directives.

14 Now I want to make sure we all see
15 this the same way. What I see this as is that
16 this conversation that we're having right now
17 somehow is going to be captured in that box.
18 After this meeting is over someone, certainly
19 we'll be willing to participate in any way and
20 support any way you like, will need to fill in
21 we had this working group meeting today,
22 11/7/2007, and right underneath that work
23 group meeting you'll see NIOSH/SC&A
24 Discussion. Some words need to be put in
25 there that says, well, what is it that we

1 talked about today and the exchange.

2 And to the right of that you see
3 another box that says Work Group Directives.
4 And I would say that underneath that would be
5 what direction the working group gave either
6 NIOSH or SC&A. For example, just this, what I
7 just heard was SC&A received a directive to go
8 forward with the preparation of this matrix
9 for all three sets of cases and deliver a work
10 product to the working group by the December
11 11th.

12 And so I envision that that would go
13 in underneath that category. So I just want
14 to make sure we all see it the same way. That
15 was my interpretation functionally how this
16 would work. And that would occur within a
17 matter of a day or two after this meeting.
18 That is, someone, and myself or Kathy or
19 someone from the -- I'm not quite sure how
20 you'd like to do it. But that will need to be
21 done.

22 Then you'll notice that the next row
23 down there's something called SC&A Follow-Up
24 Action. Now that, this again, is a point of
25 process clarification. Let's say we were

1 talking about a particular OTIB in this case.
2 Let's say we're talking about OTIB-0017, and
3 one of the items was that after the meeting,
4 after today's meeting, SC&A gets some
5 directive that would be in the box called Work
6 Group Directives, to do some analysis. Or
7 NIOSH is given some directive to do some
8 analysis. And that analysis has been done.

9 Now my understanding is that prior to
10 the next working group meeting, SC&A would
11 fill in the box called SC&A Follow-Up Action,
12 and we'd fill that information in which would
13 be done between now and the next working group
14 meeting, and we'd fill it in. Similarly,
15 NIOSH would fill in the information called
16 NIOSH Follow-Up Action and fill in their
17 material so that then we would have our
18 working group meeting and then continue the
19 process.

20 This is how I'm viewing the mechanics
21 of implementing this table. Does everyone see
22 it the same way?

23 **MS. MUNN:** The process is a major one. It's
24 the only part of what we're doing that has
25 bothered me a little bit personally. The

1 question arises who owns the document. Who
2 has access to the document in terms of what
3 goes on it?

4 **DR. ZIEMER:** Well, this is Ziemer. Wanda, I
5 think you're, the Chair's got to be the
6 controller so that you would, I mean, you
7 could ask SC&A to draft something, but it
8 seems to me, for example, whatever the work
9 group directive is you would have to agree
10 that that's what we agreed to, and that would
11 go in that column. Take, for example, the
12 OTIB-0006 which NIOSH, I think at our last
13 meeting there was perhaps a directive or at
14 least NIOSH agreed to make some modifications
15 and Stu now has provided us with the modified
16 -0006 and -0007 and, I think, -0008.

17 Right, Stu?

18 (no audible response)

19 **DR. ZIEMER:** And there perhaps would have
20 been a directive there, NIOSH will modify
21 those in accordance with the discussion. And
22 the follow up is NIOSH has done this on a
23 certain date and distributed the drafts to the
24 committee or something like that. But it
25 seems to me whatever goes in there you might

1 ask the contractor to fill that in and then
2 bounce it off of you and make sure that it
3 agrees with your understanding from what we
4 agreed to at the meeting. Someone's got to be
5 the point person on it. It seems to me the
6 Chair has got to be kind of the point person
7 on resolution just like Mark is on the Dose
8 Reconstruction Review.

9 **MS. MUNN:** You're probably correct, with
10 much hesitation, but --

11 **DR. ZIEMER:** Well, for example, I think it's
12 our document, it's the Board's or the
13 subcommittee's document to assure that the
14 resolution process goes forward, so it's our
15 tool.

16 **MS. MUNN:** There's no question about that.
17 The question is whether --

18 **DR. ZIEMER:** And again, if the wrong words
19 are in, or if we think NIOSH agreed to
20 something, and they think they agreed to
21 something else or likewise with SC&A, we have
22 to make sure we get the right words. So there
23 would have to be a kind of preliminary
24 completion of those boxes. Maybe at the
25 meeting itself we could agree as to what goes

1 in there.

2 MS. MUNN: Well, at the meeting itself --

3 DR. ZIEMER: The work group meeting.

4 MS. MUNN: -- that the --

5 DR. ZIEMER: On each item or each issue.

6 MS. MUNN: -- I suppose we could make an
7 effort to word that --

8 DR. ZIEMER: I mean, for example, you have
9 action items from the Naperville meeting.
10 Basically, all of those are what you might
11 call the work group directives that's going in
12 those boxes John described, I think.

13 DR. MAURO: Yes.

14 MS. MUNN: That's true.

15 DR. MAURO: That's what I had in mind that
16 this would have the directives. And --

17 DR. ZIEMER: Basically those are the action
18 items.

19 DR. MAURO: Right.

20 DR. ZIEMER: I mean, we're already doing it.
21 They would just show up in the appropriate box
22 for each item. For example, here I see an
23 action item that says NIOSH will reword OTIB-
24 0019 to better reflect actual procedures.
25 That would be in essence I think the

1 directive.

2 **MS. MUNN:** You're right.

3 **DR. ZIEMER:** And I don't think, you know,
4 the word directive sounds like we're, you
5 know, do it whether you want to or not, but as
6 we all know as we go through this process,
7 generally we're reaching a kind of agreement
8 state where the Board says, yes, this is what
9 we think should be done. And NIOSH and SC&A
10 agree that that's the direction that should go
11 on an item. So it's a mutual agreement in
12 most cases at least.

13 **MS. MUNN:** I think you're probably correct.
14 The concept of wording that needs to go there
15 we're still discussing it, is a good one from
16 my point of view because not only does it
17 relieve me of the responsibility of wording it
18 or of anyone else wording it. It also assures
19 that it is going to go on the action item
20 which I like.

21 **DR. ZIEMER:** Well, I think if we assume that
22 our action items are in essence what the Board
23 directive or work group directives are and
24 once those are in place and NIOSH and SC&A
25 indicate how they will respond or what their

1 status is like revising language or providing
2 a draft of something or preparing some kind of
3 matrix or whatever it is.

4 **DR. MAURO:** Paul, would you prefer us
5 replacing the words Work Group Directives with
6 Work Group Action Items?

7 **MS. MUNN:** No, directives is fine because
8 sometimes it's not an action item.

9 **DR. ZIEMER:** I think essentially we're, it
10 is a kind of directive in the sense that the
11 contractor is being tasked. We can't task
12 NIOSH, but we can task the contractor.

13 **MS. MUNN:** I think the wording is probably
14 fine, John.

15 **DR. MAURO:** Okay.

16 **MS. MUNN:** It's the process that we're going
17 to have to hash into shape here.

18 **DR. MAURO:** I had one related question
19 regarding the box underneath where it says
20 SC&A Follow Up. Now, very often, not very
21 often, but sometimes the follow-up activity
22 either by NIOSH or SC&A is a white paper which
23 could be lengthy, could be four, five, six
24 pages which goes into some depth on the issue.
25 My guess is that if the material that would go

1 in the box would be perhaps a white paper was
2 issued dated so-and-so, and so that it would
3 very briefly summarize the outcome of that
4 investigation. So there needs to be a link,
5 at least something said --

6 **DR. ZIEMER:** You wouldn't put the white
7 paper itself in there, but you --

8 **DR. MAURO:** Exactly, exactly, because
9 otherwise it would be too lengthy.

10 **DR. ZIEMER:** Yeah, yeah.

11 **MS. MUNN:** Might I suggest that we consider
12 the paper itself go into the archive?

13 **DR. ZIEMER:** As an attachment.

14 **MS. MUNN:** An attachment to the archive.

15 **DR. WADE:** Makes sense. Wanda, this is Lew.
16 I'm going to have to leave you now, so I wish
17 you good luck. But if you need me, you can
18 always find me.

19 **MS. MUNN:** Thank you, Lew, and is Chia-Chia
20 stepping into your shoes?

21 **DR. WADE:** She is indeed.

22 **MS. MUNN:** Chia-Chia, may I ask the same
23 thing I've asked of Lew in the past that you
24 assist me in keeping track of the action
25 items?

1 **MS. CHANG:** I certainly can.

2 **MS. MUNN:** On this call, so that you and I
3 can compare notes afterwards and make sure
4 we're not missing anything.

5 **MS. CHANG:** Good idea.

6 **MS. MUNN:** Ask you to review what you have
7 at the end of this call.

8 All right, thank you, Lew.

9 **DR. WADE:** Bye-bye.

10 **DR. MAURO:** If I may, Wanda, bring up one
11 more item. When I originally worked on the
12 first crude draft of the big table, one of the
13 things that was essential for me to be able to
14 do that was to go back to the minutes, not
15 minutes, the transcript of the October, I
16 think it was the third working group meeting.
17 And Ray was kind enough to forward to me the
18 crude, you know, pre-processed transcript
19 which is extremely important to me. In other
20 words I was able to revisit everything so that
21 when I fleshed out the discussion section, the
22 action item section, et cetera, in the
23 material that I provided, I was able to be
24 faithful to what was said at the meeting as
25 opposed to relying solely on my scribble in my

1 notebook that I take during these meetings.

2 And I guess I asked a question to Ray
3 and everyone on the working group is to what
4 degree do you think it would be of value to
5 have available this material relatively
6 shortly after the meeting to make sure that we
7 flesh out this document in a faithful way to
8 the minutes, to the actual transcript of the
9 meeting? Is that something that Ray, I guess,
10 and everyone aboard, do you think that's
11 something that can be done or should be done?

12 **MS. MUNN:** This is what I indicated to you
13 by e-mail that I wanted to discuss with you,
14 and it's something I suppose that we can put
15 on the table here if we wish it. There are
16 some concerns here. It doesn't have to do
17 necessarily with our Procedures group so much
18 as it does with other working groups.

19 **DR. ZIEMER:** Actually, we've been relying on
20 the designated federal official to help
21 establish priorities because we have multiple
22 work groups and Ray will have a little
23 difficulty if every chairman comes to him and
24 wants theirs right now. So there has to be
25 some priority, you know, what's first in the

1 queue. We can't ask Ray to determine that for
2 himself.

3 Each work group chairman probably
4 thinks their stuff's the most important. But
5 I think we're still going to have to rely on
6 the designated federal official to serve as a
7 sort of our clearing house for establishing
8 priorities. And we probably couldn't always
9 guarantee that this set of Procedures would be
10 the one that would come out like right away.

11 I think it's going to depend on what
12 else is going on. What's urgent in terms of
13 main minutes, and you know, we have members of
14 the public from different sites clamoring for
15 minutes as well. So you have all of those
16 issues that have to be taken into
17 consideration.

18 I think every effort's going to be
19 made to try to get these transcripts out as
20 quickly as possible, but I don't think, I'm
21 not sure we can always guarantee that, for
22 example, for this work group that we're going
23 to have them out in whatever timeframe we
24 think we need.

25 **MS. MUNN:** Probably what we can say is we'll

1 do the best we can, John.

2 **DR. MAURO:** Okay.

3 **DR. ZIEMER:** John, you may be asking, well,
4 once they're out there's an additional delay
5 and that's the redaction time. And you may be
6 asking for can you get the minutes unredacted?

7 **DR. MAURO:** That's what Ray kind enough sent
8 to me very shortly after the meeting. It was,
9 you could see that it was still in a rough
10 form, and then I just used it for my purposes
11 and then destroyed it.

12 **DR. ZIEMER:** I think legally, and Liz or
13 Emily can tell me, but I think the contractor
14 can have unredacted minutes or transcripts.
15 Isn't that correct?

16 **MS. HOMOKI-TITUS:** Yeah, federal employees
17 and the contractor on a need-to-know basis can
18 have an unredacted transcript.

19 **DR. ZIEMER:** Right, but the issue is still
20 going to be that of when they can actually be
21 made available, to try to get them as soon as
22 we can. I don't know what else we can do at
23 that point, John.

24 **DR. MAURO:** That's fine. We've been working
25 with the minutes that I write down and

1 certainly interfacing with the various other
2 folks involved in the meeting to make sure we
3 capture correctly our marching orders. That's
4 fine.

5 **DR. ZIEMER:** Then if we have agreed to
6 action items that should help also.

7 **MS. BEHLING:** Wanda, if I can just step back
8 a second and be sure that I understand the
9 process as we've discussed it so far and
10 correct me if I'm wrong. I assume that after
11 working group meeting like today's meeting,
12 possibly somebody like myself will sit down
13 and attempt to, to the best of my knowledge
14 and my notes here, fill in the NIOSH/SC&A
15 discussion box associated with today's
16 meeting.

17 During the meeting we will attempt to
18 fill in the work group directives as we go
19 through each of these procedures. Thereafter,
20 I can send that to you and so you can give it
21 your blessing. And at that point maybe we can
22 send a copy to NIOSH, and we can have a copy.

23 And then what I envision thereafter is
24 for the follow-up actions, and this is
25 typically what I do for the Dose

1 Reconstruction reviews, is once I have
2 completed all follow-up actions for everything
3 that we discussed during our working group
4 meeting, I take this matrix one time, try to
5 fill in everything that I can at that one
6 time, send it to you and NIOSH.

7 And I believe Stu tries to do the same
8 thing. He really only handles the matrix
9 maybe one time, fills in all of his action
10 items, and then it will go back to you. And
11 at that point we would have a matrix that
12 would be prepared and ready for the next work
13 group meeting which you would send out.

14 **MS. MUNN:** That process sounds reasonable to
15 me, Kathy. If it does to the other work group
16 members, that's fine. What I will try to
17 incorporate into my personal process is during
18 the work group as we identify action items, I
19 will try to review them before we get to the
20 end of our call in such a way that you can
21 capture the words. I would anticipate, I
22 think the working group would anticipate being
23 ^.

24 **MS. BEHLING:** Okay, very good.

25 **DR. ZIEMER:** I agree. That sounds like a

1 good way to proceed.

2 **MS. MUNN:** For instance, right now even
3 though we do not have an open matrix item
4 before us, the action item that I have for the
5 discussion that we've just had is simply SC&A
6 will keep tracking matrix in a new format by
7 December 11th, '07. That would be if we have a
8 matrix on which that goes. That would be the
9 type of thing that would go into the
10 directives box.

11 **DR. ZIEMER:** And we can have action items
12 that are outside of the matrix itself.

13 **MS. MUNN:** Yes, we will.

14 **DR. ZIEMER:** I mean, this is a broader
15 action item.

16 **MS. MUNN:** Inevitably we'll do that.

17 **DR. MAURO:** I was just thinking that, Paul,
18 mainly right now the way we have formatted
19 both the one-liners and the full matrix really
20 only addresses individual findings related to
21 individual procedures. We are actually right
22 now having what I would call an overarching
23 discussion that has across the board
24 applicability to everything we do. And, of
25 course, the matrix is not designed to capture

1 this so right now we do not have a vehicle to
2 capture the conversation we're having right
3 now.

4 **MS. MUNN:** Do we have, we're still sort of
5 out there with respect to what we started all
6 calling overarching issues as well.

7 **DR. ZIEMER:** Well, and in fact, we can think
8 about this, and I don't know that, Wanda,
9 we've got to solve it today, but we may want
10 to have for the work group a kind of action
11 item list where we track action items and
12 their closure outside the matrix. These kind
13 of overarching things, I'm not sure what we'd
14 even call it, but maybe just general action
15 items of the work group or something like
16 that, you know.

17 **MS. MUNN:** Well, roll up or a subgroup had
18 discussed a column that has status in the work
19 group process. Under transfers there's always
20 the possibility that we can say transfer to
21 whatever. By that means we can keep track of
22 what has gone to global issues and what has
23 gone to another.

24 **MS. BEHLING:** As a matter of fact -- and I
25 don't want to deviate from the discussion that

1 you're currently having -- but when we get a
2 moment that is one area that I wanted to talk
3 about before we leave the matrix discussion.
4 And that is I've made some changes and these
5 were my own thoughts about what needs to go
6 into the status of the work group process.

7 And I wanted to discuss those terms
8 with you so that we can be consistent and that
9 we're all in agreement. I'm not sure, I don't
10 want to interrupt the discussion you're
11 currently having though because I believe this
12 overarching issues discussion may be something
13 a little different than the status.

14 **DR. ZIEMER:** And maybe something that would
15 apply to all work groups.

16 **MS. MUNN:** It certainly does, but it flows
17 into our matrix specifically and very strongly
18 because if we're going to be a hallmark of
19 tracking the progress, then we have to be very
20 ^ as possible without killing anybody in the
21 process.

22 **MS. BEHLING:** If you'd like I can take a few
23 minutes and just walk you through the wording
24 that I've put into these five sample matrices,
25 and we can come to maybe some agreement as to

1 whether these are good words for you or not if
2 that's appropriate at this time.

3 **MS. MUNN:** Kathy, feel free to discuss at
4 this time unless someone has other feelings.

5 **DR. MAURO:** This is John. I do, I might now
6 could use a little clarification. Right now
7 the conversation we're having including the
8 action items and the general discussion and
9 judgments that are being made regarding these
10 overarching issues, I don't see any place
11 where that could be captured in the format and
12 content of the current matrix.

13 **DR. ZIEMER:** No, no, that's why we're --

14 **DR. MAURO:** Okay, I just wanted to make sure
15 --

16 **DR. ZIEMER:** -- talking about maybe there
17 should be a separate tracking of overarching
18 issues or something.

19 **MS. MUNN:** It's been established that
20 anywhere so far as I know in the Board's
21 activity. So as far as what we're looking at
22 here for the PST that we do focus on that, and
23 this is probably the ideal time to do it. Why
24 don't you go on, Kathy?

25 **MS. BEHLING:** Okay. If you look at Sample

1 One, this is, I just selected the OTIB-0023
2 and the fact that we are currently, we started
3 discussing this on the matrix, and we're
4 currently in the process of attempting to
5 resolve this particular finding. So in the
6 Status box on the very first line all the way
7 to the right I put, open-in progress because
8 during our smaller group meeting, Wanda -- and
9 I think correctly so -- indicated we want to
10 be able to determine what is open.

11 And if it just says open in this box,
12 that would mean to me that we have not begun
13 discussions on it. However, when it says
14 open-in progress, then obviously we have
15 started discussions. So that's why I made
16 these various different samples. So in other
17 words open itself would indicate that it is a
18 finding we ultimately are going to have to
19 discuss, but we haven't had any discussion on
20 that finding yet. And open-in progress means
21 that we've started some discussions just so we
22 can make a differentiation in the roll up.

23 If we go on to Sample Two, this is a
24 case where a lot of times, especially with the
25 second set -- in fact, John and I talked about

1 this before the meeting today -- we had
2 someone with SC&A put together the matrix for
3 us. And this person was very thorough and
4 identified every little item that was
5 discussed in the discussion of the particular
6 OTIB or procedures. However, as we started to
7 resolve these issues we realized that
8 potentially if we resolve item one, that also
9 resolves item two and item three.

10 So this second issue is indicating
11 that we're in discussion on this issue, but
12 it's going to be resolved under a previous
13 item such as in this case it's going to be
14 addressed under Finding OTIB-0017-03.
15 Initially, John had marked this as transferred
16 which I felt it means it leaves the system
17 here, and I didn't necessarily want to use
18 that word in this circumstance.

19 And then in Sample Three, this gives
20 you the case where you're actually going to
21 transfer this finding because this OTIB or
22 this TIB-0009 finding that we've identified is
23 one of these global issues. And so I want to
24 indicate here that this is being transferred
25 to our global issues findings. It could also

1 be, another transfer in my mind would be if we
2 come across a finding that really needs to be
3 addressed under our Task One or site profile
4 review because it's specific to a specific
5 site profile. That's where this would be
6 indicated as a transfer and then in
7 parentheses we would say transferred to site
8 profile review Task One.

9 And then Sample Three, here again, and
10 this is one that I'm still unsure about how to
11 handle this because this is, again, one of
12 those items I don't want to fall through the
13 cracks. This is an example of a case where we
14 had a finding, and NIOSH agreed with our
15 finding, and the resolution to that finding is
16 they're going to revise their procedure. And
17 so it's closed according to what we're doing
18 here, but somewhere down the road we have to
19 ensure that we do, after the revision comes
20 out, that we do go back to this item.

21 Now I marked it as closed-revised
22 procedure just so that when we look down
23 through the roll-up table it's going to be
24 something when we see revised procedure that
25 we have to keep in mind still is somewhat of

1 an open item. And maybe I should not have
2 called it closed here. And so we can have a
3 discussion on that and you can correct my
4 words if you desire.

5 **DR. ZIEMER:** Maybe another terminology for
6 those kind of cases is needed. I don't have
7 the words at my fingertips but we might give
8 some thought to how we might designate it in a
9 manner that suggests that it's not really
10 closed but is being handled in a different
11 manner.

12 **MS. BEHLING:** Yeah, we may want to come up
13 with better words there, absolutely. But I
14 guess what the goal was is I wanted to be able
15 to, once we look at our roll-up table, our
16 one-liners, you can go down that status column
17 and easily be able to identify this is an item
18 that still needs to be addressed in a revision
19 to a procedure or in something else. And I
20 don't know if it would be a transfer. I'm not
21 sure. I didn't necessarily show it as
22 transferred, but I'll let someone else make
23 that decision.

24 And then finally, Sample Five, this is
25 actually a case where I put an example in

1 where SC&A agrees with NIOSH's response.
2 There is no further action that's required.
3 And so the status of this finding is closed.
4 No further action will be necessary.

5 And so I just wanted to engage the
6 Board in some discussion as to what words you
7 would like to see in there so that we can
8 maintain some consistency as I said so when we
9 look down this roll-up table, it's going to be
10 very easy for us to see where we are in the
11 process and what needs to be picked up in the
12 future for other revisions of procedures.

13 **MS. MUNN:** Kathy, I think my personal
14 reaction is that all of the terminology is
15 fine with the exception of Sample Four.

16 **MS. BEHLING:** Okay, I agree.

17 Does anyone have any suggestions as to
18 what would be more appropriate?

19 **MS. MUNN:** My suggestion would be in
20 abeyance. We ^ in abeyance. That should be a
21 signal to us that it's closed as far as we're
22 concerned, but something is still hanging on.
23 And not until that something that's hanging on
24 is done do we write closed.

25 **MS. BEHLING:** Very good, I agree.

1 **MS. MUNN:** That way we don't lose it.

2 **DR. ZIEMER:** And actually, and that's fine,
3 and some words you may have to spell out at
4 the front end of the document what, or as a
5 footnote for that column, what the different
6 words mean, in abeyance means this.

7 **MS. BEHLING:** Could we do in abeyance-dash-
8 revised procedure or whatever the action might
9 be, and just a very short note to indicate
10 what --

11 **DR. ZIEMER:** Type of abeyance it is.

12 **MS. MUNN:** Absolutely, yes.

13 **MS. BEHLING:** Okay. I think that resolves
14 the status.

15 **MS. MUNN:** My only concern still continues
16 to be how we're going to deal with global
17 issues. That is something that in my view is
18 currently in NIOSH. I'm not sure how the
19 agency has figured that they're going to deal
20 with these things.

21 **DR. ZIEMER:** Well, the first step, of
22 course, is identifying which ones those are,
23 and I think we're at that point. So then it's
24 a matter of not letting them fall through the
25 cracks.

1 **MS. MUNN:** Right, so Kathy, are you happy
2 with where we are?

3 **MS. BEHLING:** Yes, I'm fine. I appreciate
4 everyone's input. This resolves some of my
5 questions.

6 **MS. MUNN:** If no one has any objections I
7 might ask Stu and Larry where NIOSH is with
8 respect to identification of and what's the
9 tracking process for those global issues that
10 we've already identified.

11 **MR. HINNEFELD:** Well, this is Stu. What I
12 can offer is Jim Neton has kind of been
13 keeping track of them, but I don't feel really
14 qualified to comment on them here on the phone
15 call.

16 **MS. MUNN:** Could we ask as one of our action
17 items for December 11th, that we have some
18 feedback with respect to such status of the
19 tracking mechanism is intended to be?

20 **MR. HINNEFELD:** Okay.

21 **MS. MUNN:** This work group probably has some
22 responsibility there, but we haven't had the
23 discussion clarifying where the lines of
24 responsibility are and exactly how we're going
25 to do this.

1 Or are you more concerned that, not that it's
2 captured, but that in fact somehow the
3 resolution of the issue is fed back to us as a
4 working group or to you as a working group?

5 **MS. MUNN:** That's the concern. Once we say
6 it's transferred, then does it actually leave
7 our purview or do we have the responsibility
8 to follow it through to its end and make
9 certain that it is, in fact, captured? I
10 think that's the concern of the whole Board
11 actually. It's not just, it doesn't appear to
12 be just a concern of ours. It's a concern of
13 the Board.

14 Okay, any other issues with respect to
15 matrix and tracking?

16 (no response)

17 **ACTION ITEMS**

18 **MS. MUNN:** Okay, let's move on to the action
19 items listed. The first one is a no starter
20 because obviously this is not a full Board
21 meeting. We can move past the report on PERs'
22 status.

23 The next item is OTIBs -0006, -0007
24 and -0008. I believe we all should have that
25 by now.

1 Stu, do you want to address that for
2 us?

3 **MR. HINNEFELD:** I sent, those documents were
4 all revised. This is from the Set One
5 procedure review, these actions from Set One.

6 **MS. MUNN:** Right.

7 **MR. HINNEFELD:** And I did look at the
8 documents, the revisions, and the revisions
9 are strictly to incorporate the comments from
10 the working group. So there were no other,
11 another action that appears down here in a
12 little bit, but any other revisions were like
13 grammar and spelling. So it was strictly for
14 those comments, so this is not, you know,
15 that's the only change. That was one of the
16 items I was supposed to look at.

17 **MS. MUNN:** We did all receive that, correct?

18 **MR. GRIFFON:** Yes.

19 **MS. MUNN:** Did not receive the...

20 **DR. ZIEMER:** Do we need to approve those
21 changes? Or what happens next?

22 **MS. BEHLING:** This is Kathy Behling, and
23 actually I'm jumping ahead a little bit, but
24 the first item under the SC&A action items is
25 that we were supposed to review the modified

1 TIB-0006, -0007 and -0008 if they were
2 considered just documents that were modified
3 due to our previous comments. However, it was
4 decided at the last meeting I believe that if
5 NIOSH would have come back to us and said this
6 is a complete rewrite of that procedure, then
7 we would have awaited you assigning that
8 procedure to SC&A.

9 However, in this particular case since
10 when Stu sent these out he clearly indicated
11 to us that these were just in response to our
12 findings. So I took it upon myself to go back
13 and thoroughly review our findings and the new
14 procedure, the changes that were made to this
15 revision. And, in fact, I was able to clearly
16 indicate, in fact, I'm going to, that will be
17 included on our new matrix in December.

18 I was able to state that on the three,
19 there were three findings associated with TIB-
20 0006, two findings associated with TIB-0007,
21 and three findings associated with TIB-0008.
22 And NIOSH did appropriately address all of
23 those findings and did a nice job of updating
24 those procedures to accommodate our initial
25 concerns.

1 **MS. MUNN:** Well, we are clear on those
2 three.

3 **MS. BEHLING:** Yes.

4 **MS. MUNN:** Those can be closed?

5 **MS. BEHLING:** They will be closed in the
6 next matrix.

7 **MS. MUNN:** Excellent.

8 **DR. MAURO:** This is John. I've got a,
9 again, this is again mechanistically. So when
10 we issue the December 11th version of our
11 matrix, the one-liners and the full matrix,
12 we, I guess, would prior to the meeting not
13 only fill in the appropriate material for SC&A
14 and NIOSH would fill in their material, but it
15 would also be an attempt, as we just did just
16 now, to go actually get to the point where we
17 fill in that upper right-hand corner regarding
18 closure. And we would do that all prior to
19 the December 11th meeting.

20 **MS. MUNN:** Yes.

21 **DR. MAURO:** Okay, good, because this makes
22 it very clear --

23 **DR. ZIEMER:** Right, and that's the point at
24 which we would take action then having in
25 essence a written recommendation. I mean, we

1 have the documents. I have laid them side-by-
2 side, well, I think all of them we didn't have
3 the earlier versions there. I guess I'll have
4 to go back and get it, but the other two are
5 laid side-by-side and the actual changes are
6 fairly minimal. They're very specific, and as
7 Kathy described in response to those findings.

8 **MS. BEHLING:** That's correct.

9 **DR. ZIEMER:** But we will have a formal
10 recommendation in the matrix for the next
11 meeting then is what you're saying.

12 **MS. BEHLING:** Yes, I plan to put something
13 in there as probably a SC&A follow-up action
14 item indicating that we did review these
15 procedures. And we were able to verify that
16 the finding was resolved based on the
17 revisions. And that will be specified in the
18 roll-up matrix and in the individual matrix
19 for that, for each of the, in other words for
20 TIB-0006 as I said there were three findings,
21 and there'll be three separate sheets that
22 identify Finding 01, 02 and 03. What those
23 findings were. How NIOSH responded to those
24 in the revision, and whether we thought that
25 that was an appropriate response. Now I don't

1 know if the Board still needs to approve that
2 or not.

3 **MS. MUNN:** I don't believe so. I think if
4 both NIOSH and the contractor have agreed that
5 the issue's erased, has been resolved, then
6 they are resolved.

7 **DR. MAURO:** I guess I assume then, then we
8 pass this by you, Wanda, and then you would
9 issue this new matrix just prior to the
10 December 11th working group meeting.

11 **MS. MUNN:** Right.

12 **DR. MAURO:** And that would be, in effect,
13 the working group's position as of that date
14 of that meeting.

15 **MS. MUNN:** That's correct.

16 **DR. MAURO:** Very good. This is very clean
17 now. I like this.

18 **MS. MUNN:** And if there's any concern that
19 remains with other Board members, they can
20 address it at the time we have our Board
21 meeting. They will have access to it.

22 **DR. MAURO:** Beautiful.

23 **MS. MUNN:** Excellent.

24 **DR. ZIEMER:** Could I ask one clarification
25 for OTIB-0008? Maybe Stu can help me. Was

1 there an earlier version of OTIB-0008?

2 **MR. HINNEFELD:** Yes, an OCAS, it's an OCAS
3 TIB.

4 **DR. ZIEMER:** Or OCAS TIB-0008.

5 **MR. HINNEFELD:** There was. I think I can --

6 **DR. ZIEMER:** This is called Revision Zero.

7 **MS. BEHLING:** Excuse me, this is Kathy. I
8 think what Stu sent to us was both the older
9 revision, the original that we were working
10 from and then the revised document. He had
11 both of them in there, Dr. Ziemer, because the
12 original OCAS TIB-008 was Rev. Zero Zero, and
13 that was published I believe on September 29th,
14 2003.

15 **DR. ZIEMER:** Oh, okay.

16 **MS. BEHLING:** Okay? And so let me look
17 here. What I printed out --

18 **DR. ZIEMER:** What I got from Stu didn't have
19 an earlier version, and since it said it was
20 Rev. Zero, I wasn't clear whether this was a
21 new --

22 **MS. BEHLING:** Okay.

23 **DR. ZIEMER:** -- in fact, under the
24 description it says it's the new document to
25 provide guidance and use of ICRP 66, but it

1 does replace a --

2 **MS. BEHLING:** What I'm looking at -- and
3 Stu, correct me -- but what Stu sent is Rev.
4 One, and it indicates that it supercedes Rev.
5 Zero. And the date on this is 10/4/2007.

6 **DR. ZIEMER:** Maybe I missed --

7 **MS. BEHLING:** We can resend that to you.

8 **DR. ZIEMER:** What I was looking at was
9 actually the earlier version. I guess I
10 didn't see the later one. I'll go back to the
11 e-mail. I only downloaded five things from
12 that e-mail. There must have been a sixth
13 one.

14 **MR. HINNEFELD:** If you can tell me, if
15 someone can tell me what date I sent that out,
16 I'm looking for it here in my sent e-mail. I
17 could look and see what I had attached to it.

18 **MS. MUNN:** I think the fifth.

19 **MR. HINNEFELD:** The fifth?

20 **DR. ZIEMER:** I'm going back in mine, too,
21 and looking to see what I had on that. I
22 think it was sent out on the 15th of October.

23 **MS. BEHLING:** Yes, it is the 15th.

24 **DR. ZIEMER:** Oh, I found it now. Yeah,
25 there was another one attached, and it got

1 covered up. You had so many attachments you
2 had to actually scroll through them, and I
3 didn't see that. I found it now. It's not a
4 problem.

5 **MS. MUNN:** Okay, we're all okay on ICRP-66?

6 **DR. ZIEMER:** Right.

7 **MS. MUNN:** If that's the case, we can move
8 on from that action item to the next one.
9 There is, as you all know, a great deal of
10 interest with respect to PROC-92. As matter
11 of fact, I had an inquiry from the media on
12 that earlier this week, and I told them that
13 we would only address the status today, try to
14 identify where we were, that it's coming along
15 all right, for the responses that were made.
16 I said that sometime this month, but we would
17 not have --

18 **MR. HINNEFELD:** We expect to have our
19 response in the hands of the work group and
20 SC&A probably by early next week.

21 **MS. MUNN:** That's great, because we will
22 have that fairly high on our ^ in Cincinnati.
23 We look forward to receiving it.

24 Anyone have any other questions?

25 **MR. ELLIOTT:** This is Larry Elliott. Just

1 wanted to elaborate a little bit on what Stu
2 offered there. We are preparing a detailed
3 written response, and I think this will go out
4 under a cover letter that I will sign. I will
5 address it to you as the Chair, Wanda, of this
6 working group and Dr. Ziemer as Chair of the
7 Board. And you can handle it as you see fit
8 from that, from those perspectives. But we
9 will be providing detailed reaction on that to
10 this review.

11 **MS. MUNN:** Excellent, I'll look forward to
12 receiving that, Larry. Thank you for the
13 information.

14 Next action item is the word response
15 to OTIB-0019.

16 **MR. HINNEFELD:** Yeah, we have a statistician
17 working on that so it's taking a little longer
18 than other humans. But we will provide that.
19 Now this kind of brings me to a question from
20 my standpoint for how to submit new
21 information now when we're kind of between the
22 time when we were submitting it on the old
23 matrix and between the time when we have the
24 complete new format matrix because there are a
25 number of pieces of information, not

1 necessarily 19-1, but it's a 17, three, four
2 and five.

3 We have some initial responses from
4 the second set of procedures. You know,
5 several of those that never had initial
6 responses. We have several initial responses
7 to provide that are about ready that I didn't
8 send out before this meeting because I just
9 assumed we would work from the matrix we
10 worked from in October. So in what fashion
11 should I submit things like that now? Because
12 I can send them at any time to allow the Board
13 and SC&A time to look at them prior to the
14 December meeting.

15 **DR. ZIEMER:** Let's see, we don't have the
16 new matrix in place yet, right?

17 **MR. HINNEFELD:** Correct.

18 **MS. MUNN:** It would be nice if the
19 information that Stu has on hand and ready to
20 come up were to be included in the new matrix.
21 That would be helpful.

22 **MS. BEHLING:** This is Kathy. Possibly if
23 Stu could send that information to me along
24 with everyone else, I will try to incorporate
25 it, I will make sure it gets incorporated into

1 the new matrix for the December 11th meeting.

2 **MR. HINNEFELD:** Great.

3 **DR. MAURO:** This is a lot like OTIB-0006, -
4 0007 and -0008 where we have reviewed it and
5 found favorably and in the next version of the
6 matrix you'll see it closed. So I assume that
7 this might also occur with respect to OTIB-
8 0019 and -0017, three, four and five. Are we
9 in sort of the same mode of operation?

10 **MS. MUNN:** I believe so.

11 **DR. MAURO:** Okay, good.

12 **MS. BEHLING:** And, Stu, if you would just
13 maybe include some specific words that you
14 would like to have put into the matrix so that
15 I don't misinterpret anything.

16 **MR. HINNEFELD:** Well, I hope to be able to
17 provide it to you on the old matrix so you can
18 just cut and paste, you know, our initial
19 response --

20 **DR. ZIEMER:** That'd be the way to do it.

21 **MS. BEHLING:** That's great. That's fine.
22 That's great.

23 **DR. MAURO:** Stu, this is John. Now, will
24 you be issuing a new version of OTIB-0019 and
25 -0017 similar to the way you dealt with the

1 previous six, seven and eight issue so that
2 when we review it, we're actually reviewing
3 the new document which has been modified to
4 some extent in response to our comments? Or
5 will you be providing us with what you would
6 be considered something more like a white
7 paper which would describe the kinds of
8 changes that are being made as opposed to the
9 actual document with its changes?

10 **MR. HINNEFELD:** Well, I would think what
11 the, the way we've kind of thought about this
12 for discussion is that we would, actually, we
13 provide an initial response. We talk about in
14 the meeting, and sometimes our initial
15 response is, okay, we see your point. We will
16 clarify this. And so sometimes we will commit
17 to make a change, and then I guess we'll go
18 into that in abeyance category we talked about
19 a minute ago.

20 **DR. MAURO:** Very good. That was the reason
21 I asked the question because depending on what
22 material we receive, the designation would be
23 either an in abeyance or closed.

24 **MR. HINNEFELD:** Right, I can provide like a
25 decision point, too, that we will revise a

1 procedure, but far more quickly than I can
2 provide a revised procedure. So I thought I'd
3 probably continue to work kind of in that
4 mode.

5 **DR. MAURO:** Okay, thank you.

6 **MS. MUNN:** Anything else on 19?

7 (no response)

8 **MS. MUNN:** Can we assume that the next item,
9 OTIB-0017, falls in the same category or is
10 there some more information we need to
11 discuss?

12 **MR. HINNEFELD:** It falls in the same
13 category from my standpoint.

14 **MS. MUNN:** John? Kathy?

15 **DR. MAURO:** That's fine. Sounds like the
16 machine is working. The system we set up and
17 the format and the designations, we're
18 actually applying it right now as we speak,
19 and it seems to be working well.

20 **MS. MUNN:** All right, then we'll assume that
21 that's going to be the case.

22 I notice that on the agenda where we
23 undertake SC&A with the action items, I had
24 indicated that we would take a 15-minute break
25 from 12:30 to 12:45. Well, it's coming up on

1 12:30. It was suggested to me before we made
2 the call that I might consider the fact that
3 some people have not had lunch. So what is
4 the pleasure of this group? Is a 15-minute
5 break at this time doable for you or do you
6 feel like you need a half hour for food?

7 **MR. HINNEFELD:** Well, speaking for myself,
8 I'd like to have the opportunity to eat lunch.

9 **DR. ZIEMER:** Can we get a half hour?

10 **MS. MUNN:** A half hour is not going to be a
11 problem as far as I'm concerned. Shall we
12 take a half hour? Is there an objection to
13 that?

14 (no response)

15 **MS. MUNN:** If everyone's amenable with that
16 then in lieu of --

17 **DR. ZIEMER:** Do you just dial in again? Do
18 we break and then dial in again? Is that how
19 it works?

20 **MS. MUNN:** I think it would be appropriate.
21 We might as well break the line now, and we'll
22 get back shortly after one o'clock, as close
23 to one as we can make it.

24 **DR. ZIEMER:** Sounds good.

25 (Whereupon, a lunch break was taken from

1 12:30 p.m. until 1:00 p.m.)

2 **MS. MUNN:** John, are you there with us?

3 **MS. BEHLING:** Some of the initial items
4 until John gets back.

5 **MS. MUNN:** Actually, I think we've addressed
6 most of them down through the first batch.

7 **MS. BEHLING:** I think so.

8 **MS. MUNN:** Do that until John comes back on.

9 **MS. BEHLING:** Okay.

10 **MS. MUNN:** Ray, are you ready?

11 **COURT REPORTER:** Yes, we're on.

12 **MS. MUNN:** We are officially back in
13 session, picking up the action items at the
14 point where it says SC&A. The first item
15 being reviewed modified OTIB-0008, -0006 and -
16 0007 which I believe we've covered thoroughly.

17 **MS. BEHLING:** Yes, I believe so. I hope.

18 **MS. MUNN:** Are there any outstanding items
19 in that regard or can we mark that off as
20 complete?

21 **MS. BEHLING:** From my perspective it's
22 complete.

23 **MS. MUNN:** Move on to the next one. I
24 believe we've thoroughly covered that one,
25 too, with respect to the format. I believe

1 we're all on pretty close to the same page as
2 to what we're going to expect to see on the
3 11th. And I think Kathy has committed herself
4 to do yeoman's work here. Is there any
5 additional comment with respect to the matrix
6 that we expect to see on December 11th?

7 **MS. BEHLING:** I have no additional
8 questions. I assume you're asking the Board.

9 **MS. MUNN:** Yes, I am.

10 **DR. ZIEMER:** I don't know of anything else
11 there.

12 **MS. MUNN:** All right, then let's move on
13 down to Procedure 0090.

14 **MS. BEHLING:** This is an item that Arjun was
15 intending to address. Now I know that John
16 spoke with Arjun earlier today, and he was not
17 in a position to participate in this
18 conference call. And, in fact, I was
19 anticipating an e-mail from him yet this
20 morning to discuss this item. However, I
21 haven't gotten anything from him yet. And so
22 I'm afraid that this is going to have to be an
23 open item because we haven't heard back from
24 Arjun yet.

25 **MS. MUNN:** I did have a message from Arjun

1 to John. He copied me.

2 **MS. BEHLING:** Okay, great.

3 **MS. MUNN:** He said he had reviewed -- I'll
4 read it for those who haven't heard it.

5 "John, per our conversation on the task list

6 below, I have reviewed your 0090, and it's

7 essentially the same as Procedure 0004, 0005

8 and 0017, the point of view that the comments

9 that SC&A made on the CATI procedure.

10 Therefore, Procedure 0900 (sic) can be used to

11 track SC&A comments and NIOSH responses." I

12 think that's a typo on that procedure number.

13 I'm sure he meant --

14 **DR. ZIEMER:** 0090.

15 **MS. MUNN:** "It may be useful to revise the

16 matrix with the new section numbers in order

17 to track this, but I have not done that." So

18 that's his response at this juncture. I guess

19 until Arjun is on the call, until he makes any

20 suggestion with respect to revising the matrix

21 with new section numbers --

22 **MS. BEHLING:** And I can discuss that with

23 Arjun so that when the new matrix comes out,

24 hopefully we can incorporate Arjun's comments

25 into that matrix.

1 **DR. MAURO:** Wanda, this is John Mauro. I'm
2 sorry. I was on the other line, and I got
3 caught up in a conference call, so I'm a few
4 minutes late, but I'm back.

5 **MS. MUNN:** Welcome back. We just dumped on
6 Kathy while you were gone. We have gone down
7 your list very quickly and determined that we
8 covered virtually everything down through -- I
9 was just reading aloud for the record Arjun's
10 e-mail this morning on Procedure 0090.

11 **DR. MAURO:** Yes.

12 **MS. MUNN:** I don't think there's more that
13 we can do.

14 **DR. MAURO:** Yeah, I spoke to him this
15 morning.

16 **MS. MUNN:** They've been incorporated in the
17 matrix.

18 **DR. MAURO:** Exactly right. When I spoke to
19 him this morning he said that 90 did, in fact,
20 roll up everything, but the issues are still
21 there. In other words we can now zero in on
22 0090 as the document that becomes the place
23 where we address the issues. But the issues
24 that were originally identified in four, five
25 and 17 are, in fact, still alive and well.

1 It's just that now we will be tracking them
2 under PROC-0090.

3 **MS. MUNN:** Right.

4 **DR. MAURO:** Yeah, that was what he
5 communicated to me this morning. He's out of
6 town this week.

7 **MS. MUNN:** That will go in our action item
8 in that form.

9 And the next one is the working matrix
10 of the findings on Procedure 0092 of which you
11 provided to us a couple of weeks ago, and I
12 have that in here. And I trust all of the
13 work group members have that. The next stop,
14 of course, will be NIOSH responses. I think
15 we've already covered that as well.

16 Stu, you indicated that would be
17 forthcoming shortly, right?

18 **MR. HINNEFELD:** I was muted, sorry. I
19 believe by early next week.

20 **MS. MUNN:** That's fine. So we've already
21 discussed that. There's nothing further to
22 comment through that item.

23 Does OTIB-0012 work up for us to
24 consider in addition to the matrix? We've
25 just received that. Don't know whether anyone

1 else has had an opportunity to do more than
2 just look through it. That's all I have done.
3 What is the pleasure of this group? Do you
4 wish to address the content of that item, or
5 do you wish to defer discussion on it until
6 the 12th?

7 **DR. ZIEMER:** It seems to me that doesn't
8 NIOSH need to react to this now?

9 **MS. MUNN:** It would appear to me that --

10 **DR. ZIEMER:** I read through it, but, and
11 it's fairly technical. I think that they are
12 taking issue with a couple major points so
13 that we need to probably hear back from NIOSH
14 or at least the response.

15 **MS. MUNN:** Agree, NIOSH?

16 **MR. HINNEFELD:** Yeah, we believe we should
17 provide a response to that. I'm trying to
18 find which set of procedures was TIB-0012 in.

19 **MS. MUNN:** Hold on. I'll see if I can, I'm
20 sure I can help you with that.

21 **MS. BEHLING:** I believe TIB-0012 was in the
22 second set of procedures.

23 **DR. MAURO:** Yes, I'm looking at it right
24 now. Yeah, it's in the second set.

25 **MR. HINNEFELD:** Well, for ^ purposes will

1 there then be sort of a matrix prepared or is
2 there a single finding? I mean, the nut of
3 the findings be captured and put in this -0012
4 then so ^?

5 **DR. ANIGSTEIN:** This is Bob Anigstein. I'm
6 the lead in preparing this white paper which
7 went out yesterday, and essentially we did a
8 second review. The initial review of TIB ^
9 since the TIB-0012 held the statistics we had
10 it reviewed by our inhouse statistician, Dr.
11 Harry Chmelynski. But that review did not
12 address the OSHA construction or physics
13 aspects of it. So in the process of preparing
14 for an earlier working group meeting, we
15 looked at it again.

16 I looked at that one, and some issues
17 that had previously not been captured came to
18 the forefront, and that's what the white paper
19 is about. That we don't quarrel with the
20 mathematics of the statistics, but we do have
21 an argument about the assumptions, about the
22 distribution, and primarily, it goes not so
23 much, TIB-0012 utilizes the OCAS-01 Procedure,
24 Appendix B. And we have a concern about the
25 triangular distribution of the dose conversion

1 factors and the way they utilize and the way
2 they're utilized in the procedures of TIB-
3 0012.

4 **MR. HINNEFELD:** Well, I mean, we can treat
5 it as -- I think I've got the nut of the
6 paper. I read it, and I think I kind of
7 understand the gist of it. I mean, we can
8 treat that as a finding in a matrix. Or if
9 there are other things, I mean, other findings
10 you feel like there are multiple things that
11 should be addressed, then I guess I would hope
12 to get a little more clarity about what the
13 multiple things are.

14 I mean the one thing that seems to be
15 addressed is that the existing approach
16 essentially assumes a uniform photon
17 distribution over the energy range. Is that
18 right?

19 **DR. ANIGSTEIN:** No, it doesn't actually.
20 The point is the existing approach treats the
21 various dose conversion factors for different
22 energies. Let's say, the example was 30 to
23 250 keV of photon energy range as if these
24 were like independent data points, and, in
25 fact, they're not. Not only that, but this is

1 from ICRP-74, they're not evenly spaced. The
2 lower energies are more closely spaced ^
3 arithmetic approaches, and as you get to
4 higher energies the spacing is wider and
5 wider.

6 And so the approach used by assigning
7 the mode to the middle one of the, I believe
8 there were seven that fell into this range, is
9 not claimant favorable, and it's not
10 scientifically justified. So there were two
11 suggestions made, and one is if it was a stop
12 gap measure it would probably suffice to
13 simply put the maximum ^ .

14 But in the case of the colon the
15 maximum dose conversion factor I think was
16 something like 150 keV. It was not the
17 highest. In other words it peaks and then it
18 goes down again with energy. So that would be
19 one way. And that's inarguable. It can't be
20 any more claimant favorable than that.

21 And then the next was a suggestion to
22 replace the Appendix B distribution with doing
23 MCNP calculations for each organ. It doesn't
24 have to be for each dose, dose reconstruction.
25 Replace that with a set of generic tables of

1 say a generic exposure scenario like you
2 already have in the very difficult TIB-0004
3 where there's a generic exposure to a slab of
4 uranium and to use AWEs.

5 And something along that line so that
6 for a given worker you say, okay, this is a
7 typical exposure that this worker had. This
8 is a typical radiation field which he was in.
9 And then it will be possible in a single MCNP
10 run to address all 16 major organs.

11 **MR. HINNEFELD:** Well, we'll have to --

12 **DR. ANIGSTEIN:** I mean, it's a lot of detail
13 probably.

14 **MR. HINNEFELD:** -- look through it and
15 decide our response.

16 **DR. MAURO:** Stu, this is John. By way of
17 bookkeeping, as you know, we do have a
18 standing concern with Appendix B dose
19 conversion factors that you folks are in the
20 process of revisiting. And that more or less
21 had to do with the ISO and GA geometries and
22 those concerns.

23 Now what we have here is really
24 another layer of concern that actually applies
25 also to the AP. As you know, historically,

1 the position was, well, the AP approach, you
2 know, as long as you're working with the AP
3 you're okay and don't use the others. And I
4 think that was generally agreed across the
5 board.

6 What we're saying now is that, well,
7 we also have some concerns with using the
8 current version of the triangular distribution
9 for AP. And now where I'm going with this is
10 that this in theory could become part and
11 parcel as one more aspect of your
12 consideration of Appendix B to OCAS-001, and
13 it could fall into that category. And in
14 those terms I don't know if you would call it
15 transferred, or we could refer to it as this
16 being addressed as part of the particular
17 issue currently being addressed as part of
18 OCAS-001 which goes back to the original first
19 set of reviews.

20 This is really a choice that the
21 working group has. We could either deal with
22 this as a stand-alone issue and incorporate it
23 as a stand-alone issue in the next version of
24 the matrix with these issues identified, and,
25 of course, leaving a blank space for you folks

1 to fill in your response to it. Or we can
2 designate this as something that is being
3 handled under one of the, whatever the
4 appropriate issue is under our review of OCAS
5 IG-001.

6 **DR. ANIGSTEIN:** John, I'll make a comment.

7 **DR. MAURO:** Sure.

8 **DR. ANIGSTEIN:** TIB-0012 and OCAS-001,
9 Appendix B, are really inseparable, so you
10 can't really address one without the other.

11 **DR. MAURO:** Well, but that's why I bring
12 this up. I mean, it may turn out that it's
13 most convenient and expedient just to
14 integrate the whole issue as an Appendix B,
15 OCAS-001 issue that is currently being
16 addressed as opposed to breaking this out
17 separately.

18 **DR. ANIGSTEIN:** If Appendix B is fixed, then
19 TIB-0012 goes away.

20 **DR. MAURO:** Yeah, up until now the
21 particular issue that you raised, Bob, was not
22 an issue that we --

23 **DR. ANIGSTEIN:** Yes, I understand that.

24 **DR. MAURO:** Right, so this becomes an added
25 item to the Appendix B OCAS concern.

1 **DR. ANIGSTEIN:** Right.

2 **DR. ZIEMER:** Could I ask you a question on
3 the white paper? This is Ziemer. Bob, I'm
4 looking at Figure 1, which is the draft or the
5 curve for the DCF factor \wedge of energy. So are
6 these the NIOSH data points?

7 **DR. ANIGSTEIN:** No. Well, yes, yes, I --

8 **DR. ZIEMER:** Oh, they are. What I'm trying
9 to understand, I think what you're saying is
10 if they said the sixth point is the mode,
11 well, fifth or sixth, and you're saying, yes,
12 but the energy intervals are not evenly
13 spaced.

14 **DR. ANIGSTEIN:** That is correct.

15 **DR. ZIEMER:** So statistically to call that
16 the mode of the distribution may be
17 statistically invalid. And I think what
18 you're saying is instead of about 0.75 or
19 four, whatever that is, use the upper end --

20 **DR. ANIGSTEIN:** It goes, it's more than
21 that.

22 **DR. ZIEMER:** It levels out at 0.8 or 0.79,
23 but --

24 **DR. ANIGSTEIN:** No, it's more than that
25 because it's not a triangular distribution.

1 **DR. ZIEMER:** That's right. I understood
2 that. I was just trying to understand the
3 point --

4 **DR. ANIGSTEIN:** My argument is not with the
5 value of the mode as much as with the whole
6 concept because when you fold the triangular
7 distribution into the normal distribution of
8 dosimeter errors, you come up with a mean that
9 is much lower.

10 **DR. ZIEMER:** Than this mode.

11 **DR. ANIGSTEIN:** Yes.

12 **DR. ZIEMER:** Okay, I get you. And then the
13 claimant-friendly values then are different,
14 is that what you're saying?

15 **DR. ANIGSTEIN:** Yes, and my recommendation
16 as the simplest method would be simply to use
17 a fixed value, not use a triangular
18 distribution which is a fixed value in this
19 case of 0.798, and then fold that fixed value
20 into the distribution of dosimeter error and
21 whatever other value the distributions there
22 are.

23 **DR. ZIEMER:** And have you looked at the
24 impact that that has or does that make a big
25 difference?

1 **DR. ANIGSTEIN:** We did not run IREP to see,
2 you know, to see the two different methods.
3 We just simply compared that the mean of the
4 distribution that is tabulated in the back of
5 TIB-0012 in this instance was about 38, in
6 other words, you would have 38 percent higher
7 dose if you used the single value that I
8 suggested of 0.798 as opposed to the mean of
9 0.59. Now, I realize the mean is not a single
10 value, so I'm not certain how it would, we
11 didn't go that far. We certainly could if
12 we're asked to. I mean, there would just be a
13 bigger effort if we were asked to prepare
14 essentially a one-page white paper which
15 turned out to be three.

16 **DR. ZIEMER:** Well, I guess we need to hear
17 the response from NIOSH on this and see
18 whether it's significant or not.

19 **MS. MUNN:** Can we suggest that NIOSH and
20 SC&A discuss this offline? And that do the ^
21 that are enumerated in the white paper to have
22 that discussion available for us then when we
23 meet face-to-face in December. So can we
24 capture the key issues, the interests that we
25 have. Can we do that, Kathy?

1 **MS. BEHLING:** I believe that'll be fine.

2 Bob, are you in agreement with that?

3 **DR. ANIGSTEIN:** I'm not too -- I have a
4 little trouble hearing, Wanda. Could you
5 restate that?

6 **MS. MUNN:** I'll try it with my handset.
7 Maybe I'm a little too far from the phone.

8 **DR. ANIGSTEIN:** Yeah, that's much better.

9 **MS. MUNN:** I'm suggesting that we have a
10 communication between you and NIOSH with
11 respect to the points that you've raised and
12 that we've discussed here to see if there can
13 be a meeting of the minds. In the meantime,
14 Kathy will try to capture the key issues on
15 the matrix so that we will have written record
16 on it and a proper place for this white paper
17 to go when these issues are resolved. And
18 that we will then address them December 11th.
19 Is that reasonable?

20 **DR. ANIGSTEIN:** It's fine by me.

21 **MS. BEHLING:** And that's fine by me. I can
22 certainly add these items to the matrix.

23 **DR. ZIEMER:** I just want to make sure I
24 understand. There's two issues here I guess.
25 One is the issue of the triangular

1 distribution versus the point value.

2 **DR. ANIGSTEIN:** Uh-huh.

3 **DR. ZIEMER:** Is that one? And then the
4 other is the use of the mean or the mode
5 versus use of the bounding value?

6 **DR. ANIGSTEIN:** Well, if we use a point
7 value, then the triangular distribution just
8 goes away.

9 **DR. ZIEMER:** Right, that goes away.

10 **DR. ANIGSTEIN:** And then the mode would go
11 away.

12 **DR. ZIEMER:** And the point value would be
13 the upper end of this curve?

14 **DR. ANIGSTEIN:** Yeah. But the other
15 suggestion would be if you wanted to go that
16 extra mile to make the most precise, you would
17 come up with a single value. My envision is
18 let's say for this colon case, once you define
19 an exposure, a generic exposure geometry for a
20 particular class of workers at a particular
21 facility, then you could do an MCNP run where
22 you could say, okay, then the photons in the 0
23 to 230 keV, 30 to 250, 250 to and above 250
24 and see what the actual values are as compared
25 to the HP-10. And the ratio of that would be

1 your conversion factor.

2 And the additional advantage of that
3 you would have a precise way of knowing what
4 fraction of the photons to assign to each of
5 the three ranges which now is not clear in the
6 various site procedures that I've seen how
7 those fractions are arrived at. And since you
8 can do multiple organs in one run it wouldn't
9 be that labor intensive.

10 That's just a suggestion. But
11 certainly using the maximum would do the job,
12 would be claimant friendly, and there would be
13 a reasonable basis for it.

14 **MS. BEHLING:** This is Kathy Behling. I also
15 think that it just makes it cleaner. And I
16 believe it might be a little bit more
17 organized for us if we put these findings
18 under OTIB-0012 and indicate in there that
19 this also impacts Appendix B of the
20 Implementation Guide.

21 **DR. MAURO:** Yeah, what I was thinking from a
22 practical sense the solution, and let's say
23 there is a resolution to this particular item
24 related to this procedure. It will have a
25 ripple effect on NIOSH in terms of the work

1 it's doing across the board on Appendix B to
2 OCAS-001. So I mean, they're connected at the
3 hip, and it's going to be important that
4 whatever is decided and done for -0012 will
5 have certainly an effect on how the bigger
6 picture, the Appendix B issue, is ultimately
7 resolved.

8 **MS. BEHLING:** And we've done that in the
9 past just like an example is OTIB-0023. When
10 Hans reviewed that, he had, because that was
11 also linked to the Implementation Guide. It's
12 being tracked under OTIB-0023, but the
13 Implementation Guide issue was discussed and
14 NIOSH is also going to address the
15 Implementation Guide along with OTIB-0023. So
16 this has been done before.

17 **DR. MAURO:** Okay.

18 **MS. MUNN:** So we're back to our suggested
19 process of NIOSH and SC&A discussing this
20 offline to see if they can reach a resolution
21 of the issues. And we will incorporate the
22 two issues that were raised in the white paper
23 and try to capture the essence of them on the
24 matrix and discuss it at the December 11th
25 meeting, right? Is that agreeable?

1 **DR. ZIEMER:** Sounds good.

2 **DR. MAURO:** Yes.

3 **MS. MUNN:** All right, anything else on that
4 particular item?

5 (no response)

6 **MS. MUNN:** If not, then let's go to response
7 to OTIB-0017-06 and report the position to the
8 work group. We had talked about -0017-06
9 before.

10 **MS. BEHLING:** John, that's you.

11 **DR. MAURO:** I was on mute, and I was looking
12 at it and --

13 **MS. MUNN:** Prior adjustments LOD.

14 **DR. MAURO:** We did not prepare anything in
15 response to this.

16 **MS. MUNN:** Okay, so it needs to be a
17 carryover?

18 **DR. MAURO:** It'll have to be a carryover. I
19 apologize. I did not take action on this.

20 **MS. MUNN:** That's quite all right.

21 And the next items were --

22 **DR. ZIEMER:** Does that, that was a matrix
23 item?

24 **MS. MUNN:** That was a matrix item, uh-huh,
25 very near the tail end where we stopped.

1 NIOSH and SC&A were to discuss OTIBs -
2 0006 and -0007 to determine if they need to be
3 reviewed as documents that have been modified
4 as a result of review or as new documents.
5 And the decision is?

6 **MS. BEHLING:** The decision was that this was
7 just a modified document based on our initial
8 findings, and as we discussed earlier, I've
9 already reviewed these two TIBs.

10 **MS. MUNN:** Fine, I think we covered that
11 pretty thoroughly earlier in the call. Anyone
12 have any objection to calling that one
13 complete and moving on?

14 **DR. ZIEMER:** No.

15 **MS. MUNN:** The next item we have is
16 conducting further clarifying technical
17 discussions on OTIB-0023 and reporting those
18 out to the work group.

19 **MS. BEHLING:** On this item Hans and I did
20 talk with Stu on Monday, the 5th, and I think
21 we have come to resolution on the OTIB-0023
22 findings.

23 And, Stu, I'll let you elaborate.

24 **MR. HINNEFELD:** We believe there are some
25 clarifying revisions that we can make in OTIB-

1 0023 and then also it affects IG-001, probably
2 a page change in IG-001. That will, that's
3 the findings.

4 **MS. BEHLING:** And I believe, Stu, during our
5 conversation on Monday, Stu also indicated
6 that he would put together wording as to what
7 those changes will be and that will get
8 incorporated again into the new matrix.

9 **MR. HINNEFELD:** Right, this is part of the
10 new information I'll provide to Kathy fairly
11 quickly and should be available to the matrix
12 for the next meeting.

13 **MS. MUNN:** That's good. All right. Fine,
14 then we can anticipate that that will be
15 incorporated in the next matrix, and that the
16 only comment that we'll have ^ items,
17 resolution incorporated.

18 The science issue is something that I
19 don't see that we can address here at all.
20 That's another one of the things that we need
21 to discuss with the full Board, try to make
22 sure that we're covering this in our matrix
23 process and do it adequately.

24 **RESUME MATRIX ITEMS**

25 Now we are ready to pick up where we

1 left off at our last meeting with Supplement 1
2 Procedure Findings. We were on OTIB-0017-09.
3 It's page 13 of our matrix items. I believe
4 it's September 25. Are we all there?

5 **DR. MAURO:** Yes. We're at the point where I
6 guess the ball's in my court. This is John.
7 I reviewed all of the remaining OTIB-0017-09
8 through, I guess, it goes on to the last one
9 on 15. And where we are, we'll start with -
10 09.

11 You know, we consider that the
12 response is acceptable, and as far as we're
13 concerned, number nine is closed.

14 **MS. MUNN:** Excellent.

15 **DR. ZIEMER:** Hang on just a second. What's
16 the date of the matrix are we working from?

17 **MS. MUNN:** We're working from the same
18 matrix we were using at our last meeting which
19 is, the original date on it was May 21st, 2007,
20 but the revised draft that we were working
21 from is dated September 25, 2007.

22 **DR. MAURO:** The NIOSH responses that we're
23 looking at are all in red.

24 **MS. MUNN:** Uh-huh.

25 **DR. MAURO:** By the way, the reason you'll

1 see for many of my comments which I believe
2 you're going to find that they're primarily
3 closed, is the general concept that we don't
4 look at OTIB-0017 in a vacuum.

5 This is sort of like a policy judgment
6 that we all discussed during the last meeting
7 where the fact that a particular piece of
8 information is not explicitly provided in this
9 particular OTIB but cross-references other
10 OTIBs, the site profile, the way we're looking
11 at this now is that we look at the particular
12 OTIB as just one part of the suite of
13 guidelines that are available to the dose
14 reconstructor.

15 And as long as there's enough language
16 in the OTIB to alert the dose reconstructor
17 that there is ^, and there are other guidance
18 out there that needs to be considered. In the
19 case of number nine, for example, the response
20 basically says, well, the ^ radionuclides and
21 their energy distributions are all really laid
22 out on a site-by-site basis in the site
23 profile. And we accept that.

24 So that in effect it goes without
25 saying that, of course, when you implement

1 OTIB-0017, you take into consideration the
2 rich information that's contained in the site
3 profile. And it is there. You know, the site
4 profiles do talk about the radionuclides
5 except if there's an issue on a particular
6 site profile where that issue is incomplete.

7 So we have a bit of a, I guess what we
8 have is a situation where we agree with the
9 concept. Namely, if the site profile is
10 basically complete in addressing the range of
11 radionuclides that are at play, then the dose
12 reconstructor is in a position to make an
13 informed judgment on what the energy
14 distributions may be that he's dealing with
15 when he's implementing OTIB-0017. So that's
16 the reason why we feel the issue has been
17 resolved.

18 **MS. MUNN:** Okay, Paul?

19 **DR. ZIEMER:** Uh-huh.

20 **MS. MUNN:** Move on to Finding 10.

21 **DR. MAURO:** Same thing. It's the same kind,
22 the answer is, yes, this issue is closed from
23 our perspective because in effect you can't
24 expect the OTIB to do everything, and the DR,
25 the dose reconstructor, has access to a lot of

1 other information that's going to allow him to
2 do this in an informed way. And we agree that
3 that has to be the way it's done because it's
4 impossible for any one OTIB to capture
5 everything. So again, for the same reason,
6 number ten we feel is a closed item.

7 **MS. MUNN:** Eleven skirts around the item we
8 were just discussing in 12.

9 **DR. MAURO:** Yes, it's the same thing.

10 **MS. MUNN:** ^.

11 **DR. MAURO:** Yes.

12 **MS. MUNN:** Item 12.

13 **DR. MAURO:** Twelve is a little different.
14 It's basically NIOSH agrees that perhaps a
15 little bit more clarity is needed, but it will
16 be done at a convenient time. In other words
17 at the time when there are revisions this kind
18 of clarification, this is more of a
19 housekeeping issue than it is something of
20 technical substance.

21 So as far as we're concerned, you
22 know, during due process of upkeep on these
23 various OTIBs, this type of comment, number
24 12, is certainly easier to take care of during
25 the next round of revisions. So whether you

1 want to consider that closed or in abeyance
2 I'm not quite sure.

3 **MS. BEHLING:** I consider that in abeyance.

4 **DR. MAURO:** Okay, very good. That's helpful
5 because we're really testing the system now
6 and how we're going to classify these things.

7 **MS. MUNN:** Does anyone disagree with Kathy?
8 It's in abeyance to me.

9 **DR. ZIEMER:** Uh-huh.

10 **MS. MUNN:** And OTIB-0013 is a bit of a
11 different thing.

12 **DR. MAURO:** Again, you notice the cross-
13 referencing to, it looks like the response
14 makes reference to PROC-06, and so from that
15 perspective, yes, we agree, and we consider
16 this to be closed.

17 **MS. MUNN:** And, Kathy, do we consider that a
18 transfer then?

19 **MS. BEHLING:** Actually, I just walked away
20 to look for something for a minute, and I
21 apologize. I'm going to have to ask John to
22 repeat what he said. I apologize.

23 **DR. MAURO:** Yeah, Kathy, what's happening
24 here is a concern is raised here. The issue
25 is the OTIB does not identify any cases where

1 a possibly high POC can be determined early in
2 the investigation. So in other words, it's
3 part of the triage process. That is, when
4 you're using OTIB-0017 for shallow dose,
5 there's a triage process.

6 And our concern was that it's not
7 apparent what that process is. But then the
8 response appropriately so is NIOSH says, well,
9 wait a minute, the triage process is described
10 in PROC-06. That's where that issue is
11 addressed. So I consider that, you know,
12 given the context that there's inter-linkage
13 between all these procedures, I consider that
14 to be responsive to our concern, and from my
15 perspective it's closed.

16 **MS. BEHLING:** Let me ask a question. Does
17 OTIB-0017 prompt the dose reconstructor to go
18 to PROC-06 for that triage process?

19 **DR. MAURO:** Yeah, in the response in red
20 you'll see the last sentence says in addition
21 OTIB-0017 does give guidance on the topic of a
22 low-high POC potential on page six, items A, B
23 and C. So there is a pointer.

24 **MS. BEHLING:** Okay. Then that's closed.

25 **DR. MAURO:** Yeah, so that's why I considered

1 that this is responsive. Now I have to say I
2 didn't go back to PROC-06 and a review on that
3 to see if there's anything outstanding related
4 to this matter, but I just accepted the fact
5 that this is an issue that's closed because
6 PROC-06 addresses this concern. Now whether
7 or not we have an issue with PROC-06, I'll be
8 the first to say I did not go back and check
9 out where that stands.

10 **MS. BEHLING:** We are addressing PROC-06. We
11 addressed PROC-06 in our first set, and we're
12 also addressing it in our third set. So all
13 of the findings and issues should be covered
14 in the next set, the third set.

15 **DR. MAURO:** Okay. Now, that brings me to
16 the question of one of designation. Since
17 this response basically says there's a point
18 at the PROC-06, now if the fact that PROC-06
19 may be still active, do we close this or is
20 this in abeyance? These get awful
21 complicated.

22 **MS. BEHLING:** No, I think we close this.

23 **DR. MAURO:** Okay.

24 **MS. BEHLING:** I think the only thing I would
25 suggest is maybe let's just go back and look

1 at PROC-06 and be sure that that does satisfy.
2 But if NIOSH says here that they pointed to
3 PROC-06, I think that that should satisfy us.

4 **MS. MUNN:** I agree.

5 All right, item 14.

6 **DR. MAURO:** Okay, item 14 is a long one, and
7 I believe that this item is, the response is
8 fully responsive to our concern, and I think
9 we believe that this issue should be closed.

10 **MS. MUNN:** The 14 is acceptable.

11 **DR. MAURO:** Yes, and the same thing holds
12 for 15.

13 **MS. MUNN:** Finding 15.

14 **DR. MAURO:** Yes, it's the same situation.

15 **MS. MUNN:** That's a long one.

16 **DR. MAURO:** Yes, that's a long one very much
17 related to the previous one.

18 **MS. MUNN:** All right, acceptable.

19 **DR. MAURO:** So we believe that that's
20 responsive and consider the item closed.

21 **MS. MUNN:** All right, very good. We do not
22 have another NIOSH response until page 17 on
23 OTIB-0009. This one being addressed is a
24 global issue with the Procedures working
25 group. That's, as I see it, a matter of just

1 identifying that properly on our page in our
2 new matrix.

3 **MS. BEHLING:** Okay, we'll do that.

4 **MS. MUNN:** ^ item that I see is page 18,
5 OTIB-0028-01 you have been provided?

6 **DR. MAURO:** Yes.

7 **MS. MUNN:** Acceptable?

8 **DR. MAURO:** Yes.

9 **MS. MUNN:** So page 19, -0028-04.

10 **DR. MAURO:** We find this acceptable.
11 Namely, that the answer is that when such a
12 situation arises, they'll be dealt with on a
13 case-by-case basis. In effect, yeah, we
14 raised the question that there are certain
15 circumstances that are not explicitly covered
16 by this protocol in OTIB-0028. And the
17 response is that it will be dealt with. When
18 such a situation arises, it will be recognized
19 and dealt with on a case-by-case basis.

20 I'm not quite sure whether the OTIB
21 alerts the reader to it so maybe I have to go
22 back and take another look at it. But maybe
23 Stu is in a position to, is there, in other
24 words if this circumstance arise, in other
25 words where you're dealing with an AMAD

1 different than five micron, the concern is
2 quite straightforward.

3 There are circumstances when your
4 aerosol may be substantially different and
5 smaller than five micron AMAD. And under
6 those circumstances the doses could be
7 substantially higher if it's smaller
8 especially for the lung for example. And the
9 response is that, well, if that situation
10 arises, do you have the wherewithal for
11 dealing with it.

12 And I agree with that. That is, you
13 know, you could put in different particle size
14 distributions into IMBA and deal with it. The
15 only question I had, I guess, for NIOSH was,
16 is that discussed. I believe it might be
17 addressed in OCAS-002, IG-02, where you
18 deviate from the default on a case-by-case
19 basis.

20 Stu, am I correct with that?

21 **MR. HINNEFELD:** I think that might be likely
22 to be the place where it is although sitting
23 here today I couldn't tell you for sure.

24 **DR. MAURO:** Okay.

25 Here's a question to the, this is

1 almost like a generic issue. This is a great
2 example. The procedures all follow standard
3 ICRP protocol. So when you do an internal
4 dosimetry for inhalation, automatically you go
5 with the five micron AMAD.

6 And my understanding is unless there's
7 reason to believe that that aerosol particle
8 distribution might be substantially different,
9 as might be the case if you had a fire and
10 there was a fume or you were doing welding and
11 you're dealing with a fume where the particle
12 sizes are less than one micron, there really
13 is no reason to deviate from the five micron.

14 The question becomes how explicit
15 would, for example, OTIB-0028 need to be in
16 terms of its guidance to the dose
17 reconstructor to alert him to the conditions
18 under which when he may need to deviate from
19 the standard protocol and what to watch out
20 for.

21 Right now, I'm not quite sure. I'd
22 have to check again, but I don't think OTIB-
23 0028 goes there and gives you pointers when
24 you may have to deviate from this procedure,
25 but OCAS-001 does, OCAS-IG-01 does. When you

1 read through that big, thick guideline, it
2 does talk about particle size distributions.

3 So in a way, the way I guess I'm
4 looking at it, and why I would say that,
5 probably this is closed is that when you take
6 it, when you realize that OCAS-001 being the
7 platform that you're building from and that's
8 given as, that is, that's what the dose
9 reconstructor is fully aware, fully trained in
10 the use of OCAS-IG-02 -- I'll cite that one,
11 too -- then you could use OTIB-0028 in a very
12 informed way.

13 So the question becomes to what extent
14 does OTIB-0028 need to tell the dose
15 reconstructor that. This is a recurring theme
16 that we run into a lot in all our reviews.
17 You know, how much information really needs to
18 be put into any given OTIB?

19 **MS. BRACKETT:** This is Liz Brackett. If I
20 could throw something in here. OTIB-0028 was
21 intended to just document the dose conversion
22 factors that we're using for thorium because
23 the values in IMBA are incorrect. So it
24 wasn't intended to go over all of the specific
25 details. We did have OTIB-0060, which is

1 internal dosimetry. It's not very detailed in
2 here but there is a paragraph on particle size
3 distribution that says the default is five
4 microns, and this value is to be used for
5 evaluating information intakes in the absence
6 of known information as documented in the site
7 profiles or the case file. And so this is
8 supposed to be the guidance for general
9 internal dosimetry issues. And maybe that
10 could use a little bit of strengthening, but
11 OTIB-0028 wasn't really intended to go over
12 all the details related to thorium.

13 **DR. MAURO:** Yeah, I understand that, and I
14 guess it's just a matter of, I think that
15 philosophy, the strategy for, as long as
16 everyone really understands that we're really
17 building a system of guidance documents that
18 are all interconnected and interdependent.
19 And that there's a training program so that
20 everyone is fully apprised of the array so
21 that they could use any one document properly
22 within the context of its intent and with due
23 consideration of the other documents. That
24 being the case, an awful lot of our findings
25 go away.

1 **MS. MUNN:** Stu, can we be reassured IG-02 is
2 such a basic tool that dose reconstruction
3 would be --

4 **MR. HINNEFELD:** Well, I think the document
5 that Liz mentioned, the OTIB-0060 or PROC-60,
6 whichever it is, that is described, you know,
7 the title is "Internal Dose Reconstruction" is
8 probably a more commonly referenced direction
9 and probably a more commonly used as long as
10 anybody ever comes new onto the program any
11 more that that would be the location where you
12 would expect it. I think IG-02 is like the
13 fundamental underpinnings, but I don't know
14 that very many people rely on it for a day-to-
15 day instruction.

16 **MS. BEHLING:** This is Kathy Behling. What I
17 see in dose reconstruction reviews is exactly
18 that. Typically, they will go to the OTIB-
19 0060 now as opposed to the Implementation
20 Guide, but I do think OTIB-0060 does provide
21 an adequate explanation of this.

22 **MS. MUNN:** We can call this acceptable given
23 the circumstances.

24 **DR. MAURO:** I agree.

25 **MS. MUNN:** All right. ^ closed on item 6-

1 04. Likely the same would apply to 11-01,
2 outstanding issue there, 01 and 02. More
3 issues?

4 **DR. MAURO:** I'm sorry. I just lost track a
5 bit. Are we, which OTIB are we on now?

6 **MS. MUNN:** We're on OTIB-0011.

7 **DR. MAURO:** Eleven, that's the tritium one,
8 okay.

9 **MS. MUNN:** One and two.

10 **DR. MAURO:** Yeah, we've resolved that
11 previously I believe.

12 **MS. MUNN:** There was just a slight addition
13 there. I wanted to make sure it was
14 acceptable and closed.

15 **DR. MAURO:** Yes.

16 **MS. MUNN:** OTIB-0019-01.

17 **DR. MAURO:** Let me get there. I'm flipping
18 through my big book. It's a little easier for
19 me to get oriented.

20 **MS. MUNN:** That's all right.

21 **MR. HINNEFELD:** Oh, 19-01 is the one we
22 talked about off the agenda. That's where we
23 owe an alternative response which is not yet
24 ready.

25 **DR. MAURO:** Oh, yes, yes.

1 **MR. HINNEFELD:** That was one of our action
2 items from on the agenda.

3 **MS. MUNN:** Right.

4 **DR. MAURO:** Yeah, we discussed this
5 previously, that's correct.

6 **MS. MUNN:** That's right. My action item
7 that I did record back up there was reword
8 OTIB-0019 in process. Forward the responses
9 before the 11th, right?

10 **DR. MAURO:** Right, I recall this. As a
11 matter of fact Bob Anigstein might be on the
12 line.

13 **DR. ANIGSTEIN:** Yes. If I remember
14 correctly, Jim Neton said that they're going
15 to reword the OTIB-0019.

16 **MS. MUNN:** And that's just what I have on my
17 notes, for action. All right.

18 TIB-0012, no response required, that
19 one's closed?

20 **DR. MAURO:** Yes.

21 **DR. ZIEMER:** Twelve was just discussed.

22 **MS. MUNN:** Yes. OTIB-0004, response from
23 NIOSH.

24 **DR. MAURO:** This has some history. A lot of
25 the issues that are still active here are

1 going to some global discussion regarding
2 ingestion, oronasal breathing, that sort of
3 thing. I'm not sure how we resolved them at
4 the last meeting, but we did speak to this
5 extensively.

6 **MS. MUNN:** Well, it says in another context
7 that it would go to the global issues. Is
8 that the same? Is it also true here? What do
9 we want to do with this one? So work group
10 members take a moment to refresh your memory
11 and read the wording on this one.

12 (Work group members comply)

13 **MS. MUNN:** Does this go to global issues
14 under the --

15 **DR. MAURO:** I think each one has its own
16 little story, and I think they're all in hand
17 so to speak. They're being dealt with. I
18 believe, you know, for example, the very first
19 one, number one, goes toward the inhalation
20 rate, 1.2 cubic meters per hour. And also at
21 the same time if you remember when we started
22 to discuss the 1.2 cubic meters per hour as a
23 generic value, we also found ourselves
24 diverting into, wait a minute. Is OTIB-0004
25 intended solely for uranium metal facilities

1 or does it also include processing facilities?

2 And that was an important issue that
3 NIOSH previously reported back. This was like
4 an issue that I don't think was actually
5 written up. But NIOSH reported back to
6 confirm that OTIB-0004 is only for
7 metalworking facilities and did not apply to,
8 and that sort of closed that out. So I think
9 that issue was raised. That was actually
10 captured here on page 21.

11 **MS. MUNN:** That's acceptable, and we can
12 close that one.

13 **DR. MAURO:** Right.

14 **MS. MUNN:** ^ --

15 **MR. GRIFFON:** I'll tell you, Wanda, one
16 comment on that though just for other readers
17 that NIOSH response in red doesn't respond to
18 the findings so it's kind of confusing.

19 **DR. MAURO:** That's correct.

20 **MR. GRIFFON:** I understand after John's
21 explanation, but just to, I don't know how we
22 deal with that, but --

23 **DR. MAURO:** And I get back to the 1.2. I
24 only brought that up because that issue did
25 come up. Somehow it emerged over the course

1 of the 1.2.

2 **MR. GRIFFON:** I know. I was reading the
3 response and saying how does this relate to
4 the breathing rate? It doesn't really.

5 **DR. MAURO:** I think the breathing rate is
6 part and parcel to the, in other words, when
7 do you deviate from 1.2, and you go to 1.7?
8 That was one of the concerns. And I think
9 that while I know that there are times when
10 NIOSH does use 1.7 as being an upper bound for
11 very heavy work, and we did discuss the fact
12 that since OTIB-0004 is a generic bounding
13 protocol for denial only for AWE facilities
14 metalworking.

15 We all agree that that kind of work
16 very often is very strenuous. And the issue
17 had to do with whether or not it makes sense
18 for OTIB-0004 to use something other than 1.2.
19 I think you may have gone to 1.7 in Bethlehem
20 Steel. I'm not sure. But I don't know if
21 this issue is resolved.

22 **MS. BEHLING:** This is Kathy. I don't
23 consider this issue resolved. I believe this
24 is still, that it could be transferred to the
25 global issue, but it's still an issue that

1 needs to be discussed. That's my reading.

2 **MS. MUNN:** Well, my reading is that we
3 captured that in two where we specifically
4 said that the breathing is a global topic.

5 **MR. HINNEFELD:** Two describes oronasal
6 breathing, in other words people who are mouth
7 breathers, that impact. That is the breathing
8 rate, and that's 1.2. If I'm not mistaken,
9 1.2 cubic meters per hour or whatever, is a
10 combination actually of at rest and heavy
11 labor. So it's not like people are taking it
12 easy and breathing 1.2 cubic meters per hour.
13 It's a combination of at rest and heavy labor.
14 And there's some discussion I believe about
15 can someone really work eight hours laboring
16 so hard.

17 **DR. ZIEMER:** Well, we had that discussion at
18 the last Board meeting. I think Jim Neton --

19 **MR. HINNEFELD:** Jim was on at the last one,
20 and --

21 **DR. ZIEMER:** And Jim cited some reference
22 indicating that a worker could not work at the
23 heavy rate for eight hours.

24 **MR. HINNEFELD:** Right.

25 **DR. MAURO:** You're right. Yeah, I recall

1 that.

2 **MR. GRIFFON:** But I think that was kind of,
3 it's going back to the global question. I
4 think that was kind of Jim's update on those.
5 I mean we haven't seen necessarily a white
6 paper on that from Jim.

7 **DR. ZIEMER:** Right, that was a status report
8 at that point. But I think the 1.2 is not
9 necessarily just a light breathing rate. It's
10 some kind of a --

11 **MR. GRIFFON:** Agreed, yeah.

12 **DR. ZIEMER:** I guess the question is what do
13 we do with this at this point.

14 **MR. GRIFFON:** I think it's going to be one
15 of those topics that's going to be in that
16 generic paper. Is it not being addressed in
17 addition to oronasal breathing? Isn't it for
18 also part of --

19 **MR. HINNEFELD:** I'd have to talk to Jim.

20 **MR. GRIFFON:** Yeah, I'm not sure either.

21 **DR. MAURO:** Yeah, when we had this
22 discussion, I mean, Jim certainly made a very
23 convincing argument that you're not going to
24 have someone working eight hours a day at 1.7.
25 He'd hyperventilate. And I know I certainly

1 believe that, but that was the response. Now
2 the question becomes to what degree do we need
3 a white paper or something, in other words, in
4 order to close this item, do we need
5 something, a record, saying, listen, here's
6 the reason we, and I certainly accept that as
7 being, you know, we did not investigate that.

8 **MR. GRIFFON:** I would think we do, John,
9 because on those overheads that Jim showed
10 also there was some, at least to me, there was
11 some numbers that weren't intuitively obvious.
12 I mean, they were kind of counterintuitive, a
13 couple were --

14 **MR. ELLIOTT:** This is Larry Elliott. I'm
15 sorry. I was answering, but you couldn't hear
16 me because I had you on mute, and Stu stepped
17 in there thankfully. But I do want to
18 reiterate that, yes, Jim will be preparing a
19 summary paper on this issue, and that's what
20 you should be waiting for.

21 **MR. HINNEFELD:** Well, that's where it's at
22 now.

23 **DR. ZIEMER:** It is kind of a global issue,
24 isn't it?

25 **MR. ELLIOTT:** Yeah, it's a global issue.

1 You know, we don't consider it to be wrapped
2 up and final because, just because Jim made a
3 presentation of it at the Board meeting.
4 There's got to be this delivery of this paper,
5 white paper, on it.

6 **MR. GRIFFON:** Sounds good.

7 **MS. MUNN:** Well, our action item here is
8 that both -01 and -02 are actually global
9 topics, and that NIOSH will present a white
10 paper, right?

11 **DR. MAURO:** Can we label this transfer-
12 global issues?

13 **MS. MUNN:** That would be my assumption.
14 Kathy?

15 **MS. BEHLING:** That's what I believe, yes.
16 And I'll also make note that there'll be a
17 white paper being presented.

18 **DR. ZIEMER:** In fact, notice down the next
19 item, the oronasal breathing issue pops up
20 again.

21 **MS. MUNN:** Yeah, that's why I was saying
22 both 01 and 02.

23 **DR. ZIEMER:** And 02, yeah.

24 **MS. MUNN:** They both go in the same
25 direction.

1 So for the next NIOSH response...

2 **DR. MAURO:** Well, 03 and 04 are dealing
3 with, I believe, recycled uranium and the
4 documentation. The concern was in OTIB-0004
5 there are certain default values for recycled
6 uranium imbedded in the matrix. And the
7 response that NIOSH gave is that they're
8 looking at that on a generic basis. I guess
9 there's an OTIB-0053 that's coming out. So
10 the way I see it is that both these items
11 would be transferred to the review of OTIB-
12 0053.

13 **MS. MUNN:** Both of the remaining OTIB-0004
14 items.

15 **DR. MAURO:** Yeah, that would be number three
16 and number four under OTIB-0004.

17 **MS. MUNN:** Move to OTIB-what?

18 **DR. MAURO:** OTIB, O-R-A-U-T OTIB-0053.

19 **MS. BEHLING:** Stu, is that out yet?

20 **MR. HINNEFELD:** Not yet.

21 **MS. MUNN:** Pending. As I go through this
22 looking for other responses from NIOSH that we
23 haven't addressed yet, and these items that we
24 still are carrying that you know can be closed
25 for any reason, please stop us.

1 The next item that I see is on page
2 26, ORAU OTIB-0014, finding 1. It's going to
3 be --

4 **DR. ZIEMER:** Does it start on 25 or, oh no,
5 I see it, 26, yeah.

6 **MS. MUNN:** It's 26 and it goes immediately
7 to seven. Most of it's on 27.

8 **DR. MAURO:** I'm sorry, Wanda. We're on
9 OTIB-0014 now?

10 **MS. MUNN:** Yes, we're on OTIB-0014. ^, Stu?

11 **MR. HINNEFELD:** It's OTIB-0014.

12 **MS. MUNN:** OTIB-0014-01.

13 **MR. HINNEFELD:** This OTIB concerns
14 assignment of environmental internal doses for
15 workers not exposed. In other words when,
16 it's a technique for environmental internal.
17 The first finding here has to do with, you've
18 got to be cautious when applying this approach
19 to construction workers, and we feel like
20 maybe that comment has been sort of overcome
21 by the issuance of the construction worker
22 OTIB, OTIB-0052. But we agree that, yeah,
23 these are kind of special situations.

24 **DR. MAURO:** Wanda, we agree with that. That
25 is, OTIB-0052 on construction workers is a

1 major OTIB. I believe we have already begun
2 the process of that. I think it came up in
3 one of our meetings, but that has, that's sort
4 of like a standalone big special one.

5 **DR. ZIEMER:** Right, right.

6 **MS. MUNN:** Yes, it is. And so -0014-01 is
7 acceptable and can be closed?

8 **DR. MAURO:** Do we close that or do we
9 transfer it to -0052?

10 **MS. MUNN:** Transfer it to -0052.

11 There's OTIB-0025-01.

12 **DR. MAURO:** Give me one second. Oh, I
13 believe this item is, well, let me tell you
14 what it was. I believe it's closed. It has
15 to do with the radon breath analysis for the
16 purpose of determining body burden.

17 **DR. ZIEMER:** Yeah.

18 **DR. MAURO:** And I may need a little help
19 here. The way I understand it is that when
20 you take the radon breath sample from a
21 person, depending on his level of activity,
22 that is, his breathing rate, will have a
23 substantial effect on the results. So in
24 other words, if he's resting, so you're going
25 to collect a sample there to get a number of,

1 I guess, picocuries per -- I'm not quite sure
2 of the units -- but the breathing rate will
3 affect the rate at which radon is being
4 exhaled. And therefore, affect how you
5 convert that measurement on exhaled radon to
6 what the body burden is.

7 And I believe the response was, well,
8 we're doing it the right way. We're using
9 default ICRP-66, a breathing rate of 20 liters
10 per minute in performing this calculation.
11 And I guess I'm not familiar enough with this
12 particular protocol except I know that it was
13 reviewed in detail by Mike Thorne (ph), and he
14 came away favorable. In other words, he was
15 very favorably, he gave high scores.

16 The only thing he cautioned, and it
17 was really more of a caution, that when you're
18 looking at this data and interpreting the data
19 and then assigning radium body burden based on
20 the data, that you could be off by, I guess,
21 not an insignificant amount depending on the
22 conditions under which the breathing zone
23 sample was taken. And that was a caution.

24 Now I guess I'll punt at this point.
25 To the extent to which your protocol and how

1 you use the data for radon breath analysis
2 takes into consideration that concern. I
3 mean, if your protocol takes --

4 **DR. ZIEMER:** That's more of a sample
5 handling concern though, right?

6 **DR. MAURO:** Well, it's sort of like when the
7 original sample was collected, in other words,
8 let's say we have a record of a person that we
9 can estimate his body burden based on radon
10 breath analysis. And the only caution was
11 that there is a standard protocol, I guess,
12 that, the assumption is made, I guess, that
13 the sample was taken when the person's
14 breathing rate was 20 liters per minute. So
15 that's sort of like built into the analysis.

16 And the reviewer, Mike Thorne, simply
17 pointed out if that wasn't the case at the
18 time of the sample whereby the breathing rate
19 was substantially different, you're not going
20 to get the right number, and you could
21 possibly underestimate or overestimate. And
22 that was the concern.

23 That's about the best I can do to
24 communicate what the concern was, and I guess
25 I'll leave it to NIOSH. If you have that well

1 in hand that's fine. Or if it's really an
2 issue that's a minor issue and marginal but
3 that was the concern that was expressed, that
4 you could be off by a lot. And I think Mike
5 Thorne in his write up, you know, the big
6 report, goes into that a little bit.

7 **MR. HINNEFELD:** Well, my reaction originally
8 is that I don't think that we hardly ever use
9 that. I mean, there are not that many
10 instances where we have radon breath data at
11 only a handful of sites, and so this isn't
12 used a whole lot. And I guess I can't speak
13 any more knowledgeably about it right now.

14 So I guess, John, the issue here being
15 that the radon is expected to emanate into the
16 lungs at a particular rate, so it's a pretty
17 good rate per day that's directly based on the
18 radium body burden. And the volume or the
19 rate at which the person is breathing at the
20 time of sample, and he breathes out the dust
21 sample would dictate what would affect what
22 the concentration is.

23 **DR. MAURO:** That was a concern, yes.

24 **MR. HINNEFELD:** ^ is measured in a radon
25 concentration in the exhaled air.

1 **DR. ZIEMER:** Well, just an observation, this
2 is a typical sort of a bioassay procedure.
3 It's not done during the middle of a work
4 cycle. You don't jump in and take a breath
5 sample while a person is doing heavy work.
6 They go to a lab somewhere. They're probably
7 sitting down. Their actual breathing rate
8 would be at the low end of things rather than
9 at the high end. You know what I'm saying?

10 In other words they're going to have a
11 sort of a moderate or low breathing rate
12 because it's more like a resting condition
13 just for sampling. And so if a higher
14 breathing rate gives you an underestimate, but
15 you're not really going to have that condition
16 unless you take a person in the lab and put
17 them on a treadmill and then take a sample or
18 something.

19 **DR. MAURO:** Yeah, Paul, I would agree
20 because I'm looking at the scorecard right now
21 that was used in our main report, and it got
22 all fives across the board. And the reason it
23 made it into the matrix is that in converting
24 this write up into the matrix, one of the
25 observations was almost like a caution.

1 But quite frankly, I accept the
2 argument that, listen, this is going to be, if
3 they're doing radon breath analysis, they are
4 following standard protocol which clearly they
5 are because Mike Thorne did review the
6 protocol. There's no reason to believe
7 they're going to deviate and do something
8 foolish. I mean, I'm prepared to accept that
9 as being a reasoned argument, and that using
10 the standard default value of 20 liters per
11 minute is probably a reasonable way to deal
12 with this problem. So I, for one, feel that -
13 - Mike Thorne isn't on the line. He's in
14 Great Britain, but he gave it all fives, so
15 I'm okay.

16 **MS. MUNN:** Particularly in light of the
17 small number of claimants this is likely to
18 affect.

19 **DR. MAURO:** Yeah.

20 **DR. ZIEMER:** But I think aside from that, it
21 has to be the right decision regardless of the
22 number of claimants. And I think you could
23 argue that you'd have to have an artificial
24 construct and get a high breathing rate on a
25 lab sample.

1 **MR. HINNEFELD:** Yeah, I think in point of
2 fact the breathing rate in a lab could quite
3 likely be lower than 20 liters per minute for
4 this using 20 liters --

5 **DR. ZIEMER:** Yes, you would overestimate.

6 **MR. HINNEFELD:** Overestimate the burden.

7 **DR. ZIEMER:** Yeah.

8 **DR. MAURO:** Maybe for the purpose of, I
9 mean, let us say mechanistically we're dealing
10 with this. I think that the explanation --
11 see, right now the explanation is pretty
12 short. It says -- if you look in the matrix
13 in red -- it says the default ICRP breathing
14 rate of 20 liters per minute is used for all
15 intake assessments. Now a little bit more
16 explanation of the kind that we're talking
17 about --

18 **DR. ZIEMER:** In other words, why would you
19 use that?

20 **DR. MAURO:** Yeah. And why we're okay --

21 **DR. ZIEMER:** This is reasonable for a person
22 undergoing a laboratory bioassay.

23 **DR. MAURO:** And perhaps conservative.

24 **MR. HINNEFELD:** Right.

25 **DR. MAURO:** Yeah, I think that would put

1 this one to bed.

2 **MS. BEHLING:** The only other thing I'll
3 mention is this is going to be an issue at the
4 Fernald site, and so there will be possibly a
5 lot of people that this may impact, but it's
6 being looked at very closely also. So when it
7 does become an issue that is being used
8 especially for like I said the Fernald and
9 under the SEC I think this is one of the
10 issues. It's being looked at in close detail
11 as to the approach that was taken and so on so
12 it's really being covered in that aspect of
13 things at the site profile level or the SEC
14 level.

15 **DR. ANIGSTEIN:** This is Bob Anigstein.
16 Going back to the discussion of the breathing
17 rate for different activities, I just looked
18 up. The ICRP 1.2 cubic meters per hour is
19 strictly for light activity.

20 **MR. HINNEFELD:** Well, it's called light
21 activity in the ICRP, but the basis behind
22 that though, the light activity number, is
23 some portion of time at rest and some portion
24 of time at more strenuous labor. There's
25 another document underpinning that, that term

1 light activity. That's what they describe
2 light activity as. And so for a breathing
3 rate in a laboratory where they take somebody
4 to the lab and have them breath aged air and -
5 -

6 **DR. ANIGSTEIN:** I wasn't referring to the
7 radon exposure. I was referring to the
8 previous discussion on this that we just
9 finished.

10 **MR. HINNEFELD:** Okay.

11 **MS. MUNN:** So can the action item be that
12 NIOSH will augment its report to clarify the
13 point --

14 **DR. ZIEMER:** Probably just need a couple
15 more sentences.

16 **MR. HINNEFELD:** A couple more sentences is
17 what I would expect.

18 **MS. MUNN:** All right.

19 Page 34, PROC 0067-01.

20 **DR. MAURO:** I'm sorry, Wanda, could you help
21 me out a bit? I'm following the matrix, and I
22 just lost track here. Where are we? What
23 OTIB?

24 **MS. MUNN:** We're on PROC 0067-01.

25 **DR. MAURO:** PROC 0067.

1 **MS. MUNN:** We didn't have any new NIOSH
2 responses prior to that.

3 **MS. BEHLING:** Page 34, John.

4 **DR. MAURO:** Okay, thank you. Thank you.
5 Let me get myself oriented a bit.

6 **DR. ZIEMER:** It looks like NIOSH has agreed
7 to apply, to add a flowchart to the next
8 revision. Is that how you interpret this?

9 **DR. MAURO:** Oh, okay, I'm getting myself
10 oriented. I think we're into all of the QA
11 procedures now.

12 **MR. HINNEFELD:** Right.

13 **DR. MAURO:** We've sort of left the technical
14 procedures.

15 **MS. MUNN:** We have.

16 **DR. MAURO:** Okay, good, good, that helps me.
17 And unfortunately, the author of our review I
18 don't believe is on the line, Steve Ostrow,
19 but I am familiar with a lot of the --

20 **DR. ZIEMER:** Well, this is pretty
21 straightforward.

22 **DR. MAURO:** Yeah, yeah.

23 **DR. ZIEMER:** The finding was to provide a
24 flowchart to help the users, I guess.

25 **DR. MAURO:** In fact, not only that, I think

1 when you go over all of, a large number of the
2 reviews of the procedures, the comments, they
3 all have to do with context, like the concept
4 of a flowchart in terms of, okay, you have a
5 comprehensive quality assurance program which
6 is made up of a whole array of procedures, I
7 think a recurring theme is it's difficult to
8 see where any one procedure fits into the
9 matrix of procedures or the flowchart.

10 **DR. ZIEMER:** The big picture.

11 **DR. MAURO:** The big picture. If the big
12 picture was communicated and then every one of
13 the individual procedures is sort of part of
14 the puzzle, that would really help us judge
15 the completeness of the program and the role
16 of any given procedure within the program. So
17 the flowchart issue I think goes toward an
18 awful lot of the comments that we're going to
19 be going over here.

20 **DR. OSTROW:** Hey, John, this is Steve
21 Ostrow.

22 **DR. MAURO:** Oh good, Steve, great. I'm so
23 glad you're able to join us.

24 **DR. OSTROW:** I'm awake, too, after all this
25 stuff. That's my general comment, too. It's

1 a little bit difficult reviewing some of these
2 procedures, QA-type procedures. Unless you
3 have an overview of the entire system, it's
4 hard to see how each one fits in. Each
5 procedure would benefit very much from maybe
6 one standard page that shows a diagram of the
7 hierarchy of procedures starting out with the
8 QA procedure on the top and where all these
9 little, smaller procedures fit in.

10 **DR. ZIEMER:** Again, it appears that NIOSH
11 concurs with that idea and is indicating
12 they'll consider that in a future revision.
13 Is that correct?

14 **MR. HINNEFELD:** Well, we will, yeah. We
15 agree that considering a flowchart. Now what
16 Steve just talked about which is, and John,
17 which is context and how the various documents
18 relate, I'm not 100 percent familiar with
19 these documents, but it would seem that if the
20 Quality Assurance program was ^ I believe that
21 was reviewed, wasn't it?

22 **DR. OSTROW:** Yes, it was.

23 **MR. HINNEFELD:** Was it?

24 **DR. OSTROW:** Uh-huh.

25 **MR. HINNEFELD:** So then this same finding

1 would be there then apparently. Because to me
2 that would be the place where the context
3 should be set.

4 **DR. OSTROW:** Well, I think you could have
5 one standard page in each one of these
6 implementing procedures that show how it fits
7 into the overall picture.

8 **DR. ZIEMER:** You mean the same flowchart?

9 **MR. HINNEFELD:** Same flowchart?

10 **DR. OSTROW:** It could be the same flowchart
11 just with a different box highlighted in each
12 procedure just to show the individual
13 procedure. And that's all I envision it. I
14 mean, there are probably other ways to do it,
15 too. It would just be the same page for every
16 single procedure, same diagram.

17 **MS. MUNN:** NIOSH and SC&A need to discuss
18 this and perhaps put a straw man out to ^ work
19 about being unduly burdensome for both the
20 agency and the contractors. Is it possible to
21 do that?

22 **DR. ZIEMER:** Well, the other way of looking
23 at it, NIOSH says they'll consider this in
24 their future revisions, and they may need to
25 take a look at, I could see a flowchart that

1 was so complex it wouldn't be helpful. There
2 are a lot of procedures, so it may be that you
3 would highlight certain ones or groups of -- I
4 don't know. I think you'd have to take a look
5 at the total picture.

6 **DR. MAURO:** In a way, Paul, this sort of is
7 not unlike the conversation we had earlier
8 about the suite of technical procedures, how
9 they're all interconnected, interlocked and
10 interdependent. The red write up that starts
11 on page 34 of the matrix --

12 **DR. ZIEMER:** Yeah, that's what we're looking
13 at.

14 **DR. MAURO:** Right, I was just reading it
15 again, you know, just to refresh my memory.
16 In effect what that write up is doing is it
17 explains, yeah, there is this very --

18 **DR. ZIEMER:** Hierarchy of --

19 **DR. MAURO:** -- you know, now the question
20 becomes do you need to, every time you write a
21 particular procedure, it certainly would be
22 helpful to understand the context. The
23 question becomes is that something that is
24 necessary to do for each procedure if, in
25 fact, all of the dose reconstruction folks are

1 fully apprised and trained in the overall
2 program, Quality Management program, and
3 understand where that particular procedure
4 fits in.

5 **MR. ELLIOTT:** This is Larry Elliott. We've
6 said we'd consider this in our efforts to
7 revise in the future. So, you know, I hear
8 this as a constructive comment. We're going
9 to take it to heart, and I don't see it
10 necessary for this working group to belabor
11 the point.

12 **DR. ZIEMER:** Yeah, I don't think we need to
13 solve the issue here. I think it's been
14 raised, maybe need to consider how it could be
15 done in an efficient way that would be helpful
16 to the constructors.

17 **MR. HINNEFELD:** My one smart aleck comment
18 here, of course, is we don't like it to be
19 easy for reviewers. It serves a purpose of
20 the Quality Assurance folks and whoever else
21 uses them on the ORAU side because the ORAU
22 procedures would generally be used by the ORAU
23 staff. If it serves their purposes, then I
24 think that's the test. But that's not to say
25 that an outside reviewer can't add value in

1 making comments like this.

2 I don't want to just shut it down, but
3 I think we all want to bear in mind before we
4 go too far now what's the appropriate path
5 here is to make sure that the Quality staff
6 that reads, you know, reads these with an open
7 mind and says, okay now, realistically, what
8 will be helpful to us and helpful to potential
9 new hires. We don't have very many new hires
10 anymore, but potential new hires for attrition
11 and things like that.

12 **DR. ZIEMER:** And if it's not helpful to
13 them, then you don't want to spend a whole lot
14 of time on it.

15 **MR. HINNEFELD:** Yeah, right.

16 **MS. MUNN:** Will you use the ^ which is what
17 I suggested that ^ at least some kind of a
18 straw man to see how complex or how simple
19 such a chart would be to evaluate whether --

20 **DR. ZIEMER:** Well, I think Stu has suggested
21 that it needs to be designed for the needs of
22 the users, not the needs of the reviewers. So
23 probably it should be approached by the NIOSH
24 end of things I would think.

25 **MR. ELLIOTT:** Yeah, isn't it enough that we

1 hear this comment and we've accepted it?
2 We're going to give it due consideration and
3 if the working group wants to add weight to
4 this, you could advance it as a recommendation
5 for the full Board to pass on to us. But at
6 this point I think it's really something that
7 we have to take up here and evaluate in the
8 scheme of things, and in a broader context, we
9 have a request for proposals and a new
10 contract award coming up. We have to look at
11 it in that light. We have to look at it where
12 things currently stand with the development of
13 all of the technical tools as well as the
14 quality control and quality assurance
15 procedures that we want to employ as we move
16 forward. So I really think it's on us at
17 NIOSH to take this to heart and to look at
18 what merit it brings.

19 **MS. MUNN:** I have no problem with that. The
20 question is can we therefore close this item
21 with that discussion in mind?

22 **DR. ZIEMER:** I think we can close it.
23 They've made the commitment.

24 **MS. MUNN:** Is that acceptable?

25 **DR. ZIEMER:** Obviously there has to be a

1 follow up. Is this one of those things that
2 is --

3 **MS. BEHLING:** In abeyance.

4 **MS. MUNN:** Well, I don't know. My question
5 then becomes in abeyance as of when or because
6 of what? NIOSH has said they will consider
7 this, and we have to work on the premise that
8 it would be considered an applicable tool only
9 in cases where it would be applicable.
10 Otherwise, how can we hold something in
11 abeyance until we have made a judgment that
12 this is an appropriate tool to apply?

13 **MR. HINNEFELD:** This is Stu Hinnefeld, and
14 this is a thought. I don't want to sound
15 cavalier about Quality Assurance here so I'm
16 going to try to be careful about what I say.
17 But the majority of the documents that have
18 been reviewed are technical documents that
19 provide technical basis for the manner in
20 which a dose reconstruction is done correctly,
21 i.e., in accordance with the program
22 direction. So that's a scientific or
23 technical review of is this process being done
24 scientifically correctly.

25 Quality Assurance set of procedures

1 organization is, in fact, following its
2 procedures. That becomes more important than
3 whether or not the procedures themselves seem
4 to be reasonable and complete. So, I mean, I
5 don't know if that helps any.

6 Basically, what Steve found in
7 reviewing all your procedures is that by and
8 large you've got yourself a comprehensive
9 program except that it's difficult to follow
10 piece by piece without having a roadmap. And
11 it sounds like you folks are certainly
12 prepared to try to consider that. My
13 observations regarding the Board's role and
14 our role in supporting the Board is the degree
15 to which there is any value to actually
16 auditing the degree to which the procedures
17 are being followed.

18 Now I may be overstepping my bounds,
19 but that's where value is added. But that
20 also, of course, is incorporated into their
21 own procedures. For example, they have an
22 internal auditing, they have a set of
23 procedures and way to audit that the
24 procedures are being followed. The degree to
25 which the Board wants to weigh in there is

1 certainly the purview of the Board.

2 So forgive me if I sort of stepped
3 outside, but I've been involved in a lot of QA
4 kind of activities in the nuclear power
5 industry so I'm pretty familiar with the
6 process, and I just wanted to pass that on.

7 **MS. MUNN:** Well, can we find this response
8 to be acceptable and close this item or not?

9 **DR. MAURO:** Steve, from SC&A's perspective
10 how do you come out on that looking at the
11 picture collectively?

12 **DR. OSTROW:** Well, I think so. I think we
13 could close it out. Just rely on NIOSH to
14 include a roadmap if they feel it's beneficial
15 to their own reviewers, to their own use of
16 the procedures. This is a suggestion, not a
17 fault, that was found.

18 **MS. MUNN:** I think this is acceptable-
19 closed.

20 **MS. BEHLING:** So am I.

21 **MS. MUNN:** Item two.

22 **MR. ELLIOTT:** Thank you, Steve. This is
23 Larry Elliott. I appreciate you offering that
24 as a suggestion. It certainly is important to
25 me, and we will fully look at it.

1 **DR. OSTROW:** This wasn't a criticism of the
2 procedures. It was just a suggestion to how
3 to improve the use of them.

4 **MR. ELLIOTT:** That's the way I was taking
5 it, too. Thank you.

6 **DR. ZIEMER:** I think the next one is sort of
7 in the same boat, discuss how the procedures
8 fit into the overall Quality Assurance
9 program. That looks like another one that's
10 sort of intended to help the outsiders
11 understand it, but --

12 **DR. OSTROW:** There's a number of similar
13 type comments.

14 **DR. ZIEMER:** So does it actually affect the
15 -- yeah.

16 **DR. MAURO:** I'm looking through all of the
17 remaining SC&A comments right on through, I
18 guess, the last comment that's on page 42, and
19 they all basically are the same comment.

20 **DR. ZIEMER:** Right.

21 **MS. MUNN:** Pretty much, and the response is
22 primarily we'll consider that if it's
23 necessary. Is there any objection to marking
24 all of these acceptable and closed?

25 **DR. OSTROW:** This is Steve. I don't object

1 to that.

2 **DR. ZIEMER:** A lot of these, they're
3 understood as suggestions and will be
4 considered in the future revisions of --

5 **MR. ELLIOTT:** Stu, I think we're okay with
6 that, aren't we?

7 (no response)

8 **MR. ELLIOTT:** Stu, are you still there?

9 **MR. HINNEFELD:** Hi, I muted myself because
10 my phone beeped awhile ago. Yes, that's
11 acceptable to me.

12 **MS. MUNN:** All right, then the last one of
13 those is on 42 of page 42 of 42.

14 Very good. We managed to make it
15 through the second matrix. Amazing.

16 **DR. ZIEMER:** Very good.

17 **MS. MUNN:** But we still have open items, but
18 at least we've gotten through it once. That's
19 great.

20 Now, we had expected for us to have a
21 15-minute break about now. Probably a good
22 time to do it. We don't have a great deal
23 left in front of us, that I am aware of.

24 **DR. ZIEMER:** I don't show a 15-minute break
25 for another hour yet.

1 **MS. MUNN:** What?

2 **DR. ZIEMER:** You have a 15-minute break at
3 3:30, but it's only 2:30.

4 **MS. MUNN:** Well, yes but then we've been at
5 it for an hour and a half. If you don't want
6 to do it, we'll just go right on.

7 **DR. ZIEMER:** What do we have left?

8 **DISCUSSION OF THIRD SET**

9 **MS. MUNN:** What we have left is I want to
10 just have a brief discussion, and I know it'll
11 be brief because nobody's had an opportunity
12 to really and truly absorb it, on the
13 information we just received from SC&A, a 291-
14 page document that's been received. And I
15 doubt, I know I haven't had any opportunity to
16 do more than just scan it very quickly.

17 **DR. ZIEMER:** I don't think I've gotten that
18 one. When was it sent out?

19 **MS. MUNN:** It's brand new. I think it was
20 yesterday.

21 **MR. HINNEFELD:** October 30th. You talking
22 about the third set?

23 **MS. MUNN:** The third set.

24 **MR. HINNEFELD:** The one prior to Privacy Act
25 review was sent on October 30th.

1 **MS. MUNN:** The one that -- here it is. I'm
2 trying to get back to the first page so that I
3 can see it. It's October 2007, October 29
4 effective date, draft, 291 pages. NIOSH/ORAUT
5 methods used for dose reconstruction, review
6 of the third set of procedures. Forty-five
7 procedure reviews covered. It's very
8 extensive.

9 Kathy, is it your expectation that
10 this will appear on the --

11 **MS. BEHLING:** I'm hoping to get that on to
12 the new matrix, yes.

13 **MS. MUNN:** There's a lot there.

14 **MS. BEHLING:** Yes, I know. In fact, let me
15 ask this. Since there is a lot there I would
16 assume that the priority should be for me to
17 try to get the third set findings into the
18 matrix format that we currently, or that we're
19 going to be using, the new matrix format. And
20 then if I can't get everything done,
21 hopefully, that will certainly be done by the
22 11th of December. And if not everything gets
23 done, it might be just the first set put into
24 this format. Is that acceptable?

25 **MS. MUNN:** I would think so. There are only

1 so many hours in a day, and this third set
2 document appears to be extensive, so I think
3 your approach is quite acceptable.

4 **MS. BEHLING:** Okay, so I will take this
5 second set, and we will reformat using just
6 the minor changes that I made to John's
7 initial matrix. I will then look at the third
8 set to develop a matrix for the third set, and
9 then as the last item go back to the first set
10 and put that into this format. But the other
11 thing I will have done by then is the roll up.
12 I should be able to put everything into a roll
13 up report. It's just that the first set, the
14 individual sheets I may not have done.

15 **MS. MUNN:** The roll up is really key to
16 being able to see what we have and what we
17 have yet in front of us. So, yes, your
18 approach is fine with me.

19 Any comments, one way or the other,
20 from other members of the Board?

21 **DR. ZIEMER:** It sounds fine.

22 **DR. MAURO:** And, Wanda, this is John. Just
23 a point to let everyone know. This should be
24 an interesting set because what we've done
25 here is beside the original 30 that we were

1 asked to review, during the course, while we
2 were working that as you probably recall, we
3 were reviewing a lot of new OTIBs that were
4 coming out as part of the various site profile
5 reviews that we were engaged in, especially
6 Rocky, that really did not have a home.

7 In other words, the formal review and
8 documentation of a lot of the site specifics
9 were captured here. So what we're going to
10 have is something a little, we're going to
11 deal with something a little different than
12 we've dealt with and that includes not only
13 the standard set of 30 that are, approximately
14 30, that were originally authorized, but we
15 also included a number of other reviews that
16 were done in another venue, namely as part of
17 the review of some of the closeout process
18 where SEC and site profile issues. So we're
19 going to see not only generic, but we're going
20 to see some site-specific because we felt it
21 was necessary to have a home for those site-
22 specific reviews.

23 **MS. MUNN:** That appears to be the best way
24 to capture them, John. I don't know where
25 else would they go.

1 **DR. MAURO:** Yeah. That's why this is such a
2 large document.

3 **MS. MUNN:** Well, 45 is a lot, but we'll have
4 to deal with it. So we'll do the best we can
5 ^ as much of it as possible for December.

6 **RECAP OF ACTION ITEMS**

7 The other item that I have listed for
8 us is to look at our calendars and make sure
9 that we're squared away with what we need
10 between now... I'm going to read you the
11 action items that I have. Help me if I am off
12 base. And, Chia-Chia, can you check your list
13 against mine? If there are additions or
14 subtractions, we can discuss that offline.

15 **MS. CHANG:** Yes, I think your list will
16 probably be ^.

17 **MS. MUNN:** But let's see what we have here.
18 I have action items:

19 SC&A will complete the roll up and
20 tracking matrix in the new format ^ possible
21 by December 11th.

22 NIOSH will report on where we are with
23 global issues.

24 **MS. CHANG:** Yes.

25 **MS. MUNN:** We will continue responses to ^

1 reword OTIB-0018. ^ to be forwarded to us.
2 Responses will be available before December
3 11th.

4 ^ OTIB-0017 will incorporate PROC-0090
5 reforms^.

6 NIOSH will respond to SC&A's matrix
7 PROC-0092. This response -- NIOSH will
8 communicate with SC&A and will respond to
9 issues raised in the OTIB-0012 white paper.
10 Key issues will be captured on the matrix.

11 Carryover of OTIB-0017-06. This was
12 not addressed.

13 ^ of OTIB-0023, ^ issue paper on
14 oronasal ^ to accommodate OTIB-0004-02.

15 NIOSH will augment their response to
16 OTIB-000^.

17 Are there any items that I missed?

18 (no response)

19 **MS. MUNN:** Are you there, Chia-Chia?

20 **DR. ZIEMER:** We lose her?

21 **MS. MUNN:** We lost her.

22 **DR. ZIEMER:** Kathy, are you there yet?

23 **MR. HINNEFELD:** Yeah, I'm here.

24 **DR. MAURO:** I'm still here. It's John.

25 **MS. BEHLING:** This Kathy. I'm still here.

1 I don't have any other items. I'm sorry. I
2 thought you were waiting on someone else.

3 **MS. MUNN:** I was. I was waiting for Chia-
4 Chia.

5 **MR. HINNEFELD:** This is -- Wanda, the last
6 action item you had, was that 25-1?

7 **MS. MUNN:** Yes.

8 **MS. CHANG:** I'm sorry. This is Chia-Chia.
9 I was pushing the speaker phone button and
10 hung up instead. I was pushing the mute
11 button and pushed the speaker phone button
12 instead and hung up.

13 That was it.

14 **MS. MUNN:** I will get this into final shape
15 and get it out to you within the next few
16 days. I'm anticipating that our face-to-face
17 meeting in Cincinnati will start at 9:30 in
18 the morning. ^ I hope so.

19 **DR. ZIEMER:** What date is that?

20 **MS. MUNN:** In the interim the work group
21 members should please take time to review this
22 document.

23 **DR. ZIEMER:** Are we still on December 11th?

24 **MS. MUNN:** We're still on December 11th.

25 **DR. ZIEMER:** Okay, just wanted to double

1
2
3
4
5
6
7
8
9
10
11
12

check.

MS. MUNN: 9:30 a.m. Hopefully, with any luck at all, at the Marriott.

Anything else for the good of the order?

DR. ZIEMER: Thank you, Wanda.

MS. MUNN: Thank you all. We appreciate your efforts. We'll see you in Cincinnati.

(Whereupon, the working group meeting was adjourned at 2:50 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Nov. 7, 2007; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 14th day of March, 2008.

STEVEN RAY GREEN, CCR, CVR-CM
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102